

UNITED STATES OF AMERICA
U.S. NUCLEAR REGULATORY COMMISSION

BRIEFING ON PART 35 MEDICAL EVENTS DEFINITIONS
PERMANENT IMPLANT BRACHYTHERAPY

APRIL 24, 2012

9:00 A.M.

TRANSCRIPT OF PROCEEDINGS

Public Meeting

Before the U.S. Nuclear Regulatory Commission:

Gregory B. Jaczko, Chairman

William D. Magwood, IV, Commissioner

William C. Ostendorff, Commissioner

APPEARANCES

Participants:

James Welsh, M.D.
Radiation Oncologist
Advisory Committee on the Medical Uses of Isotopes
(ACMUI)
Subcommittee Chair on Medical Event Reporting for
Prostate Brachytherapy

Anthony Zietman, M.D.
Chairman, Board of Directors
American Society for Radiation Oncology (ASTRO)

W. Robert Lee, M.D.
American Brachytherapy Society (ABS)

Chris Timmerman
Nuclear Engineer – Senior, Wisconsin Department
of State Health Services, Radiation Protection Section, and
Organization of Agreement States (OAS)

Laura Weil
Patients' Rights Advocate
Advisory Committee on the Medical Uses of Isotopes
(ACMUI)

NRC Staff:

Bill Borchardt
Executive Director for Operations

Brian McDermott
Director, Division of Materials Safety and State Agreements,
FSME

Ronald Zelac, Ph.D.
Senior Health Physicist, Medical Radiation Safety Team,
Division of Materials Safety and State Agreements, FSME

1 PROCEEDINGS

2 CHAIRMAN JACZKO: Well, good morning, everyone. We are
3 here today to discuss a very precise but very important issue, which is the
4 definition for medical events for permanent implant brachytherapy. And we have
5 a very good line-up today, with representatives from the Advisory Committee on
6 the Medical Uses of Isotopes, the American Society for Radiation Oncology, the
7 American Brachytherapy Society, the Organization of Agreement States, and
8 then -- as well as another member of ACMUI.

9 So we have a very good group here to talk about what I think is a
10 very -- been a very challenging issue for the NRC, to come up with a definition of
11 medical events for permanent implant brachytherapy that is -- will work to ensure
12 that this important medical practice can continue appropriately, but at the same
13 time that we can take care of -- fulfill our responsibilities for patient protection.
14 So we're here today because the staff directed -- or the Commission -- [laughs] if
15 only.

16 [laughter]

17 The Commission directed the staff to develop a medical event
18 definition that does exactly that standard that I said, that protects the interests of
19 patients and allows doctors the flexibility to take actions that they believe are
20 medically necessary. So I look forward to a very good briefing. I think we've had
21 a number of meetings, stakeholder meetings that have led to this point, and so
22 I'm very interested to hear directly from all of you as well, and offer my
23 colleagues any comments they'd like to make.

24 Okay, we'll begin, then, with Dr. Welsh.

25 JAMES WELSH: Thank you, Mr. Chairman. Thank you,

1 Commissioners, for the opportunity once to once again discuss this complex and
2 important subject. And I will start by saying, I believe that his truly is important,
3 for, once again, in fiscal year 2011, we have encountered nearly 100 patients
4 whose cases were described as medical events, specifically 30 medical events
5 involving 94 patients. So, yes, this is an important subject, and, for the past
6 several years, dozens of medical events have been reported in this particular
7 category. But the question remains as to whether this definition is faulty, or are
8 the practitioners faulty. I submit that we now have conclusive evidence that the
9 definition itself is seriously flawed.

10 I have been talking, as others have been talking, about edema
11 following prostate, as an example, implantation, and this edema reflects volume,
12 which is related to dose, and therefore dose calculations could be erroneous, and
13 the cases which might be perfectly acceptable could be inappropriately labeled
14 as medical events. Has this ever happened, however? Is this purely
15 hypothetical? Can this truly occur, or is it just my and other practitioners, trying
16 to explain things away? Well, in fiscal year 2011, there were three medical
17 events involving five patients in which this exact scenario did unfold. Cases were
18 labeled as medical events, and upon reevaluation, including repeat imaging, the
19 volume returned to its appropriate size, and calculations showed that the dose
20 was indeed within 20 percent, and therefore the medical events were retracted.
21 Therefore, the definition itself is, indeed, flawed.

22 ACMUI has proposed what we believe is an appropriate definition,
23 and this definition combines source strength and dose-based criteria, along with
24 written -- the concept of a written directive completion, authorized user
25 attestation, and post-implant dosimetry. So, as far as the source strength-based

1 criteria, this is for the treatment site. If there are more than 20 percent of the
2 sources falling outside of the treatment site, we would consider this a medical
3 event. Next slide.

4 As far as the dose-based criteria, we suggest the use of this be
5 reserved for normal tissue structures. For neighboring structures, such as the
6 bladder or rectum and prostate case, for example, the dose to these structures
7 should not exceed 150 percent of the dose prescribed to the treatment site, to
8 five contiguous cubic centimeters. For intra-target structures such as the urethra,
9 in a prostate example, the dose to at least five contiguous cubic centimeters
10 should not exceed 150 percent of that particular structure's expected dose based
11 on the approved pre-implant dose distribution.

12 Please note that, for these definitions to hold, a dose must be
13 calculated ahead of time to these structures, and that post-implant dosimetry
14 must be performed subsequently. Next slide.

15 The ACMUI recommends continuation of the other standard
16 existing criteria, such as those listed here, including wrong isotope, wrong
17 activity, wrong patient, et cetera, but note that the fourth bullet point, delivered
18 directly to the wrong site or body part, means directly, so left breast instead of
19 right, for example. We are exempting situations of seed migration, seed
20 displacement, embolization of seeds to the lung, et cetera, so long as the 20
21 percent criteria is not exceeded. Next slide.

22 The ACMUI has recommended the concept of a written directive
23 completion. The completion of the procedure is defined as once the patient is
24 released from the authorized user's control. Just before that completion, it is
25 recommended that the written directive be completed to include unusual aspects

1 such as anatomic limitations or patient-related interference. We believe that it
2 should be documented and could be documented in this particular possibility.

3 Another component of the written directive completion has been the
4 concept of authorized user attestation. This is a fairly controversial
5 recommendation in which the authorized user can provide a statement within this
6 written directive attesting that the seeds have been permanently implanted in
7 accordance with the approved plan. As I mentioned, this component of our
8 recommendation is somewhat controversial. Next slide.

9 But it is not the only controversial aspect of our overall report,
10 because there is a significant dissenting opinion in the ACMUI and on the ACMUI
11 permanent implant subcommittee, in fact, which is detailed in writing in the 2012
12 report as an addendum called the minority report. It is largely based on
13 information contained in the 2011 report, and it includes -- it is concerned with
14 items such as the ambiguity of the term "treatment site." It is concerned with a
15 possible dissociation of harm to the patient, divorced from the definition of
16 medical event. It is concerned with the lack of any distribution criteria. And of
17 course it is concerned about the attestation. Next slide.

18 Nonetheless, the overall vote of the ACMUI was in favor of
19 retaining the term treatment site. The treatment site, as defined in 10 CFR 35.2
20 is an anatomic description of tissue intended to receive a radiation dose as
21 described within a written directive. We know that the concepts of GTV, CTV,
22 and PTV, gross tumor volume, clinical target volume, and planning target
23 volume, at one time were relatively new to practitioners and foreign concepts to
24 the NRC. But after six years of discussion, that is no longer the case. The
25 Commissioners are well-acquainted with this; the staff is well-acquainted with

1 these terms. And I and others do not believe that it is necessary for NRC to
2 insist that practitioners use one of those three volumes in their written directive.
3 The written directive concept of treatment site can remain sufficiently general, so
4 that an authorized user can prescribe to any one of those freely. To insist on use
5 of one of those three volumes could be interpreted as an encroachment on the
6 practice of medicine. Next slide.

7 The concept of bunching has been brought up on many occasions,
8 but in my opinion, is grossly over exaggerated, in terms of importance. I've seen
9 one documented situation in the past seven years. But the concept of the
10 attestation could address this. The ACMUI dissenting opinion came up with a
11 more objective means of addressing this, specifically the use of octants and
12 insisting on a seed distribution criteria. Either way, for these solutions to be
13 implemented and to catch this rare situation of bunching, post-implant imaging
14 must be performed. So last slide.

15 In summary, we feel that there is now strong evidence that the
16 current definition is imperfect, especially for prostate, and therefore there is truly
17 a need for a new definition. The 2012 ACMUI recommendations include a
18 source strength based criteria for the treatment site; a dose-based criteria for
19 normal neighboring and intratarget structures; the continued use of the existing
20 standard criteria, such as wrong patient, wrong site, et cetera. We recommend
21 no change to the definition of treatment site. We recommend the introduction of
22 the concept of the completion of the written directive, along with the authorized
23 user attestation, and we feel that post-implant imaging and dosimetry is
24 necessary.

25 So the 2012 ACMUI report is simple, does not use the detested

1 D90 concept, and it provides appropriate guidelines and criteria for defining
2 medical events while excluding unimportant variations. Thank you.

3 CHAIRMAN JACZKO: Well, thank you for that. We'll now here
4 from Dr. Zietman, who is the Chairman of the Board of Directors of the American
5 Society of Radiation Oncology.

6 ANTHONY ZIETMAN: Thank you so much. Chairman Jaczko,
7 Commissioners, good morning. I'm speaking to you in my position as former
8 president and chair of ASTRO. I'm also a practicing radiation oncologist with a
9 large prostrate brachytherapy practice. And I've developed an academic career
10 around the study of this disease and its treatment. I also had the privilege of
11 sitting on the VA Blue Ribbon Committee that studied the events at the
12 Philadelphia VA, and what we could learn from them. And I, like everyone else
13 here, was traumatized by those events and learned a lot from them. I'm also now
14 editor of our specialties major scientific journal, which has devoted a lot of print
15 ink to exactly this subject.

16 I'll start, really, at the end. ASTRO, which represents 96 percent of
17 America's radiation oncologists, is actually delighted with the ACMUI proposal
18 and the modifications that the NRC staff have suggested regarding this definition.
19 We believe the proposed rule is meaningful, it's practical, and it's enforceable,
20 and we strongly support it. We have to look backwards at the problems of the
21 current rule because it's from the problems that the new proposal stands out in
22 stark contrast.

23 Permanent implant low-dose rate brachytherapy, which to all
24 intents and purposes, really means prostrate, is unique in the world of
25 brachytherapy. There are these multiple physical biological imaging

1 uncertainties. They're the presence that interacts in a dynamic and unpredictable
2 fashion during the weeks and months after the implant, that sort of have
3 undermined a dose-based definition of a medical event. As you all know and if
4 you had the chance to see PRAT [spelled phonetically], the procedure we use,
5 ultrasound to determine the size and shape of the prostate, and to determine
6 what our treatment site's going to be. We use ultrasound again during the
7 procedure because it's a great way of seeing the prostate and a great way of
8 seeing the needles.

9 Now after the procedure, we're got to image the -- again, to make
10 sure we've done a good job. But now we can't use ultrasound, because
11 ultrasound doesn't show us the seeds very well. They look like bright shining
12 stars and it's impossible to localize. So we've got a few CT scans. The problem
13 with CT is that it routinely shows the prostate as being larger than it actually is.
14 And it's not by a predictable amount; it's by anything from 5 to 50 percent. So
15 this makes an accurate calculation of the dose that's been delivered extremely
16 difficult, with a tendency towards underestimation.

17 And as you've also heard, an additional problem is that the prostate
18 does swell unpredictably, particularly in the first few weeks after the seed
19 implant. It can swell anything from not at all to twice its original volume. This
20 separates the seeds and reduces the appearance of -- estimates of radiation
21 dose delivered. Again, this phenomenon is beyond the control of the physician,
22 and it's completely unpredictable.

23 You know, these changes mean that an implant which may appear
24 very hot on day one, if you image on day one, may be just perfect by day 30. Or
25 one that is acceptable on day one could be cool by day 30. So where do we

1 measure? When do we measure? You know, a patient who's scheduled to have
2 a post-implant CT scan to assess the dose at the 30-day mark, maybe they're off
3 to Florida, they asked to have the CT scan a week early. They're a medical
4 event now, but they wouldn't have been at 30 days. So it makes it very difficult.

5 And let's talk about these so-called dose metrics which we've use
6 to define absorbed dose. We talk about the D90, but there's also the D100 and
7 the V90. But these are mere approximations of reality, and what's more, they
8 don't even necessarily move in tandem. The D90 became an early measure of
9 quality because it seemed to have some connection with cancer outcome. Well,
10 this hasn't really been validated; and so to hang a hard regulatory rule on so soft
11 a measure really made little sense to practicing radiation oncologists. It made so
12 little sense, indeed, that most of us practicing physicians were actually unaware
13 that medical events could be determined after the D90.

14 And when Philadelphia broke, none of us actually believed that this
15 was a measure that could come back to bite us. It turns out that a patient who
16 has a D90 of 80 percent has an implant that's actually good enough to enter a
17 National Cancer Institute-sponsored clinical trial. But it's also bad enough to be
18 reportable as a medical event. So you have two government federal agencies
19 that really are not working in tandem here.

20 And in the confusion after Philadelphia, there's been some very
21 uneven interpretation of the existing rule, with geography playing a strangely
22 fickle role. In some states, such as Wisconsin, it's been very rigidly interpreted;
23 in others, it's not. I'm not going to go into the details of that, but this could come
24 up in the question and answer. We've felt, only in a more widely agreed
25 meaningful and easily interpretable rule can end the confusion. I mean, it's said

1 that no harm comes from setting a sensitive regulatory rule. You know, it's
2 simply a warning that a near miss may have occurred. It turns out that's not true.
3 Any event labeled as a medical event sets off alarms at institutions that -- with --
4 there's reporting to QA committees, mandatory warnings to patients. And these
5 warnings cause distress and undermine patients' confidence in their physicians.
6 Patients whose -- physicians who may well have actually done a perfectly good
7 job. Indeed it seems the majority have. And worse still in our media oriented
8 society these events can be blown into major storms and no physician wants to
9 go out in that kind of weather, I can tell you.

10 Prostate brachytherapy which is really the most cost effective
11 treatment in prostate cancer is now in sharp decline. There're about 40,000
12 cases a year in 2005; it's estimated there'll be less than 10,000 this year. Now
13 there are several factors for this but the medical even definition is one of them.
14 Why bother, physicians say, flirting with a state report, a QA investigation, and an
15 unwelcome appearance in the local newspaper when we could do something
16 that's less personally risky.

17 If one looks at the VA system, which is not subject to the market
18 forces that may also have contributed to a decline in brachytherapy elsewhere,
19 the number of centers still performing prostate brachytherapy is down from, I can
20 be correct on this, I think it was around 15 pre-Philadelphia to about eight now.

21 So our feelings about the proposed rule, what Dr. Welsh has
22 described I think is clear advantages to you. CT scans which we all use to
23 assess the quality of our implants have several problems; however, they do
24 answer certain quality questions particularly well. Are the seeds in the prostate?
25 Are they in the immediately adjacent tissue that is part of the target as defined by

1 the authorized user? Or are they elsewhere and in non-target tissues? And
2 these really are the metrics of the proposed rule.

3 The proposed rule takes into account the fact that the source
4 strength implanted within and around the prostate is under the control of the
5 authorized user and is measurable. But the subsequent prostate volume imaging
6 some uncertainties and ultimately the guesstimate of dose that's given to the
7 prostate is not.

8 So the target base definition that ACMUI have proposed, I think will
9 clearly capture the most egregious medical events in a reliable fashion. And it's
10 already -- this definition has already been battle tested by the VA Blue Ribbon
11 Group that reviewed the events at Philadelphia. At the end of the day, our group
12 agreed fully with the NRC investigators who found a poorly overseen program
13 with limited QA. We agreed that there were many medical events. Where we
14 couldn't agree was on how many. And we looked at the day symmetry from 107
15 cases, of which either 56 or 62 were medical events by the old rule, simply
16 depending upon who drew the volumes. Then if one corrected for prostate
17 swelling, it was down to about 20 medical events. And if one uses a target based
18 definition such as you've heard, it came down to about 11. Now that is a non-
19 acceptable number of medical events from one institution. But these were -- the
20 new rule does not fail to detect medical events and it still would have raised the
21 alarm in Philadelphia, but at an appropriate level.

22 Are there any concerns that ASTRO has? We have one small
23 concern. Those metric which, the proposed rule use of those metric, within the
24 target volume limiting the urethra fact less than 150 percent of the prescribed
25 dose. I don't have a major objection to this but there are some small risks.

1 Brachytherapy is by definition heterogeneous within the target, hotspots are a
2 little unpredictable, and the other problem is that not all physicians measure the
3 urethral dose. To do so you need to insert a catheter into the patient, you know,
4 a tube through the penis approximately that thickness, and I don't necessarily
5 measure a urethral dose in my patients. I don't want to have to put a catheter
6 into the patient and say, this is from the government.

7 [laughter]

8 I don't think they'll appreciate that. So we believe that generally
9 speaking this new definition is intuitive, it can be easily interpreted, reliably
10 reproduced, and will be respected by physicians and by physicists. It makes it
11 easier for us to report and for the NRC to enforce. It's not going to flood the
12 inspection agencies with meaningless events, but it'll still capture those events
13 that could cause serious injury or harm. ME should stand for medical event, not
14 meaningless event and I think by this rule it will. So thank you so much for your
15 time. I really appreciate the measured consideration that you've given to this
16 particular issue. Thank you.

17 CHAIRMAN JACZKO: Well thank you very much for that
18 description. We'll now turn to Dr. Lee, who is with the American Brachytherapy
19 Society.

20 W. ROBERT LEE: Mr. Chairman, Commissioners, I want to thank
21 you for the kind invitation and opportunity to speak with you today on behalf of
22 the American Brachytherapy Society about this issue of medical events with
23 permanent source brachytherapy.

24 I am Robert Lee, I'm a past president of the ABS and as my
25 colleague Dr. Zietman, I'm a prostate cancer specialist. My clinical practice is

1 devoted almost entirely to caring for men with prostate cancer, whether they're
2 treated with radiotherapy or active surveillance, which is a large part of my
3 practice. I've been performing prostate brachytherapy since 1996.

4 In the interest of full disclosure, I think the Commissioners should
5 know two things. One, I acted as an unpaid consultant to the VA OIG report; and
6 second, I have contracted with the federal government to be an external contour
7 for brachytherapy procedures at other VAs.

8 The American Brachytherapy Society was founded in 1978. It's a
9 multidisciplinary society comprised of more than 1,300 members including
10 radiation oncologists, physicists, dosimetrists, and other health professionals in
11 related disciplines. The mission of the ABS is to serve its members by advancing
12 the field of brachytherapy, by promoting excellence in education, research, and
13 clinical practice, and by representing brachytherapy specialists and their patients.

14 In addition to an annual scientific meeting, the ABS holds multiple
15 schools of brachytherapy throughout the year and I can tell you in the last few
16 years that the definition of a medical event has been front and center at every
17 single one of our meetings. There's been at least one panel on this issue and I
18 can also tell you that the panelists that are experts in this area don't agree with
19 one another. When we poll the panelists, we can't get a consistent answer.
20 When we poll the audience, we can't get a consistent answer on what is a
21 medical event. So the language is the language but the interpretation is not
22 consistent. So I think that we do have a problem.

23 I do want to highlight two individuals on behalf of the ABS that have
24 done most of the work with regard to the NRC staff. That is Dr. Brad Prestidge
25 and Dr. Brian Davis [spelled phonetically], who have worked closely with NRC

1 staff over the last couple of years.

2 So the first -- second slide that I have is a slide that I use every
3 year in the didactic presentation that I give to our residents at Duke. It's my
4 attempt to distill the relevant regulatory language to the essentials. The first
5 three bullet points are pretty straightforward and noncontroversial, and there's
6 really no misunderstanding about what they mean. As you've already heard, it's
7 the fourth bullet about which there's substantial disagreement. Next slide please.

8 This is the relevant language from the regulation and I want to take
9 you on a little bit of a detour to try and describe for you the context of dose in
10 permanent brachytherapy. So I'm a classical studies major as an undergraduate.
11 I majored in Greek and Roman antiquities, so history is very important to me, in
12 particular history of disciplines. And if you look at the history of brachytherapy,
13 it's fascinating. From the very beginning you didn't hear about dose, primarily
14 because we couldn't measure it. The way that patients were treated was with
15 activity over time. X number of millicuries over X hours, days, whatever. And
16 that's the way that brachytherapy evolved. My first written directive as a resident
17 was an activity time written directive, milligram hours for cervix cancer. That's
18 the way some practitioners still practice. It works very well.

19 So I want to highlight that in many contexts in permanent
20 brachytherapy in particular when you can essentially exclude time, activity is
21 dose, and dose is activity. And the NRC has felt this for years in their language;
22 they make it very clear that prescribed dose can be activity. Next slide please.

23 So the root of the problem is absorbed dose and how difficult it is to
24 calculate. You already heard about the problems with volume changes, the
25 timing of the imaging, the modality of imaging. I want to focus on the fourth bullet

1 here, countering variation, and to illustrate this I'm going to show you a series of
2 three images. Can we go to the next one?

3 So this is an image that I showed at the 2002 ABS Meeting. At that
4 point and time the discipline was just beginning to integrate some of these
5 dosimetric quantifiers into practice. And many of us, myself included, thought
6 that this would be an excellent way to determine quality. This was right around
7 the time when the Institute of Medicine Report came out in 1999, talking about
8 the importance of quality, etcetera, measurable metrics. And I was guilty as
9 some others of probably overly enthusiastically endorsing these dosimetric
10 quantifiers, because when I did a simple project I took the same CT scans from
11 10 patients and asked my colleagues to contour the prostate. This is what they
12 drew. This is two different reviewers contouring the same image set, and you
13 can see that there are dramatic differences in the prostate volume that is
14 contoured. More relevant to our discussion today, not only is the volume
15 different, the calculated dosimetric quantifiers are very different. And depending
16 on the contourer, a particular case was a medical event according to a strict
17 dosimetric quantifier definition, in some cases it wasn't.

18 The other thing that's important that we found out in contouring
19 differences is that the contouring differences are maximized at the top of the
20 prostate and at the bottom of the prostate, where it's difficult on CT scan to see
21 where the top and the bottom is. Since these are at the edge of the implant this
22 is where the steepest dose gradient is. So prostate contouring really affects
23 dosimetric quantifiers. Now the human element of contouring is we can never
24 get rid of that. The definition proposed, which the ABS for the most part
25 endorses, still requires the authorized user to define the target. You're not going

1 to be able to get rid of that, but it is much less finicky than dosimetric quantifiers
2 in the sense that if a source is in or out it doesn't make a difference, but at the
3 top or the bottom there's a lot of dose gradients there and depending on how you
4 draw the contour the D90 or the V100, pick your metric, can be very different.
5 Next image.

6 So I and a group of others once we discovered that there was this
7 enormous variation, we decided to -- I'm an educator at heart, and we decided
8 let's have a workshop where we'll bring in experts in diagnostic imaging,
9 ultrasound imaging, MR imaging, we had some neurologists, and we basically
10 sat in a room for a day hearing about prostate anatomy. And we did an exercise
11 before and after this educational workshop, and what you see on that screen is
12 after the workshop. These are our expert contourers contouring the top of the
13 prostate. It doesn't probably project well in your book, but suffice it to say that
14 there were 12 contourers on this image, I think four or five didn't even draw a
15 contour because they didn't think the prostate was there, and the ones that did
16 draw a contour were sort of all over the map. And given that this is at the edge of
17 the implant, the dosimetric quantifiers resulting from the contours were very
18 different.

19 The next, third and final image to try and highlight, this is something
20 that's very personal. This is data taken from RTOG 0019, which is an NCI
21 supported cooperative group study for which I'm the PI. And this is D90 post
22 implant dosimetry. As PI, I reviewed all of the post implant CT scans and I
23 contoured the prostate. So this is D90s based on my contour, not on the
24 authorized user's contour, on my contour. And if you use a strict D90 definition, it
25 doesn't show up here, but 35 percent of -- just advance it I think, 35 percent of

1 these cases would be classified as medical events. That sounds like a big
2 number. It sounds like we should be concerned. I can tell you with nine years of
3 follow up, these patients are doing remarkably well. They're indistinguishable
4 from other institutional practices. The toxicity is not excessive. The efficacy is
5 about what you'd expect. In fact the RTOG has taken this, the results of this
6 study forward to a phase three, so that we feel comfortable that our practitioners
7 across the country, this was from 27 different institutions, can do this in a
8 consistent, safe way that highlights the problems with contouring and relying on
9 dosimetric quantifiers. Next slide please.

10 So the proposed changes from the ACMUI and then translated by
11 the staff, basically puts the onus on the authorized users for things that she or he
12 can control, namely putting the sources where they should be. And not the
13 things that they cannot control, prostate expansion, seed migration, etcetera,
14 etcetera. Last slide, please.

15 So the ABS endorses for the most part the proposal. It's simple.
16 We believe it's relevant. Since the secretary's letter has been published in the
17 public domain I've heard from ABS members, two issues. One is trivial, I think,
18 and it's a recommendation to replace the word seeds with sources. The second
19 is, Dr. Zietman highlighted as well, and that relates to the use of an intra-target
20 dose definition.

21 For years, in fact for better part of a century within brachytherapy,
22 we really haven't paid any attention to what happens to dose inside of the target.
23 Now part of that relates to the fact that we didn't use to be able to measure it. As
24 we've highlighted today, we now think we can measure it, although I'm not sure
25 that we can. And there has been a concern raised that intra-target definitions like

1 this are problematic, primarily because it's not at all clear that these sort of dose -
2 brachytherapy is by definition the dose is heterogeneous. That is fundamental to
3 brachytherapy and that may be why it works as well as it does. But, so there are
4 going to be high dose spots within the target and in the proposed definition,
5 there's really very little evidence to support the dose and volume that is used
6 there that suggests that it's in any way related to medical harm. In fact, there's
7 no information that I'm aware of that looks at the dosimetry for the urethra and
8 can equate dose with toxicity. There's some very, very old papers from 25 years
9 ago when dosimetry was very, very primitive that said if the dose was 400
10 percent then you may have problems. Nowadays hardly anyone gets anywhere
11 close to 400 percent. And so recognize, I think the Commissioners should know
12 that there is a concern amongst some very accomplished, experienced
13 brachytherapists, who've had experience with the ACMUI, been on the ACMUI,
14 that has concern about this intra-target definition of a dose for a medical event.

15 Big picture though, the ABS is very pleased that this issue is being
16 looked at. We like the target activity definition, we believe that it will define those
17 events that are truly outside of the standard of care and egregious and potential
18 for patient harm and deserve to be acted upon, and it will not in the process lead
19 to medical events that really are meaningless. Thank you for your time.

20 CHAIRMAN JACZKO: Thank you. We'll now turn to Chris
21 Timmerman who is a Senior Nuclear Engineer at the Wisconsin Department of
22 State Health Services and Radiation Protection Section and with the
23 Organization of Agreement States. Thank you.

24 CHRIS TIMMERMAN: Thank you. Good morning. Good morning
25 Chairman Jaczko and Commissioners. I am pleased to be here today

1 representing the Organization of Agreement States and briefing you on the
2 Organization's position on the Part 35 Medical Definition as it pertains to
3 permanent implant manual brachytherapy.

4 There are three primary areas that I will be focusing my time on
5 today. First, I will describe the Organization of Agreement States' position on the
6 recently submitted SECY paper 12-0053 on the Recommendations on
7 Regulatory Changes for Permanent Implant Brachytherapy Programs.

8 Next, I will discuss what Wisconsin has done in the interim awaiting
9 guidance for the NRC concerning these types of implants since the inspection of
10 the VA Hospital. Finally, I will cover the work completed by a joint NRC/OAS
11 Working Group tasked to create a supplement to the Inspection Manual Chapter
12 2800, "Manual Inspection Program" and the Inspection Procedure 87132,
13 "Brachytherapy Programs" as based on current Part 35.

14 The Organization of Agreement States Executive Board has
15 reviewed the SECY paper 12-0053 and supports the stated goal of the
16 Commission, which was in SRM SECY paper 10-0062, to clarify the medical
17 event definition to protect the interests of the patient, allow physicians to take
18 actions as they deem medically necessary, while continuing to enable the NRC
19 and Agreement States to detect failures in process, procedure, and training.
20 However, the board does not support using only activity based medical event
21 criteria as recommended in the SECY paper 12-0053. All other therapy
22 treatments utilize dose based criteria, thus, it is inconsistent to have one
23 radioactive material therapy treatment that does not utilize dose based criteria.
24 OAS recommends retention of dose based criteria. If dose based criteria is not
25 retained, the OAS requests that the Agreement States be allowed the flexibility to

1 utilize dose based criteria for these types of implants.

2 The OAS also performed a survey of the Agreement States
3 concerning medical event criteria for prostate brachytherapy implants and 14
4 states have responded. The results of the survey were briefed at the stake-
5 holder workshops which were held in New York City and Houston last year as
6 well as at the Organization Agreement State's Annual meeting. All of the 14
7 states that have responded have the same "current" medical event definition as
8 the NRC or a definition that is more restrictive than the NRC's.

9 Now I will discuss what Wisconsin has done. Wisconsin has
10 inspected the majority of their medical licensees that perform manual
11 brachytherapy implants and all of the licensees have established dose based
12 criteria. These inspections were conducted utilizing the Wisconsin's Information
13 Notice, issued in July 21, 2010 which reminded licensees that they are required
14 to have written procedures to verify that each administration is performed in
15 accordance with the provisions of the written directive.

16 Also, a Regulatory Information Summary was used that was issued
17 February 18, 2011 which detailed additional guidance, also requested licensees
18 to respond with the criteria currently used and provided some lessons learned
19 from previous inspections. Additionally, during this time, Wisconsin completed
20 29 inspections and compiled the number of implants performed and the number
21 of medical events reported. A retrospective review was conducted by the
22 licensees to determine if any process improvements could be made to the
23 licensees concerning their prostate manual brachytherapy programs. Out of
24 1,970 prostate implants performed since 2003, which when Wisconsin became
25 an Agreement State, there have been only 35 reported medical events, which

1 comes out to 1.78 percent of all implants performed.

2 Many of the reported medical events could have easily been
3 prevented. For example, some were planning errors; some were not
4 documenting the correct number of seeds that were implanted due to pubic-arch
5 interference or under other cases where the physician decided not to implant all
6 the seeds. Therefore, as seen in Wisconsin, if licensees use a dose based
7 medical event definition, there will not be a huge surge of medical events, as
8 some people have projected.

9 Now moving on to the Joint NRC/OAS working group, it was
10 established or assembled in August 2011. The working group was comprised of
11 personnel from the Office of Federal and State Materials and Environmental
12 Managements Programs, two Agreement States, all NRC Regions, the Office of
13 General Counsel, the Office of Enforcement and the Division of
14 Intergovernmental Liaison and Rulemaking.

15 As you may know the Inspection Procedure 87132 is in the final
16 steps of the approval process and the training will be conducted for all NRC
17 Regions and all Agreement States this Thursday, April 26 via webcast and
18 webinar. The training will go over the changes made to the Inspection Procedure
19 based on the "current" 10 CFR 35.40, "Written Directives" and 10 CFR 35.3045,
20 "Report and Notification of Medical Events". This Inspection Procedure will be
21 used by NRC Regions and all the Agreement States until the new Part 35 is
22 finalized.

23 As the co-chair of the Working Group, the group worked hard to
24 find common ground that would only benefit not only the NRC Regions but also
25 the Agreement States as pertaining to inspection guidance for manual

1 brachytherapy programs. In the NRC Regions as well as the Agreement States
2 there is a big difference when it comes to licensees using dose based criteria or
3 activity based criteria, or even how licensees are performing these implants.

4 In providing interim guidance for inspectors, we had to work within
5 the constraints of the current rule. The revised rule should define key terms, for
6 example, completion of procedure, prescribed dose, administered dose, and
7 absorbed dose, just to name a few. And unlike the current Part 35, it should be
8 used to defined terms consistently throughout the revised rule and associated
9 guidance. One consistent message from the Working Group was that training
10 and guidance needs to be given to the licensees and the inspectors on current
11 and new medical event definition.

12 In closing, the Organization of Agreement States would also like to
13 submit the following recommendations concerning the new Part 35 rulemaking
14 process: Consider listing Authorized Medical Physicist on the license for Manual
15 Brachytherapy based on Medical Physicist's involvement with treatment plans
16 and post-treatment plans. This would be similar to the requirements for
17 Strontium-90 eye applicators; also, incorporate treatment planning process;
18 incorporate post treatment evaluation steps. Now in Wisconsin, most of the
19 licensees are using a 30-day follow-up that was described earlier by Dr. Zietman
20 and I believe Dr. Lee; and finally, do not remove "total dose" as an option for
21 completion of the written directive or at a minimum allow the Agreement States
22 flexibility in this area. Thank you again for allowing me to talk with you today.
23 This concludes my remarks.

24 CHAIRMAN JACZKO: Well thank you very much for that
25 information. We'll now turn to our final witness, which is Laura Weil, who is the

1 Patients' Rights Advocate for ACMUI as well.

2 LAURA WEIL: Good morning. Thank you very much for the
3 opportunity to be here today. I would like to talk about, in very broad strokes,
4 about principles of patient advocacy and how they apply to the question at hand.
5 Can we move two slides up please? Thank you. There are two kinds of
6 underpinning to the work of patient advocacy. The first is ethics and the second
7 is rights. Let me talk briefly about ethics first.

8 Here I'm going to borrow from the National Commission for the
9 Protection of Human Subjects in Biomedical and Behavioral Research's paper
10 called "The Belmont Report." This is -- these three ethical concepts can be
11 broadly applied to any medical encounter, and therefore, I find them very useful
12 for talking about patient advocacy in broad strokes.

13 The first is the principle of beneficence. And very simply stated that
14 is the concept of maximizing benefits and minimizing harms. That's not a
15 surprise to any of us. The second, respect for persons, really refers to the fact
16 that patients are autonomous beings with rights and preferences and person-
17 specific values. And we'll return to this concept of autonomy for most of what I'm
18 going to talk about. But the third principle of justice relates to equality. In the
19 Belmont Report, it's specifically related to equality in terms of the burdens and
20 the benefits of clinical research, but one could spread this out a bit to say there
21 should be equality in access to medical services in general. Next slide please.

22 The next set of underpinning concepts to patient advocacy has to
23 do with patients' rights or rights in a general sense. The patients' rights
24 movement really was an outgrowth of the civil rights movement of the 1960s. It
25 signaled a move away from a strongly paternalistic medical model to a more

1 collaborative and participatory model of shared physician-patient decision
2 making, which is supported by open communication. Statutory rights are those
3 rights that will be enforced by courts. So those can be legislated or they can be
4 rights that are formed by substantial case law, which then influences further
5 actions by the courts.

6 Normative rights, which one could consider the ethical
7 underpinnings we were talking about earlier to be in this realm of normative
8 rights. These are rights that reflect the prevailing ethos and values of a society.
9 Normative rights affect statutory rights. They change the way we view things,
10 and they are not consistent. They change over time. The third category of rights
11 that I would like to talk about are implied rights. And I've labeled these rights that
12 are conferred by codes of professional ethics, because I think it's pertinent to this
13 particular discussion. So, professional ethics will prescribe how a particular
14 professional, in this case a clinician, ought to behave. And the best example for
15 this discussion would be the American Medical Association's Code of Medical
16 Ethics that clearly states that patients have a right to know when a medical error
17 or unexpected adverse event has occurred, whether or not the patient has
18 actually been harmed.

19 Now we could question whether patients really want to know these
20 things, but it's been fairly well demonstrated in the medical literature that patients
21 generally do wish to know. They wish to be told when there's been a departure
22 from the plan. They wish to be told what the implications of that might be, so that
23 they can actively and autonomously make future medical decisions. It is
24 important, of course, not to step on the rights of those who might prefer not to
25 know, but that's a conversation that a physician and a patient can certainly have

1 the same way one has those conversations with patients at the end of life to
2 know whether they'd like to know about a terminal diagnosis or whether they'd
3 like to have the decision discussed with someone else. These are
4 communication opportunities that we shouldn't be stepping on in our rush to
5 define medical events and, therefore, define the physician's responsibility to
6 disclose these things. Next slide please.

7 So if we go back to Belmont's respect for persons we can talk
8 about autonomous choice and there are barriers to autonomous choice and
9 enablers. Enablers obviously are full information and transparency and access
10 to services, and the barriers would include geography, rural areas have very
11 limited access to medical services. Provider payment issues are an enormous
12 barrier, mostly insurance issues where folks are denied access to centers of
13 excellence because their insurer simply won't pay for it or because they're
14 uninsured and have very little choice about where they can receive care if at all.

15 The third barrier would be provider biased and that's something that
16 we all run into. Perhaps it's a hidden kind of thing but certain providers will
17 recommend certain kinds of services, and other providers will recommend other
18 kinds of services. We all know the joke about if you go to a surgeon, of course a
19 surgical intervention will be recommended because that's how that physician is
20 trained. That's facetious but it certainly, it illustrates the kind of bias that different
21 kinds of training will infer. But there's a subtler kind of bias which is gender and
22 racial bias and we know that physicians will recommend treatment based on their
23 preconceptions about what -- or their unconscious preferences for
24 recommending treatment to women and men of color or not. So, next slide
25 please.

1 So the current issues before the ACMUI, which have a patient
2 advocacy focus, would be these three issues we've been discussing lately. The
3 CardioGen Iodine 131 Patient Release and this permanent implant
4 brachytherapy situation. What concerns me as a patient advocate most in this
5 context is the question of communication and transparency. The question of
6 whether patients will be informed about -- if the medical event definition is
7 extremely strict and rigid and catches as Dr. Zietman said the most egregious
8 medical events only, then will patients indeed be informed about other kinds of
9 changes in the treatment that they've received? I think I can probably stop there,
10 and thank you very much for the chance to present.

11 CHAIRMAN JACZKO: Well, thank you very much. We will turn to
12 Commissioner Magwood for questions and comments.

13 COMMISSIONER MAGWOOD: Thank you, and thank all of you for
14 your statements this morning and many of you have been before us before.
15 Thank you for coming back, especially, you know, Dr. Welsh and Dr. Zietman.
16 You both have participated quite extensively and Dr. Zietman has gone above
17 and beyond by providing me a personal tour of his facilities at Mass General. I
18 always greatly appreciate that education.

19 Let me start with you Ms. Weil. I -- this -- you know, I do see a lot
20 of synergisms between some of what you're saying and some of what Dr.
21 Zietman is saying, but I wonder if we're really on the same wavelength. Let me
22 explore this a little bit because it seems to me that medical events are not a
23 medical term; they're a regulatory term. And it's not clear to me that patients
24 knowing, particularly at the current -- in the current definition of medical events,
25 that the patients given that information doesn't necessarily as Dr. Lee indicated,

1 doesn't necessarily tell them anything because, just because the regulator thinks
2 that something, there's a medical event. And don't forget we, even we use the
3 term medical event; we're not necessarily saying something bad happened.
4 We're just simply saying that something happened that requires us to look at, you
5 know, what happened more, in further detail. So it's not clear to me that patients
6 getting that information is giving them useful information because it's not telling
7 them that something was wrong, it's telling them that the regulator wanted to look
8 at this for whatever reason and it doesn't say that there's something wrong. It
9 just simply says that we wanted to look at it. And I just wondered if that's
10 something you've thought through as you've looked at this? There's good
11 information, there's bad information, and I wonder if what we're -- if you're giving
12 them bad information, is that?

13 LAURA WEIL: Constructive?

14 COMMISSIONER MAGWOOD: Is that constructive?

15 LAURA WEIL: Well, I'm a believer in transparency and I think that
16 there's a contract between patient and clinician which the whole informed
17 consent concept includes a description of things that may not have gone
18 according to plan. Whether they're good or bad patients have a right to know
19 about what the plan was, what the procedure was, and what the likely outcome
20 might be. Those three things don't necessarily mean it's bad news. They simply
21 are information for the patient about what happened. I think that's necessary. I
22 think that it's well borne out by surveys of patients. I can quote one, I think it's
23 Hobgood [spelled phonetically] who says that 76 percent of patients want to
24 know specifically what happened if there was a departure from the planned
25 intervention, in the Academy, Academic Emergency Medicine. I have the citation

1 in my last slide. And because patients want to know, I believe that that desire is
2 well supported by rights and the ethical underpinnings of the contract between
3 physician and patient. Doesn't mean something bad happened, simply means
4 that it's full and transparent information.

5 COMMISSIONER MAGWOOD: Yeah, and I fully subscribe to
6 giving patients full information. I just wonder if -- but I think the information is
7 more useful if it's based on criteria that actually indicate that there's been a
8 variance. I mean I think it was Dr. Lee that indicated that, you know, physicians
9 have gone through these procedures, done exactly what they wanted to do, got
10 the outcomes they wanted, were very pleased, and it was nine years later after
11 looking at the results that things went well; but nevertheless some of those
12 procedures could have been classified as medical events and, therefore, patients
13 might become unnecessarily burdened with this -- now again, non-medical
14 information but regulatory information. And I just think that's something we need
15 to be very careful with, because, you know, we're not doctors here. You know,
16 we don't even pretend to be doctors here. [laughs] So I think this is something
17 to be conscious of.

18 LAURA WEIL: Absolutely.

19 COMMISSIONER MAGWOOD: Let me go on. Mr. Timmerman, I
20 was actually -- I read some of the concerns that OAS has had about the direction
21 this has taken. You do seem to be a little bit in isolation on this. The staff and
22 the community seem to have astonishingly come to some agreement as to the
23 direction, but the States seem to be unhappy with that. I wonder if you can give
24 me a little bit more on this. I mean, you -- I think as I recall from previous
25 Commission briefings the States indicated that they thought that the current

1 regime was flawed. And is that still the case?

2 CHRIS TIMMERMAN: Yes Commissioner. We, the Organization
3 of Agreement States, feel that way. If given.

4 COMMISSIONER MAGWOOD: Go ahead.

5 CHRIS TIMMERMAN: Okay. Also, I mean for, I can't speak for the
6 other States but for Wisconsin what we did, we had licensees establish those
7 basic criteria and that came from a couple of inspections of very large facilities
8 that did not have any procedures in place on how to do the post implant
9 verification, which is required by our rules, the same as the 10 CFR. And so
10 what we found there was they had -- could've made a process improvements if
11 they were viewing their cases that wouldn't have been medical events but they
12 would have prevented ones that -- occurring that happened later on, like not -- if
13 they didn't -- they had a couple needles where they couldn't put in the prostate,
14 for example. If they would have revised the written directive it would not have
15 been a medical event. The patient got the exact treatment done, what the
16 physician wanted to do, but the documentation was not there. Just one example.
17 Or there was other steps they could have taken.

18 We had retracted some medical events in the past where they did --
19 they changed their procedure. They were doing a same-day CT and they
20 changed to 30-day. They did a same-day and they said, "Well, can we wait and
21 do 30-day," and we said, "Sure." And we retracted that medical event because of
22 it -- we understand it was from edema, as some of the other panelists said
23 earlier. So it's not -- we don't want to put out the face that we are very strict. We
24 work with our licensees and whatever protocols -- they were following national
25 protocols from the R2Gs or the AAPM. We said, "Okay, is -- this is what you're

1 following, this is in your procedure,” then it says in there -- you know,
2 recommends to do these areas and the way our current rule is written, it's all --
3 it's all -- it's dose-based and none of our licensees in our state were using
4 activity-based so that's how we sent out the regulatory issue summary that I
5 mentioned earlier and we moved forward from there.

6 COMMISSIONER MAGWOOD: A big part of your concern seems
7 to be that using activity is at variance with the usual practice of any radio-therapy,
8 which is to look at dose. Though this is substantially different from most radio-
9 therapy. I mean, you're not simply exposing tissue to -- you know, or a beam or
10 on a temporary basis a source. This is implant. Is -- does that -- I mean, the fact
11 that it's very different in kind, does that not require a different regulatory
12 approach than your -- I mean, obviously it doesn't but I'm just trying to
13 understand the logic a little bit.

14 CHRIS TIMMERMAN: It does, but for -- I guess from our
15 standpoint it's -- all the regulations should require consistency so there's not
16 questions that come up. Obviously, the definition of why we're here is, you know,
17 it's flawed in some way. We just don't -- we -- if -- the main point is if the activity-
18 based will be used, we were -- the Agreement State requests that we're allowed
19 flexibility to utilize those base criteria if that's what all of our licensees are using.
20 What we don't want to happen specifically is -- they're all using dose-based
21 criteria. Now they changed the medical event. Now they've got to retrain
22 themselves theoretically on the medical event definition, not actually practicing
23 medicine, but what we're going to be looking at. Our licensees are already
24 onboard and they're working forward. We haven't had any medical events since
25 everybody had got this in place and they corrected all their process improvement.

1 So if activity-based is used, the agreement states just want to have flexibility that
2 they currently have now to utilize the dose-based criteria.

3 COMMISSIONER MAGWOOD: Okay, so the -- so basically the
4 bad thing that happens if you don't get the flexibility is that your licensees have to
5 go back and train.

6 CHRIS TIMMERMAN: They wouldn't have to go back to train,
7 necessarily. They would have to train their documentation on how to document
8 it. And that may be an issue; it may not be an issue. At this time, we don't know.

9 COMMISSIONER MAGWOOD: Okay. I appreciate that. Yes, I
10 have a minute left. Let me -- just generally to Drs. Lee and Zietman that you've
11 indicated -- I think you used the word "delighted," which we don't hear very often
12 -- delighted with the outcome of the -- with the staff's position is -- there do seem
13 to be some issues on the borders of this, such as the definition of treatment site.
14 That seems to be still a little bit at-play and I think there's still some process left
15 on that. But one aspect I was hoping one of you could speak to is, make sure my
16 understanding is correct, we're extending the closure of the written directives.
17 You know, we're not -- the written directive is not completed before the
18 procedure. It actually has some tentacles that continue after the procedure's
19 completed as you go through the CT scans later. When is the thing close?
20 When is it done?

21 W. ROBERT LEE: I'm sure Anthony will have an answer, so I
22 think -- I can't remember the specific language, but it was basically when it's out
23 of the authorized -- when the patient is out of the authorized user's control, and I
24 think this is a very good thing going forward. You -- as you make changes you
25 almost want to think about what's going to happen 10 years down the road. I

1 think, 10 years down the road, our ability to come up with an accurate, reliable
2 dosimetric judgment on the implant will be able to take place in the operating
3 room almost routinely. It's happening in a few places now and it allows people
4 who are doing that sort of thing the opportunity to do the case, do some sort of
5 quick dosimetric assessment, and then maybe add a few sources if it's a little
6 light in a particular place. Sort of before the patient is let go. The inter-op people
7 that do real-time treatment planning are enthusiastic about this clarification,
8 making it clear that they can, you know, improve their implant after. Not
9 everyone does this, but it does allow, you know, folks to sort of touchup implants
10 to get them perfect, perhaps, without having the patient to come back on another
11 day to put sources in.

12 COMMISSIONER MAGWOOD: But theoretically this is something
13 that could go on for years? Is that --

14 W. ROBERT LEE: No, I think that --

15 COMMISSIONER MAGWOOD: -- possible?

16 W. ROBERT LEE: You know, and this is where the written
17 directive -- it would be incumbent on the authorized user to basically describe
18 sort of what happens. I wouldn't think it could go on for years. I mean --

19 COMMISSIONER MAGWOOD: Months?

20 W. ROBERT LEE: No.

21 COMMISSIONER MAGWOOD: Okay. Okay. I just want to make
22 sure.

23 W. ROBERT LEE: Hours.

24 COMMISSIONER MAGWOOD: Okay, I just want to make sure I
25 understand where you thought that was that --

1 W. ROBERT LEE: Yeah, no.

2 COMMISSIONER MAGWOOD: Okay.

3 W. ROBERT LEE: It's at the --

4 COMMISSIONER MAGWOOD: Okay.

5 W. ROBERT LEE: The whole idea is to allow so that the patient
6 doesn't have to come back and get -- say, in the circumstance -- even though
7 this wouldn't count as a medical event, if you have source migration and on the
8 30-day CT scan you find that they're, you know, the sources of the anterior part
9 of the gland went off into the pelvis, then, you know, many users will actually
10 bring the patient back and re-implant that area. The idea here is that you sort of
11 discover that sooner and can do it. But, yeah, no, it would be hours --

12 COMMISSIONER MAGWOOD: Okay.

13 W. ROBERT LEE: -- for it.

14 COMMISSIONER MAGWOOD: Appreciate that. Just wanted to
15 thank Dr. Welsh and the ACMUI for the work they've done on this. It's been, you
16 know, truly of tremendous importance for the Agency and appreciate the effort
17 you put into this. With that, Chairman, my time is up. Thank you.

18 CHAIRMAN JACZKO: Commissioner Ostendorff.

19 COMMISSIONER OSTENDORFF: Thank you, Mr. Chairman.
20 Thank you all for being here today, and it's interesting to see the different
21 perspectives from different organizations and I think it's actually a real strength of
22 the process here at the NRC, a strength to bring in disparate -- different
23 viewpoints together to see how is it synthesized into something that's workable at
24 the end of the day. You know, I know there's some differences of opinion on
25 certain aspects but I think this is a good new story, that we're moving in the

1 direction we've moved. Just my personal opinion.

2 For the sake of continuity I want to go back to Mr. Timmerman just
3 for a minute here with some of the question that Mr. Magwood was pursuing with
4 you. And I guess I can appreciate the need for some consistency and for being
5 able to explain to people, "Well, this is a special case but it's not like this other
6 procedure," and I can -- I do understand the challenges associated with the
7 consistency piece. But let's just hypothetically assume that the only treatment
8 that we were considering that required any kind of a dose-based or activity-based
9 definition was the permanent implant brachytherapy, that we're not talking about
10 any other forms of treatment. Does the OAS have any substantive concerns with
11 the activity-based approach?

12 CHRIS TIMMERMAN: Just from the survey that we sent out, there
13 are some States that are still waiting for NRC guidance, there are some States
14 that, you know, are still going along as they normally have. For -- to answer your
15 question, I can't really say what the other States have done, I just know what our
16 -- in Wisconsin.

17 COMMISSIONER OSTENDORFF: Because it seems like the
18 volume, the prostate volumetric change during procedure, the swelling issue, that
19 seems to be pretty well accepted by -- two years I've been on the Commission,
20 I've been hearing about that for two years. And I've not heard any medical
21 evidence to suggest that that's not a concern during prostate treatment, so that
22 really was a key factor in trying to get away from the dose-based approach. I'm
23 just trying to figure out if there's any substantive disagreement with that medical
24 issue on swelling and then dose to this volume.

25 CHRIS TIMMERMAN: No, the agreement states understand the

1 edema occurs during this type of very invasive procedure. We just like to have
2 more structure to it.

3 COMMISSIONER OSTENDORFF: Okay.

4 CHRIS TIMMERMAN: Yeah, I guess that would be why they
5 recommended to involve the treatment process or even the post-treatment plans,
6 because right now that's pretty much moot on current 10 CFR, I believe. So if
7 there's some kind of robustness there or working with ASTRO -- if that can be
8 addressed somehow, we're all for that, just to have -- make sure it's laid out and
9 out there in the open so everybody knows what we as regulators expect and also
10 what the medical community is trying to do.

11 COMMISSIONER OSTENDORFF: Okay. Thank you. Dr. Welsh,
12 I'm going to go to you for a minute. I want to add my thanks to that of
13 Commissioner Magwood here. I think the ACMUI involvement has been very
14 helpful. I know that there's been an existing rule and then the draft ACMUI
15 report, final report, dissenting opinion, and looks like we're looking at a Supreme
16 Court case here with the different legal views. But I think that's actually a healthy
17 part of the process, so I commend ACMUI for having the process in place to
18 allow other voices to be heard, which I think's really important.

19 Because I may have some things confused here, help me out. I
20 think the most significant -- correct me if I'm wrong but I think the most significant
21 delta between where our NRC staff is with the proposed changes to the medical
22 event definition and where ACMUI was is the staff did not include the attestation
23 requirement. Is that the most significant difference between ACMUI's position
24 and the staff position?

25 JAMES WELSH: Currently it is. It's perhaps the only significant

1 deviation from the ACMUI 2012 report. And as I mentioned, the inclusion of the
2 authorized user attestation was controversial and the vote was perhaps more
3 divided on that particular issue than on all the others.

4 COMMISSIONER OSTENDORFF: Okay.

5 JAMES WELSH: And after reading the staff SECY paper, the
6 concern about the attestation may not be as much of a concern after all, because
7 the staff did explain fairly clearly the rationale for no need for the attestation.

8 COMMISSIONER OSTENDORFF: Okay. Does anybody else
9 have anything to add on the attestation issue? That -- no one to provide
10 opportunity if you had anything to add. Okay. Let me go on to another issue.
11 Substantively, in the 150-percent criteria for doses to normal tissue, and I like --
12 kind of go down the line. I'm not sure I've seen a whole lot of scientific or
13 medical evidence to suggest that that's an appropriate standard or not. I'm not
14 disagreeing with it, but I just am not personally seeing a lot of -- it's less than
15 conservative than the current rule, certainly. Is the medical community pretty
16 supportive of this 150-percent criteria?

17 JAMES WELSH: I can say that overdosing normal tissues can
18 cause harm. And as Dr. Lee pointed, in the older literature, where we had cases
19 where there might be a 400-percent deviation, the urethra could have been
20 harmed. Therefore, the concept of overdosed normal tissue as justification for
21 medical event definition has a sound basis, but the challenge is to construct an
22 acceptable or appropriate definition. And as we have heard, measuring the
23 urethral dose can be a little bit cumbersome, it can be challenging, and it can be
24 very difficult on post-implant dosimetry. Coming up with intra-target dose
25 definitions is a bit challenging. Therefore, this particular number of 150 percent

1 is perhaps a moving target because it is not entirely soundly based on science, it
2 is something that was just put forth initially as a concept of overdosed normal
3 tissue is a sound reason for using dose in a definition. But for the target, the
4 treatment volume, the use of dose is very, very difficult and is opposed.

5 COMMISSIONER OSTENDORFF: Dr. Zietman, you have anything
6 to add on the 150-percent?

7 ANTHONY ZIETMAN: No. I would agree that there isn't really
8 strong science behind it. We're all -- I suspect, as commissions, we all know that
9 we wish to avoid high doses to normal tissues, period. These suggestions I think
10 are a little bit arbitrary but they do provide some parameters within which we can
11 work. The only distinction I personally would like to make, and has been raised
12 by some ASTRO members, is that -- to draw a distinction between intra-target
13 normal tissues, like the urethra runs right through the middle of the prostate,
14 which will inevitably get a high dose, and those outside which should be getting
15 relatively low doses.

16 COMMISSIONER OSTENDORFF: Okay.

17 ANTHONY ZIETMAN: And I think the intra-target normal tissue is a
18 very difficult one to regulate.

19 COMMISSIONER OSTENDORFF: Yeah. Dr. Lee, do you have
20 any comments or anything you want to add on the 150-percent criteria?

21 W. ROBERT LEE: So, I think you've got it right. There's limited in
22 science. I think, as clinicians who are interested in doing well by their patients,
23 we are all taught from a very early age to minimize dose to normal tissue, and I
24 make the distinction between those things that are outside the target and those
25 things that are inside the target. The urethra can probably tolerate very, very

1 high doses based on the fact that, you know, the urethral injury rate is very low,
2 other than urethral strictures which is farther down, and that's probably that the
3 sources are too low. So, you know, it's -- I understand what the committee is
4 trying to do, is trying to be cognizant of trying to minimize hotspots but the history
5 of the discipline, in many different contexts, is within the target volume that doses
6 are sometimes astronomically high and patients seem to do okay.

7 COMMISSIONER OSTENDORFF: Okay.

8 W. ROBERT LEE: It's the doses outside the target that we can be
9 -- you know, really emphasize.

10 COMMISSIONER OSTENDORFF: Okay. Thank you. Ms. Weil,
11 I'd like to back to you, and I appreciate having a patient rights advocate here, I
12 think that's very helpful for us. Just a very simple question, do you think the
13 proposed revised definition is understandable by most patients?

14 LAURA WEIL: By patients. Well, English-speaking patients, yes.
15 Patients with a reasonable level of health literacy or ordinary literacy, yes. I
16 think, in general, we have a hard time communicating well to patients when we
17 take into account the various barriers that there are to understanding, not the
18 least of which is their emotional state at the time --

19 COMMISSIONER OSTENDORFF: Sure.

20 LAURA WEIL: -- the explanations are given. I have concerns in
21 general, not specifically with this medical event definition. Yes, perhaps
22 specifically with this medical event definition. As a layperson, I find it challenging
23 to understand. Takes several readings through to get to the pith of what it's
24 about. And I doubt the patients would bother. I'm not sure that it is of interest to
25 patients at this point, at the point of treatment, what the definition might mean. I

1 think they simply want to know whether they've been harmed, whether they've
2 not been harmed, and what the implications are for their futures.

3 COMMISSIONER OSTENDORFF: Just -- Chairman, if I can have
4 another 30 seconds there I'd appreciate it. I know -- I think the diagrams you
5 have about the contours, I thought that was very helpful and instructive, looking
6 at the different interpretations by experts in the field. And so, Dr. Lee, I really
7 appreciate you bringing those. And I'm just curious, do diagrams such as that
8 help people that have your position elsewhere explain to patients these -- or why
9 there are uncertainties? I mean, "Let's look at some diagrams of different
10 prostate situations"?

11 LAURA WEIL: Well those conversations should really be had by
12 the physician with the patient, rather than a patient advocate who is usually a
13 non-clinician, and that's a clinical conversation.

14 COMMISSIONER OSTENDORFF: Okay.

15 LAURA WEIL: But they're interesting. They're certainly very
16 illustrative.

17 COMMISSIONER OSTENDORFF: Yeah.

18 W. ROBERT LEE: If I could just add, one of the -- and I'm very
19 interested in patient communication and education. One of the -- I think a broad
20 theme that is associated with lack of perfect communication is this notion of
21 uncertainty. Patients have this expectation that we as physicians are certain
22 about certain things and that this is good, this is bad. The gray area, which is
23 probably 80-percent of what we do, they have some difficulty understanding.
24 That's where it takes time to -- I see this with medical students in residence as
25 we teach them. They think that the answer is clear. It's -- they are programmed

1 to take multiple choice questions. "The answer is B." Sometimes the answer is --
2 you know, we don't know, and that's where --

3 COMMISSIONER OSTENDORFF: Good point. Thank you, both.
4 Thank you, Mr. Chairman.

5 CHAIRMAN JACZKO: Well, thank you all for your -- for being here.
6 I just have a number of questions, but maybe make some comments first. I think
7 -- I'm certainly sympathetic I think, Mr. Timmerman, to your concerns. We are a
8 nuclear radiation regulatory organization, so our unit of conversation is dose.
9 Activity doesn't mean so much; it's the impact of that on human tissue that
10 matters, which is effectively dosed. So I have sympathies for you. I appreciate
11 the challenges and I think, having talked to Dr. Zietman many times about this, of
12 the difficulties, and I think, Dr. Lee, your pictures were quite helpful in trying to
13 determine where the prostate is. We can't really calculate a dose if we don't
14 know what the volume is. I mean, that's really the challenge we find ourselves
15 with. So it may be that to some extent we're not necessarily talking about
16 different things. What I tend to think of this is more of a -- perhaps it's the -- it's
17 just the state of the art is very crude, and what dose means here is nothing more
18 than activity in the target volume, and that is in effect a dose. It's a very crude
19 estimate of dose, it's a very gross estimate of dose, and a very simplistic view of
20 what the target volume is and the tissue is and the distribution and uniformity of
21 dose within the tissue, which is not very accurate given the type of procedure.
22 But it may be the best that we can ultimately get in this case.

23 So I'd like to try to think in the end we are doing some kind of dose
24 work here, it's just not as sophisticated as we'd like. And perhaps in the future
25 we will have far more sophisticated ways to do this and then I think this gets to

1 be the same as all the other procedures we do here, where we can have a 20-
2 percent variance and it's straightforward and we know how to do that.

3 So -- but I -- you know, so I can see both sides of this. The one
4 thing that I do continue to find interesting though, and I think slightly new
5 information, Mr. Timmerman, and that was the incident reporting rate. And I'm
6 not sure what to make of that. And -- because -- and I think you even used the
7 phrase, "If we go to a dose-based," but we do have a -- I mean, the current
8 definition is the 20-percent variance by dose, largely I think based on the D90
9 value. And you're shaking your head, Dr. Lee. Or --

10 W. ROBERT LEE: Well, I don't mean to interrupt you but --

11 CHAIRMAN JACZKO: Yeah.

12 W. ROBERT LEE: -- the thing that struck me about the OAS
13 survey was that different States are interpreting --

14 CHAIRMAN JACZKO: No, no, I'm not talking about the survey. I'm
15 talking about his -- in Wisconsin, the rates of --

16 W. ROBERT LEE: Sir, I will tell you I have never used D90; I
17 never intend to use D90; I never hope to use D90 except as a sort of internal
18 quality improvement.

19 CHAIRMAN JACZKO: And I'm not -- that's not the point I want to
20 say. If I could finish.

21 W. ROBERT LEE: I just want to make sure you understand that it
22 is --

23 CHAIRMAN JACZKO: No, I'm not -- let me --

24 W. ROBERT LEE: -- uniform that everyone uses D90.

25 CHAIRMAN JACZKO: That's not the --

1 W. ROBERT LEE: Okay.

2 CHAIRMAN JACZKO: That's not the point, if you could let me
3 finish. The point is, and this is an issue of concern, that what we are being told is
4 with this definition there will be a tremendous amount of medical events reported.
5 The dose-based definition is the current definition and there is a disconnect here
6 because we're not seeing large numbers of medical events being reported. Now,
7 regardless, the rule does not specify D90 as the methodology for calculation of
8 dose. I mean, that is generally -- or used because that is, to some extent, an
9 industry standard, so it's one that could be used but there could be other
10 methodologies for determination of dose. But, regardless of what the dose
11 methodology is, we're not seeing large numbers of medical events reported. So
12 that is a bit of an enigma. I'm not sure what the explanation is, I'm not sure if
13 physicians are all drawing their contours such that whatever they use for their
14 dose, they're not seeing. And I have to be honest that that's perhaps not a
15 laughing matter for a regulator. I mean, that is a real issue that we have to
16 confront, that there may be things that are currently medical events that are not
17 being properly reported.

18 So, you know, I don't know where that leaves us, you know, with
19 the rule. So, on the one hand, there clearly are ways within the existing construct
20 of a dose-based rule to not have large numbers of medical events reported.
21 Now, that -- again, that may be because that's how physicians are drawing the
22 contours. Now, I don't know that as a regulator and an inspector and, you know,
23 your experience in Wisconsin seems to indicate that that's not something that, as
24 inspectors, we're going to get in and start drawing our own contours of where the
25 prostate is and arguing over contours in terms of our capturing the medical event.

1 So -- you know, to some extent the practical -- you know, if we truly look at the
2 data, this actually -- you know, we're not seeing the large number of medical
3 events being reported, nor do I see us taking enforcement action for people
4 improperly not reporting medical events, if you will.

5 So on the one hand, I -- and I'm not sure where I'm going here
6 because I'm just thinking off the top of my head. On the one hand, you know, I'm
7 not sure where the, you know, issues really are, but, you know, that's just one of
8 those realities of -- I don't know, maybe you can help clarify some of this for me,
9 doctor --

10 JAMES WELSH: Mr. Chairman, I respectfully disagree somewhat
11 with your assertion that we're not seeing a large number of medical events
12 because we are seeing approximately 100 per year. The vast majority, at least
13 60 percent of those, are due to D90-based deviations. And in Wisconsin --

14 CHAIRMAN JACZKO: But if people -- I mean, is there -- what -- if
15 you don't use D90, what do you use?

16 JAMES WELSH: If we use the activity-based the numbers would
17 be much less.

18 W. ROBERT LEE: -- the point I was trying to make. You keep
19 calling it that the existing regulation is a dose-based rule. The NRC for years has
20 allowed dose to be defined in the brachytherapy setting as activity. So --

21 CHAIRMAN JACZKO: So it's not a --

22 W. ROBERT LEE: -- it's activity.

23 CHAIRMAN JACZKO: Yeah.

24 JAMES WELSH: That's the way I define --

25 CHAIRMAN JACZKO: Well, it's dose.

1 JAMES WELSH: For a regulatory --

2 CHAIRMAN JACZKO: Yeah.

3 JAMES WELSH: -- unless I put in 20 percent more activity than I
4 said I was going to, or 20 percent less.

5 CHAIRMAN JACZKO: So why do we need to change the definition
6 then?

7 JAMES WELSH: Because --

8 CHAIRMAN JACZKO: I mean, why do we need to change the
9 rule? If that's under guidance an acceptable dose definition then what's the need
10 for the rule change?

11 W. ROBERT LEE: There is currently lack of clarity, people don't
12 understand.

13 CHAIRMAN JACZKO: I mean that could be clarified in guidance.

14 W. ROBERT LEE: Potentially.

15 CHAIRMAN JACZKO: Yeah.

16 W. ROBERT LEE: I think we've already seen that places are just
17 closing. They're just not offering the service anymore.

18 CHAIRMAN JACZKO: No, I mean -- you know, again -- I mean, I'm
19 sympathetic to the concerns you have but what I'm not seeing the big issue that
20 everybody claims is going to happen with the new rule. And in the new rule, all --
21 well, not the new rule, but the existing rule doesn't require D90. I don't know,
22 maybe the staff can help clarify this. So -- and somebody just said it was true. I
23 don't know who said --

24 W. ROBERT LEE: But what has happened is that the VA has
25 interpreted -- the VA has made D90 as the metric.

1 CHAIRMAN JACZKO: Okay, well the VA is not the NRC.

2 W. ROBERT LEE: I understand that.

3 CHAIRMAN JACZKO: So -- but --

4 W. ROBERT LEE: But practically everyone else, or a lot of people,
5 have taken that to be the rule.

6 CHAIRMAN JACZKO: Well this is helpful. I mean, again, I don't
7 know that it's the right answer, to do it this way. I mean, you know, from a -- you
8 know, from a transparency perspective we probably want the rule to be as clear
9 as possible, but it doesn't seem that the rule as it is now is as -- it's the use of
10 D90, which the rule doesn't dictate, which seems to be the issue more than
11 anything.

12 W. ROBERT LEE: So I'll give you a concrete example. At a VA
13 across the street from where I work, at which I'm credentialed, the administrator
14 at that hospital went behind the authorized user's back to the physicist and
15 demanded to know the D90 of the patient 20 minutes after the procedure was
16 completed, okay? And so the administrator's just chasing after a member that
17 doesn't understand the complexity and you have medical physicists who are
18 trying to do the best by the patients who are fixated on a number and then will
19 blow the whistle on something that is, you know --

20 CHAIRMAN JACZKO: Right, but that's not -- you know -- and,
21 again, I think that, you know, part of this -- you know, that -- and I can appreciate
22 confusion and I think that's an important point, that we need to clarify what the
23 actual meaning of the rule is. But, I mean, an administrator can take that action
24 regardless of what -- I mean, an administrator could take that action even if the
25 rule said it's a source-based. An administrator could come in and say, "I would

1 like to know what the D90 value is because I've heard that that's another way to
2 measure this and I'd like to know a perspective on the D90." We can't stop that,
3 necessarily. So that -- I'm not sure that that's necessarily relevant to our
4 discussion, but --

5 ANTHONY ZIETMAN: Well, I would say that the proposed rule
6 brings with it clarity and reproducibility and no time dependence. So if that
7 hospital administrator, 20 minutes after a procedure, wanted to know whether 20
8 percent of the activity was outside the prostate, we could find that. And I'm sure
9 it's in the patients' interests for firstly responding to a hospital administrator in
10 that way, but --

11 CHAIRMAN JACZKO: But -- again, I guess what I'm trying to go
12 back to is this may be a guidance change, not a rule change because it doesn't --
13 it sounds like the -- as I'm understanding it, and again stop if you can clarify this,
14 that we don't need a rule change here, which is a little bit, I think, new to me.
15 That if the issue is whether we will accept some concept for activity as the dose
16 measurement, that should be acceptable under the current rule. Again, maybe
17 stop me if you can clarify that for me. Again, speaking strictly from the regulatory
18 perspective, that seems to be the problem, is D90 seems to be the problem. Not
19 necessarily the fact that we have a dose-based rule, it's the fact that D90 is the
20 way we measure the dose. And -- yeah.

21 LAURA WEIL: If the goal of rulemaking is to protect patients then
22 the best rule would be the clearest and the most universally applicable in order to
23 protect patients.

24 CHAIRMAN JACZKO: So that would argue for changing the rule to
25 prevent the use of D90.

1 W. ROBERT LEE: Well, no, I think you can -- if institutions or
2 States want to incorporate that into the process, I think that's fine.

3 CHAIRMAN JACZKO: Incorporate what into the process?

4 W. ROBERT LEE: Dosimetric quantifiers. But the rule itself, that's
5 the problem. The rule is -- the language is what the language is, but if you go to
6 institution A and institution B, how it's interpreted is completely different.

7 CHAIRMAN JACZKO: Yeah. Well -- and again -- and that --

8 W. ROBERT LEE: Be that a medical event in Illinois, the same
9 thing is not a medical event in Indiana.

10 CHAIRMAN JACZKO: Yeah.

11 W. ROBERT LEE: And that's the --

12 CHAIRMAN JACZKO: No, and I appreciate that. I'm just -- from --
13 perhaps this is from a legal perspective, whether that really requires us changing
14 the rule -- and, again, we can argue whether that's a good policy but I'd probably
15 lean to the fact that is a good probably policy choice to actually make the rule as
16 clear as we can. But it may be something that we can specify because the rule
17 change will take time -- that we can make some clarifying guidance ahead of time
18 to at least get clarity now on what the situation is and on -- you wanted to say
19 something?

20 RONALD ZELAC: Mr. Chairman --

21 CHAIRMAN JACZKO: Yeah.

22 RONALD ZELAC: -- I can add some words. This may provide a
23 little bit of clarity to the situation. The rule that we're operating under now for
24 brachytherapy is all-inclusive of all brachytherapy.

25 CHAIRMAN JACZKO: Right.

1 RONALD ZELAC: Temporary and permanent. The use of activity
2 and time was put into the rule intending to be employed for temporary implants,
3 how much activity are you putting into place, and how long is it staying there as
4 the surrogate of dose.

5 CHAIRMAN JACZKO: So the current rule does not envision that
6 being used for permanent brachytherapy?

7 RONALD ZELAC: That's correct.

8 CHAIRMAN JACZKO: Okay.

9 RONALD ZELAC: And the metric used for permanent implant
10 brachytherapy would traditionally be looked at more towards absorbed dose as it
11 is for the other modalities that are used for therapy than it would activity and time.
12 Although the way the rule is written, activity, time can be used for permanent, as
13 well.

14 CHAIRMAN JACZKO: Okay. Okay, thank you, that's helpful. I
15 guess it shows that it's not clear. Well, anyway -- again, I'm not -- I think -- you
16 know, this is a difficult situation. I mean, I do, you know, fundamentally believe
17 as a regulator our job is dose. I mean, that's what we're here for. But it is clear
18 to me that this is not something which can be easily measured for the prostate
19 and so we have -- you know, we -- what I think, in my mind, I'll have to convince
20 myself of is that in the end that we're not relinquishing our responsibility for
21 radiation safety to, what I would not necessarily call patients, but to members of
22 the public, and that's really where our responsibility lies and -- you know, and I
23 think that is -- will always be a difficult area here where we are specifically dosing
24 tissue for medical benefit and where we do have a degree of deference, where
25 we have to give to the judgment of the medical community, and I think that's

1 often where we find ourselves here.

2 So I probably talked long enough. So, again, I appreciate all of you
3 being here and your presentations. I think it's very, very helpful and we'll take a
4 quick break and then hear from the staff. Thanks.

5 [break]

6 CHAIRMAN JACZKO: Want to get -- can you get started?

7 BILL BORCHARDT: Yeah.

8 CHAIRMAN JACZKO: Okay. Okay, great.

9 BILL BORCHARDT: Okay. Thank you, Chairman. Since 2010,
10 following the Commission direction the staff's been actively engaged with the
11 stakeholders and, as you saw from the first panel, there's a lot of very good
12 information that we benefit from as we developed the position and the
13 recommendation that's in the paper before the Commission now. Brian's going
14 to provide some background on the issue, then Dr. Zelac is going to give the
15 details of the various perspectives that have been brought before the NRC staff
16 and then the staff's recommendations. So I'll turn to Brian.

17 BRIAN MCDERMOTT: Thanks, Bill. Good morning, Chairman and
18 Commissioners. Since joining FSME last October I've really come to appreciate
19 the importance of having appropriate criteria for medical events. Medical events
20 may indicate potential problems with the facility's use of radioactive materials, but
21 not necessarily result in harm to the patient. I think the general medical event
22 recording criteria in Part 35, as you've heard, is not considered to apply well for
23 permanent implant brachytherapy by either the user community or the NRC staff.
24 As a result, we've had a considerable amount of effort put into improving the
25 medical event reporting criteria for permanent implant brachytherapy. And I'd

1 like to go over just a brief history of the efforts to make these changes before we
2 get into the details.

3 In 2005, the Commission directed the staff to develop a proposed
4 rule that would modify both the written directive requirements and the medical
5 event reporting requirements to be activity-based rather than dose-based as was
6 recommended at the time by the ACMUI. In 2008, the Commission approved the
7 publication of a proposed rule to amend the pertinent sections of Part 35 and the
8 vast majority of the comments received on the proposed rule offered no
9 objections to the conversion to a dose-based, permanent dose-based to an
10 activity-based medical event criteria.

11 However, it was during this summer and fall of 2008 that there were
12 a substantial number of medical events involving permanent implant
13 brachytherapy that caused us to pause, and then we had a number of reviews of
14 those events, and based on those reviews, we believe that the number of them
15 would not be categorized as medical events under the proposed rule.

16 In '09, the Commission sought further information from the ACMUI
17 and directed the staff to work with the committee to provide recommendations on
18 regulatory changes.

19 In 2010, proposed rule language and rationale were modified to
20 reflect the new information gained from the review of those 2008 events.
21 However, the Commission disapproved publishing that repropose rule, and as
22 Bill mentioned earlier, directed the staff to work closely with the ACMUI, and that
23 broader medical and stakeholder community, to develop revised medical event
24 definitions, and you heard mentioned that earlier, about the public workshops
25 that were held in the summer of 2011.

1 In October of '11, the staff received the ACMUI's final report on
2 prostate brachytherapy regulations. There was a response to that report from
3 interested parties in the medical community, and I was very pleased that the
4 ACMUI revisited its final report, and actually provided a revision to the staff in
5 February of '12, that better incorporated some of those stakeholder community
6 views.

7 Most recently, on April 4th, the staff provided the recommendations
8 to the Commission for amendments to the regulatory requirements for permanent
9 implant brachytherapy programs that appear in 35, 40 written directives, and in
10 35.3045 medical event reporting. As directed by the Commission, staff has
11 worked closely with the ACMUI and the broader stakeholder community in
12 developing these recommendations. The recommendations include changing
13 from a dose-based criterion for assessing whether a medical event has occurred,
14 to a hybrid definition, using dose-based criteria for normal tissue and source
15 strength base criteria for the treatment site. This approach was, and is,
16 consistent with the February, 2011 recommendation from the ACMUI, and most
17 of the input from our stakeholders. At this point, I'd like to turn the presentation
18 to Dr. Ronald Zelac, my staff, who's going to talk to the recommendations more
19 detail.

20 RONALD ZELAC: Good morning. My presentation is focused on
21 staff's recommendations, their objectives, the reasons for change, their basis,
22 and their content. I'll also discuss how and why the recommendations differ in
23 some respects from recommendations offered by stakeholders, and finally, I will
24 provide some staff positions about the recommendations. Next slide.

25 I think you're one ahead of me. Yes, thank you. The main

1 objectives of the recommendations were first and foremost to change the
2 treatment site medical event criterion from dose- based to source strength based,
3 and secondarily, to remove ambiguity from the written directive as well as from
4 the medical event requirements.

5 For the first bullet there, the nearly unanimous position of
6 stakeholders is that a dose based criterion for the treatment site limits the
7 physician's authorization -- authorized user's ability to provide optimum patient
8 care without resulting in inappropriately identified medical events. Next.

9 Reasons for the change, authorized users can't control, as you
10 have heard, patient related factors and use of the available absorbed dose metric
11 causes much concern, and finally, the current rule is worded towards temporary
12 implants. For the first bullet, an example, a patient related factor as you've
13 heard, is edema and swelling of the treatment site that's created during the
14 implant procedure itself, but physicians can control where and how many seeds
15 are implanted. For the second bullet, the absorbed dose metric variances, the
16 trigger of medical event don't relate to patient harm or potential harm, and that's
17 an issue. Suitable absorbed dose metric, in fact, does not exist. Next.

18 The recommendations which appear in the paper that has been
19 provided are based on recommendations that we staff have received from the
20 ACMUI's revised final report, from stakeholder input that we received from the
21 workshops which have been mentioned, as well as from public meetings, which
22 preceded and followed those workshops. We have also included consideration
23 of ASTRO's recommendations, and the recommendations from the Organization
24 of Agreement states. Next.

25 This slide and the next several slides are going to speak to the

1 recommendations themselves. The first recommendation is that we define
2 separate medical event criteria or permanent implant brachytherapy utilizing
3 radioactive seeds, or sources. This medical event criteria would differ from those
4 used for other medical uses, which are primarily dose-based, and accordingly,
5 separate criteria are recommended for this use.

6 With respect to the second recommendation, it's here, the
7 treatment site medical event, if 20 percent or more of the implanted seeds are
8 outside of the intended implant location. Here, source strength and positioning is
9 the measurable metric or surrogate for dose, as related to harm or potential
10 harm. With respect to the 20 percent that appears there, 20 percent is the
11 variance limit from physician intention that was approved by the Commission on
12 the recommendation of the ACMUI, for all medical uses of byproduct material.
13 Next.

14 I was asked to include a couple of pictures before we begin
15 speaking about nearby and neighboring structures. This particular diagram gives
16 you the locations, if you will, of the primary target for this procedure, which is the
17 prostate as well as the nearby, neighboring normal tissue structures of the
18 urinary bladder, and the rectum. Nearby normal tissues and structures are, in
19 fact, the organs at risk that limit the magnitude of the dose to the treatment site,
20 because dose is delivered as well to those tissues which are nearby. Next.

21 This gives a graphical appearance, rather than pictures of post
22 implant, post imaging treatment assessment, is shown here with the seed
23 positions defined and the projected absorbed doses. The seeds, or course, are
24 the dashes that you see, and the treatment site target itself, the prostate. Next.
25 Slide.

1 So, we are talking now not about the treatment site, but about the
2 normal tissues and nearby, neighboring organs or structures. For normal tissue
3 and neighboring structures, a medical event will have occurred if dose to the
4 contiguous greater than five cc's or more exceeds 150 percent of the absorbed
5 dose prescribed for the treatment site. This is because nearby tissues and
6 structures will generally be receiving essentially the same dose as at least
7 portions of them, to the tissue that is the principle target. Absorb dose
8 determinations for this criterion are to be made within 60 days of the implant,
9 unless a longer time is justified in writing, and because of this criterion, the fact
10 that doses need to be determined, there is an implicit operational requirement for
11 post-implant imaging, as strongly recommended during the public workshops
12 and, in fact, as practiced in most clinical facilities.

13 The other thing, which needs to be, I think, at least mentioned, the
14 five cc's. If you want to picture it, something the size of a large grape, or a large
15 olive, and the 50 percent excess dose, which is listed there, is, in fact, what
16 appears in the current rule for normal tissue. So, it's simply been carried over to
17 this new recommendation. Next.

18 Now, for normal tissue structures within the treatment site, medical
19 event, if the dose to a contiguous five cc's or more exceeds 150 percent of the
20 expected absorbed dose for that tissue, as you have heard. The absorbed dose
21 determinations, again, are to be made within 60 days of the implant, unless a
22 longer time is justified in writing. I will also note that staff is seeking further
23 stakeholder input on the size normal tissue contiguous volume being highly
24 irradiated, that would trigger a medical event, as there are some differences in
25 opinion. In other words, I'm talking about the five cc's as the criterion. Next.

1 Again, we're still talking about medical event definitions. A medical
2 event, if treatment is administered using the wrong radionuclide, using the wrong
3 source strength as specified in the written directive, or with delivery, of course,
4 to the wrong patient. Next slide.

5 Again, medical event would have occurred if treatment is
6 administered and if implantation directly into the wrong site or body part, with
7 delivery using the wrong modality, or if leaking sources are used. Now, the first
8 item there is implantation directly into the wrong site or body part applies to other
9 distant from the treatment site locations, not to neighboring structures. Next.

10 Just a general comment about these recommendations for the
11 treatment site, and normal tissues, all of them, all of these proposed medical
12 event criteria reflect circumstances in which there is, in fact, actual, or at least
13 potential harm to the patients being treated. This characteristic is consistent with
14 ACMUI recommendations and stakeholder input that has been received, with all
15 the interactions that we have had. Next slide.

16 This slide is to now speak to the written directive definition. There
17 are a few modifications from our current written directive definition, and they are
18 listed here; first, to define separate criteria for permanent implant brachytherapy,
19 second, to delete total dose as an option for completing the written directive,
20 again, this applies to permanent implant brachytherapy only. And finally, replace
21 the phrase that appears now, before completion of the procedure.

22 For this second item, deletion of total dose, if total dose is deleted,
23 what it would leave is total source strength and exposure time, as the required
24 entry field, along with the other entry fields that are already there, like
25 radionuclide treatment site and the number of sources utilized.

1 For the third item, the third bullet there, before completion of the
2 procedure, this would be replaced with before the patient is released and the
3 authorized users control, and leaves the post-procedure recovery area, in other
4 words, at the conclusion of the implantation itself. The wording reflects the
5 ACMUI's position and this recommendation is being offered to remove the
6 uncertainty that has been encountered in interpretation of the existing
7 requirement. Next.

8 This slide and the next two are going to speak to variances
9 between the recommendations that had been provided and recommendations
10 that we as staff, have received from the various organizations that I mentioned
11 previously. The first of these deals with the ACMUI's revised final report, the
12 February 2012 report. The difference here of our recommendations from their
13 report is there's no requirement for an authorized user at that station on source
14 distribution. Now, this concern involves a possible bunching of implanted seeds
15 in the treatment site, instead of being distributed as the authorized user had
16 planned before the start of the procedure.

17 Staff believes that the existing requirements in our current 10 CFR
18 35.40, dealing with written directives, and 35.41 procedures when written
19 directives are required, plus the recommended medical event criteria on
20 absorbed-dosed to normal tissue structures that has been offered here provide
21 patient protection from undeclared or unrecognized bunching, and provide
22 opportunity for the authorized user physician to initiate follow-up medical
23 remediation, if deemed appropriate. Next.

24 With respect to ASTRO's recommendations, we clearly have
25 included a source -- a dose-based medical event criteria for normal tissues and

1 structures had been received directly in writing as a recommendation, did only
2 deal with the treatment site itself, without making mention of anything relating to
3 normal tissues and structures. However, as you heard, there isn't apparently any
4 objection on the part of ASTRO to the inclusion of these. There has been no
5 ASTRO objection to the dose-based elements that the ACMUI's revised final
6 report, upon which our recommendations are based. Next.

7 With respect to the Organization of Agreement State
8 recommendations, the first variance is not having the dose-based medical event
9 criterion for the treatment site. The OAS rationale and desiring this, as you have
10 heard, was that dose-based criteria exists for all other medical uses. However,
11 dose-based criteria for the treatment site have been opposed by other
12 stakeholders from whom NRC has received input.

13 The second variation from OAS recommendations is having a set
14 dose threshold medical event criterion for normal tissues and structures. Part of
15 the recommendation that we received was that the doses that would trigger an
16 event should be defined by the authorized user, individually for each treatment
17 being provided. The intended doses, in fact, are under the control of the
18 authorized user, as OAS had recommended, and I will note that OAS is the only
19 stakeholder that has objected to us, including preset percentages of 50 percent
20 approach, or the values recommended. Next.

21 This and the next slide are simply providing some overall summary
22 statements from staff on our position with respect to these recommendations.
23 We certainly feel that patient's interest would be protected by these changes and
24 the physicians would be able to take medically necessary actions. Next.

25 We also believe that NRC would continue to be able to detect

1 failures in process, procedures, and training, plus misapplications by authorized
2 users, and finally, we want to make it very clear that we have been listening to
3 the stakeholders and we've had many opportunities to hear from them, and we
4 have tried to, as best to our ability, to reflect their input in these
5 recommendations, and that concludes my presentation.

6 CHAIRMAN JACZKO: Great, well thank you. We'll turn to
7 Commissioner Magwood.

8 COMMISSIONER MAGWOOD: Thank you and thank you for the
9 presentation today. This is, obviously, this has been an issue that's been
10 around for a long time, but it seems like it's been a long, around since I joined the
11 Commission, and Dr. Zelac and I have had several discussions about this, and I
12 do appreciate the fact that staff's worked so hard to try to bring the community
13 together on this. I know it's been a very difficult subject, but, you know, Dr.
14 Zelac, in particular, I think even though you've worked personally a lot on this, so
15 I appreciate your efforts in this. The work is certainly shown here.

16 One area, and you mentioned it towards the end, one area that
17 does seem to be a bit of an issue is the view of the States, and I think you heard
18 the previous panel. The States would like to have flexibility to continue using
19 dose-based approaches. I wonder if the staff can talk a bit about this. What are
20 the -- what are your thoughts about the States requesting that direction, and I'd
21 also like to hear any thoughts you have about what hospital downsize could exist
22 if we go the other direction, don't provide that flexibility. Just give us some
23 thoughts on your view on that.

24 RONALD ZELAC: Currently, the section 35.3045 is considered to
25 be a compatibility C, meaning that as long as the essential objectives of the

1 regulation that NRC provides are included in State requirements, we're satisfied.
2 There is not a limitation currently, nor frankly, at least at this point, do I envision
3 there being such a limitation placed on any modified definitions that might come
4 forth. So, if a State wished to include a dose-based criterion that should be
5 satisfactory from our perspective, as long as the activity base is included as well,
6 source strength-based.

7 COMMISSIONER MAGWOOD: You clarified towards the end, this
8 question about whether licenses to use activity now, in the permanent
9 brachytherapy, and that obviously some of them are, and it sounds like that
10 there's enough ambiguity in the current rule that licensees have been able to go
11 in different directions on this, and that's obviously created some inconsistencies.
12 Do you feel that the new rule would eliminate a lot of those inconsistencies?

13 RONALD ZELAC: I certainly do. I think the time is overdue for us
14 to have something which is easily understood and easily implemented by the
15 practitioners, not just with respect to what constitutes a medical event, but the
16 timing associated with making these determinations, which is now extremely
17 difficult problem with much variation from one facility to another. In one case, the
18 day after the procedure is done, the dose determinations are made. In another
19 case, the dose determinations are made a couple of months later, or somewhere
20 in between, and the question is when is the procedure completed. Is it when the
21 implant is done or is it when the dose determination has been made?

22 COMMISSIONER MAGWOOD: There still seems to be a few
23 pieces of this that have to be wrestled to the ground. One is this issue about the
24 size of normal tissue contiguous volume; I guess you're going to have some
25 more interactions, stakeholders. Can you talk about that specifically, what you

1 plan to do to try to resolve that, and are there any other remaining issues that
2 you think need to be resolved before this rule goes final?

3 RONALD ZELAC: The size of the volume of normal tissue, which
4 would constitute a criterion for a medical event, is something that is definitely in
5 need of further input from the user community, whether this is accomplished
6 when the proposed rule would be published, asking specifically a question for
7 this, or whether we could glean out in advance of that, something that would be
8 more definitive to, perhaps modify, or feel more comfortable with it as it is. You
9 know, that's not completely clear that we could, but we will certainly make an
10 attempt to. I participated in the American Brachytherapy Society meeting about
11 two weeks ago, where these questions were being raised, and discussion was
12 ensuing. So, we're trying to keep our avenues of input to this question open.

13 COMMISSIONER MAGWOOD: Is it the sort of thing that lends
14 itself to workshops, something like that?

15 RONALD ZELAC: It could. It could.

16 COMMISSIONER MAGWOOD: Are there any other issues of that
17 nature that were made, unresolved?

18 RONALD ZELAC: Well, we've just heard one today, with respect to
19 normal tissue that's located within the treatment site. However, it's really
20 important to keep in mind that when we're writing this, it's for all permanent
21 implant brachytherapy utilizing sealed sources. Now, the principal utilization
22 today certainly is prostate, but there are other tissues involved too, and if having
23 a normal tissue within the treatment site criterion is not particularly appropriate
24 for prostate, it may well be appropriate for other organs in which implants are
25 done.

1 COMMISSIONER MAGWOOD: Okay, great. Well, thank you, and
2 again, you know, thank you for the hard work and I know a lot has gone into this.
3 Bill, did you want to --

4 BILL BORCHARDT: Yeah, commissioner. I just wanted to bring
5 up one aspect of the question you asked about State and the compatibility issue.
6 What -- just thinking about this very broadly, one of the reasons we have medical
7 events and all reportable events, is so that we can learn generically to the extent
8 that there's a variation between States and NRC requirements for medical
9 events. That makes that more problematic, right? We don't have medical events
10 to be a punitive act. We do it so that we can learn and make safety
11 improvements, or regulatory improvements where warranted. So, that's a
12 counterbalance there, one of the factors, I would say, that we would need to take
13 into consideration before we decide how much variability is appropriate.

14 COMMISSIONER MAGWOOD: So, in your mind, the issue
15 capability is still somewhat --

16 BILL BORCHARDT: Sill needed to be evaluated, I think.

17 COMMISSIONER MAGWOOD: Okay. That's fair. I look forward
18 to the staff's views on that. All right. Thank you. Thank you, Chairman.

19 CHAIRMAN JACZKO: Commissioner Ostendorff.

20 COMMISSIONER OSTENDORFF: Thanks, Chairman, and thank
21 you all for your presentations today. I want to start out with our -- with Ron here.
22 If the current -- if the proposed revised definition were in place at the time of the
23 Veterans Administration problems here, two years ago, or two plus years ago,
24 would the revised definition, if that had been in place, that has significantly
25 changed to how the NRC reviewed the VA prostate situation?

1 RONALD ZELAC: If the criteria that we are proposing here for
2 medical event reporting with the treatment site, were in place at the time, we
3 would have found that we had a practitioner who either was not competent or
4 was ignoring what he knew should be done with the very first case, and this goes
5 back to, like, I believe 2003, or 2005, somewhere in that area, or in that -- the
6 particulars of that occurrence were that a significant number of seeds which had
7 been implanted wound up in the bladder, and they were subsequently relatively
8 soon removed, and the numbers of seeds that remained in the prostate or near
9 the prostate itself, was only about half of what had been implanted and had been
10 intended. That would have clearly triggered a medical event and we would have
11 been able to at that point, ask the licensee as well as ourselves, to take a very
12 careful look at how these procedures were being carried out at that particular
13 facility. So, yes, it would have had an impact on what occurred and came to light
14 later, in 2008.

15 COMMISSIONER OSTENDORFF: But would it have resulted in
16 there being significantly less number of medical events?

17 RONALD ZELAC: Absolutely, because it would have occurred that
18 much earlier.

19 COMMISSIONER OSTENDORFF: Okay. One of the things I
20 remember Commissioner Magwood had highlighted when he and I both joined
21 the Commission around the same time, that this has been an issue every since
22 we've been here and Chairman Jaczko's been dealing with this for a longer
23 period of time.

24 CHAIRMAN JACZKO: I think since I first joined the Commission,
25 too [laughs], so...

1 COMMISSIONER OSTENDORFF: And there's been an
2 evolutionary nature of some of the discussions, and some of the positions, but
3 one of the issues that appear to be the case back in 2010 was that the existing
4 definition for Part 35 did not provide physicians with significant -- enough
5 flexibility to in situ during a procedure, make changes as the situation dictated. Is
6 it your sense that the revised definition of medical event reporting from the
7 stakeholder meetings and the feedback you got, and that concern has been
8 resolved?

9 RONALD ZELAC: I think it has and if these recommendations were
10 enacted, it would be totally resolved in its entirety. The written directive
11 requirement now, the pre-implantation written directive requirement calls for the
12 practitioner to indicate first, clearly, who the patient is, second, what the
13 treatment site is, where are the seeds going to be implanted, third, the
14 radionuclide to be used, and fourth, because it's always included anyway, what
15 dose are you intending to deliver to the treatment site. That's all they need to
16 state before the procedure begins.

17 Now, if there's a treatment plan associated with this written
18 directive, all the better. They can certainly incorporate that. They can
19 incorporate whatever further and explanatory information they'd like to include
20 about the treatment site description, or any problems they encounter, or anything
21 else, but that's all at this point that they're being held to, in terms of providing
22 information. Then, when the implantation is done, again, what radionuclide was
23 used? How much total source strength did you implant? How many sources did
24 you implant? And of course, the treatment site itself? That simply reflects what
25 had been accomplished during the procedure, but not being held to having any

1 match to what had been planned initially. So in that sense, the physicians will
2 have full and complete ability to modify as appropriate, during the procedure of
3 what they intend to be doing.

4 COMMISSIONER OSTENDORFF: Okay. I'm going to stay on the
5 same theme with you, but it's in a little different direction, a question that deals
6 with the perception or the reality that physicians who perform these procedures
7 face. I think we heard at the first panel, a comment, I believe it may have been
8 Dr. Zietman that stated that there had been a deterrent effect that the VA event
9 and the current definition of medical event had served to deter physicians from
10 offering these treatments, and we heard some statistics about how many clinics
11 were offering it now, compared to what had been the case. Does that match up
12 with the NRC staff's views as to how the medical community has viewed the
13 definition of medical event, and perhaps has it precluded the availability of this
14 treatment?

15 RONALD ZELAC: It's our position, having received input on this
16 and knowing what's been going on about it for these many years, that in fact,
17 there is a hesitancy on the part of some practitioners to get engaged in this at all,
18 because of the uncertainties that are associated with it, relative to regulatory
19 outcome. The mere fact that we're only seeing in the United States now, 25
20 percent of the cases being done in this way, as compared to just a few years
21 ago, is an indication that clearly there's a reluctance to be implying this. Granted,
22 there are other reasons that this number has declined, but certainly in many
23 respects, this is the principal one.

24 COMMISSIONER OSTENDORFF: And I'll ask just one follow-up
25 question on that, and I perhaps should have asked this of the prior panel, I just

1 didn't think about it, but from your stakeholder meetings, engagements, public
2 meetings, et cetera, was the notion of medical malpractice insurance premiums,
3 malpractice claims associated with prostate cancer treatment? Was that an
4 element of the discussions?

5 RONALD ZELAC: I don't have a recollection of that being an issue.
6 I think it was more of the concern of the practitioners about how they would be
7 treated from a regulatory point of view.

8 COMMISSIONER OSTENDORFF: Okay.

9 RONALD ZELAC: That was the focus of the interactions.

10 COMMISSIONER OSTENDORFF: Okay. Thank you. Thank you
11 all. Thank you, Mr. Chairman.

12 CHAIRMAN JACZKO: I thought I'd just make a comment on the
13 compatibility issue. I think perhaps more in line where Bill is. I'm skeptical that
14 this is something where we can have a wide latitude of compatibility. I mean I
15 think if we're going to go to a new definition of the whole reason is because there
16 isn't consistency in the application, and it would seem that that would lend itself
17 towards a more restrictive compatibility designation, whether that's B or, you
18 know, I don't know if we went to a source, how you could have a State have both
19 a source and a dose-based activity, so it may be one of those things that we
20 don't really have a real option here if what we're trying to do is clarify, otherwise,
21 it seems we could just work under the existing rule as it stands, but that's just my
22 thoughts on that.

23 I had a couple of questions, and again, this is probably something I
24 should have asked the earlier panel, but I didn't. In terms of the 50 percent
25 deviation or increase for the tissue internal to the prostate, in this case the

1 urethra, is the rule intended that that needs to be -- that you need those
2 dosimetry to measure that, or can that be determined by analysis, by, you know,
3 CT scan, and then some measurement of the placement of the sources, and then
4 a calculated dose, or is intended to be a measured dose?

5 RONALD ZELAC: It -- and I'd look to any of the panelists that
6 preceded ours to add, but it's my understanding that what's really being done is
7 to determine where the urethra is located.

8 CHAIRMAN JACZKO: Yeah.

9 RONALD ZELAC: And on the basis of it plus the array of seeds,
10 then a dose assessment can be made for it.

11 CHAIRMAN JACZKO: So, it's not necessarily needing --

12 RONALD ZELAC: If it's not measured to the extent that you have a
13 dosimeter, you know, in the urethra during a procedure, and, or anything like that
14 --

15 CHAIRMAN JACZKO: Or after...

16 RONALD ZELAC: Or after.

17 CHAIRMAN JACZKO: Okay, because I think there were some
18 insinuation that that would be necessary to do the dosimetry, which may be
19 uncomfortable for the patient.

20 RONALD ZELAC: Well, again, I think that was relating to being
21 able to know precisely --

22 CHAIRMAN JACZKO: Yeah.

23 RONALD ZELAC: -- or within reason of the imaging technique
24 used, where, in fact, the urethra is located, relative to the seed distribution.

25 CHAIRMAN JACZKO: Okay. Okay. So, in fact there may need to

1 be some invasive procedure to do that or --

2 RONALD ZELAC: No.

3 CHAIRMAN JACZKO: -- or, but would under the rule, the staff
4 would accept or in guidance, you know, a contour for the urethra much in the
5 same way we do a contour for the prostate. That would be your understanding of
6 the rule?

7 RONALD ZELAC: Yeah.

8 CHAIRMAN JACZKO: Okay, I think that's maybe a helpful thing
9 that we could clarify as we go forward. On the issue of just in terms of process of
10 where we are, we, at this point, have I believe, I'm not sure, but have issued, at
11 one point, a proposed rule, which was withdrawn, or did we not get to the point of
12 issuing a proposed rule? Where --

13 RONALD ZELAC: We had issued a proposed rule in 2008.

14 CHAIRMAN JACZKO: Okay.

15 RONALD ZELAC: We received comments on the proposed rule
16 and then we had the VA occurrence, and it was taking all of what had been
17 received in comment, plus the learning experience from the VA, that was the
18 basis for the repropose rule in 2010, now which of course, was not published.

19 CHAIRMAN JACZKO: So at this point, do we need to renote a
20 brand new rule or is the thinking that we would repropose the proposed rule, or
21 what's the process, assuming the Commission, you know, would vote one way,
22 do something with the recommendations here, but and then what's the next
23 step?

24 BRIAN MCDERMOTT: Well, we're back at the point where we're
25 planning to incorporate this rulemaking, if the Commission approves, in a larger

1 Part 35 medical rulemaking. So...

2 CHAIRMAN JACZKO: Where does that one stand?

3 BRIAN MCDERMOTT: I think the proposed rule would come to the

4 Commission somewhere in the end of calendar year '12, first quarter of '13.

5 CHAIRMAN JACZKO: Okay. In the interim, on one of the
6 discussions perhaps we had earlier was the possibility of some type of
7 clarificatory guidance in the interim, to at least have a consistent application
8 under the existing rule, albeit not the most perhaps preferred way to do it, but
9 what's your sense of the ability to do something like that if the Commission were
10 to say move to an activity -- or the source base standard, at least for that, could
11 that be done under the existing rule with some guidance pending the rule
12 change?

13 RONALD ZELAC: As Mr. Timmerman pointed out, there's been a
14 combined effort of the Agreement States and NRC to create guidance for the
15 current rule, so that it can be more easily understood and implemented in the
16 timeframe from now until such time as, in fact, the final rule is published.

17 CHAIRMAN JACZKO: Okay.

18 RONALD ZELAC: So yeah, that clearly has in part been
19 accomplished in terms of creation of a revised inspection procedure, which is --
20 as was noted, going to be discussed or tutored, if you will, both for Agreement
21 State people as well as NRC people, this very Thursday.

22 CHAIRMAN JACZKO: And that would allow for a source based
23 reconstruction of dose, or not? It would essentially be, and again, just talking
24 about the, you know, the target volume here, would essentially the staff proposal
25 under the new approach, be acceptable from a guidance perspective as a way to

1 satisfy the current rule?

2 BRIAN MCDERMOTT: I think what the staff tried to do with the
3 revised inspection guidance was certainly, be mindful of the fact that our current
4 regulations are what they are. So, you know, no inspection guidance can alter
5 that, but what they did try to do was ensure that the focus of the inspectors was
6 on the broader picture of the program. I think this was mentioned earlier
7 regarding the VA case. There were a number of those examples that would
8 clearly demonstrate there was a problem with the program, without going to --

9 CHAIRMAN JACZKO: I mean I'm -- I mean I think to me, this is an
10 important question and I am not asking this right. I mean if we have a proposed
11 rule that comes in 2012, late 2012, early 2013, that's going to take, you know,
12 Commission acting at its fastest will be done within 30 days. That's got to go out
13 then for 75 days for public comment. You will then get another flurry of
14 comments and this would be a part of a larger rule packet. So, there'll be other
15 issues as well in the Part 35 revisions, none of which ever seem to be
16 uncontroversial. So, we are talking maybe the earliest 2014 to have a final rule
17 to the Commission. So, we're talking about a long time from now, and if what
18 we're hearing is the practice, is the current rule is having impact on medical
19 practice, then we may want to think about a different way forward. If under the
20 current rule we couldn't provide some clarity, and I'm not talking about inspection
21 guidance; I'm talking about, I mean, rule guidance on -- or regulatory guidance
22 on what the rule really means.

23 BILL BORCHARDT: I think I'd rather take a look up and get back
24 to the Commission on the various options that could be presented, but just off the
25 top of my head, I mean there's enforcement discretion. There's a lot of different

1 tools that we have available. We could issue orders right?

2 CHAIRMAN JACZKO: Yeah.

3 BILL BORCHARDT: -- that superimpose over the existing
4 regulations, if in fact, there was a conflict, but I'd rather study this and get back to
5 the Commission.

6 CHAIRMAN JACZKO: I guess what I'm taking away is that right
7 now we probably would have to take some action to --

8 BILL BORCHARDT: That would be my best guess.

9 CHAIRMAN JACZKO: Okay, thanks. The last question, and again,
10 this just goes back to the issue with the written directive. We did have, I think,
11 Dr. Zelac, you mentioned the 2003 incidents, and I think that was what drove
12 some of this, and one of them was in fact an incident that involved a modification
13 to the written directives such that, and I think it's one that probably certainly
14 under the current definition would have shown up as a medical event. I think the
15 incident involved the implementation of 34 seeds instead of 74 into the prostate.
16 So, that would meet the -- it would meet the 20 percent deviation, and it did not
17 exceed the 50 percent dose to the expected, or to the -- oh, I guess it was 50
18 percent at that time, but in any case, part of what -- it was not reported because
19 the written directive was changed at that time.

20 Under the new rule, would that, again, and I have had trouble
21 following the changes to the written directive requirements, so, under the new
22 rule, would the written directive be allowed to be changed in such a way that this
23 particular event would -- let me say this another way. Would the current rule
24 ensure that this is considered to be a medical event? I mean if you want to go
25 back and look at it, you can always get back --

1 RONALD ZELAC: No, no. As I was trying to explain before, under
2 the current requirements, the practitioner provides some information prior to the
3 implantation procedure, and additional information at conclusion, and if the
4 practitioner were to utilize source strength and time as the criterion, then they
5 could easily report that 44 seeds were implanted, period, as long as they had
6 very promptly removed from the bladder those seeds which were misplaced. So,
7 under the --

8 CHAIRMAN JACZKO: So, under the new proposed definition, this
9 event would not have been a medical event?

10 RONALD ZELAC: It would to the -- it depends on your
11 interpretation. If placing the seeds -- the seeds were implanted, to the fact that
12 they wound up in the bladder rather than the target meant that they were
13 implanted in the wrong place.

14 CHAIRMAN JACZKO: Right.

15 RONALD ZELAC: So, even though they were removed promptly,
16 since they didn't have residual [unintelligible] dose contribution to the bladder
17 wall, still in all, they had been misplaced when the implantation took place, and
18 on that basis it would be a reportable medical event.

19 CHAIRMAN JACZKO: And the ability to modify the written directive
20 won't change that?

21 RONALD ZELAC: No. No. In fact, it's not modification. It's simply
22 completion, if you will.

23 CHAIRMAN JACZKO: Completion, yeah. Okay, and previously
24 were they allowed to modify the written directive?

25 RONALD ZELAC: Once the procedure begins the written directive

1 information that has been provided remains. At the conclusion, there is a need to
2 enter what is called for now, and --

3 CHAIRMAN JACZKO: Yeah, I mean I know, obviously where we
4 are now. I guess I was trying to go back and make sure that we don't re-unlearn
5 the lessons we learned in 2003, and that was in the 2003 incident, that as I
6 understand, drove the definition or the requirements on the written directive, but I
7 think you've given me a pretty good answer, and I can follow up a little bit later,
8 after just -- on this particular one, because I think I'm not asking the question in
9 the right way here. So, but if you wanted to say anything...

10 RONALD ZELAC: I don't know what else to say at this point.

11 CHAIRMAN JACZKO: Okay. Well, again, I didn't have any other
12 questions at this point then, and I want to thank all of you for your comments.

13 COMMISSIONER MAGWOOD: Chairman, I just wanted to follow
14 up on your point about finding a way to move a little faster on this. I'd like to
15 support you on that. So, if we can get maybe either a Commission notice or a
16 CA notice, something as a result of the meeting, we can constructive plan
17 something.

18 CHAIRMAN JACZKO: Yeah, if you could send us up some options
19 for how we would proceed, you know, assuming -- I mean the Commission will
20 adopt something. In regards to what is, what would the options for proceeding. I
21 think that would be helpful for all of us. I think last time we had this meeting, I
22 think we probably did the opposite and told you to combine it into the Part 35
23 rule, and probably now we're going to tell you to do it different. [laughs] So,
24 anyhow, okay, good. Thanks, everybody.

25 [Whereupon, the proceedings were concluded]