

Official Transcript of Proceedings
NUCLEAR REGULATORY COMMISSION

Title: Meeting of the Advisory Committee
on the Medical Uses of Isotopes

Docket Number: (n/a)

Location: Rockville, Maryland

Date: Monday, April 8, 2024

Work Order No.: NRC-2751

Pages 1-201

NEAL R. GROSS AND CO., INC.
Court Reporters and Transcribers
1716 14th Street, N.W.
Washington, D.C. 20009
(202) 234-4433

UNITED STATES OF AMERICA
NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

+ + + + +

TELECONFERENCE

+ + + + +

MONDAY,

APRIL 8, 2024

+ + + + +

The meeting was convened via
Videoconference, at 8:30 a.m. EST, Hossein Jadvar,
ACMUI Chairman, presiding.

MEMBERS PRESENT:

- HOSSEIN JADVAR, M.D., Ph.D., Chairman
- RICHARD L. GREEN, Vice Chairman
- ANDREW EINSTEIN, M.D., Member
- MICHAEL R. FOLKERT, M.D., Ph.D., Member
- RICHARD HARVEY, DrPH
- JOSH MAILMAN, Member
- MELISSA C. MARTIN, Member
- MICHAEL D. O'HARA, Ph.D., Member
- ZOUBIR OUHIB, Member
- MEGAN L. SHOBER, Member
- HARVEY B. WOLKOV, M.D., Member

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

JOANNA R. FAIR, MD, Ph.D., Member

NRC STAFF PRESENT:

CHRISTIAN EINBERB, Designated Federal Officer,
NMSS

KEVIN WILLIAMS, NMSS

CELIMAR VALENTIN-RODRIGUEZ, NMSS

LILLIAN ARMSTEAD, Designated Federal Officer,
NMSS

CYNTHIA M. FLANNERY, NMSS

MARYANN AYOADE, NMSS

DANIEL DiMARCO, NMSS

VINCE HOLAHAN, NMSS

DANIEL SHAW, NMSS

KATHERINE TAPP, NMSS

SARAH SPENCE, NMSS

KENN BRENNEMAN, NMSS

Vincent Holahan, NMSS

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

C-O-N-T-E-N-T-S

| | |
|--|-----|
| Opening Remarks..... | 4 |
| Old Business..... | 16 |
| Open Forum..... | 19 |
| Medical Related Events..... | 19 |
| Akesis Galaxy Rti Unit Subcommittee Report..... | 74 |
| Review of Prescription Error Reduction Methods.... | 86 |
| Eye90 Microsphere Device Subcommittee Report..... | 112 |
| Medical Events Subcommittee Report..... | 124 |
| Medical Team Updates..... | 146 |
| 90 Episcleral Brachytherapy Source..... | 170 |
| Subcommittee Report..... | 170 |
| ACMUI Reporting Structure..... | 190 |
| Open Forum..... | 193 |
| Administrative Closing | |

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
 1716 14th STREET, N.W., SUITE 200
 WASHINGTON, D.C. 20009-4309

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

P-R-O-C-E-E-D-I-N-G-S

8:32 a.m.

MR. EINBERG: Okay. Good morning. I have a little bit of echo. Is the court reporter on? If you're on, the court reporter, can you please let us know, please.

COURT REPORTER: Hi, good morning.

MR. EINBERG: Okay, thank you so much. So, we'll go ahead and get started. Good morning. As the designated federal officer for this meeting, I am pleased to welcome you to the public meeting of the Advisory Committee on the Medical Use of Isotopes.

My name is Chris Einberg. I am the chief of the medical safety and events assessment branch, and I have been designated as the federal officer for this advisory committee in accordance with 10 CFR Part 7.11. This is an announced meeting of the committee.

It is being held in accordance with the rules and regulations of the Federal Advisory Committee Act and the Nuclear Regulatory Commission. This meeting is being transcribed by the NRC, and it may also be transcribed or recorded by others. The meeting was announced in the March 7th, 2024 edition

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 of the Federal Register, Volume 89, page 16590.

2 The function of the ACMUI is to advise
3 staff on issues and questions that arise on medical
4 use of byproduct material. The committee provides
5 counsel to the staff but does not determine or direct
6 the actual decisions of the staff or the Commission.
7 The NRC solicits the views of the committee and values
8 their opinions.

9 I request that whenever possible we try
10 to reach a consensus on the various issues that we
11 will discuss today. But I also recognize there may
12 be a minority or dissenting opinion. If you have
13 such opinions, please allow them to be read into the
14 record. At this point, I would like to perform a
15 roll call of the ACMUI members participating today.
16 Dr. Hossein Jadvar, Chair, Nuclear Medicine
17 Physician.

18 DR. JADVAR: Present.

19 MR. EINBERG: Mr. Richard L. Green, Vice
20 Chair, Nuclear Pharmacist.

21 MR. GREEN: Present.

22 MR. EINBERG: Michael R. Folkert,
23 Radiation Oncologist.

24 Michael R. Folkert: Present.

25 MR. EINBERG: Mr. Josh Mailman, Patient's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Rights Advocate.

2 Mr. Josh Mailman: Present.

3 MR. EINBERG: Ms. Melissa Martin, Nuclear
4 Medicine Physicist.

5 Ms. Melissa Martin: Present.

6 MR. EINBERG: Dr. Michael O'Hara, FDA
7 Representative.

8 DR. O'HARA: Present.

9 MR. EINBERG: Mr. Zoubir Ouhib, Radiation
10 Therapy Physicist, and he's participating virtually.
11 Are you online?

12 Mr. Zoubir Ouhib: Present.

13 MR. EINBERG: Ms. Megan Shober, State
14 Government Representative.

15 MS. SHOBER: Present.

16 MR. EINBERG: Dr. Harvey Wolkov,
17 Radiation Oncologist.

18 DR. WOLKOV: Present.

19 MR. EINBERG: Dr. Richard Harvey,
20 Radiation Safety Officer.

21 DR. HARVEY: Present.

22 MR. EINBERG: Dr. Andrew Einstein,
23 Nuclear Cardiologist.

24 DR. EINSTEIN: Present.

25 MR. EINBERG: Dr. Joanna R. Fair,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Diagnostic Radiologist.

2 DR. FAIR: Present.

3 MR. EINBERG: I confirm that we do have
4 quorum of at least six members present. Ms. Rebecca
5 Allen was unable to join us today. However, we'd
6 like to welcome Dr. Fair as this is her first meeting
7 as part of the ACMUI.

8 She has been selected as the diagnostic
9 radiologist representative. Dr. Fair is pending her
10 security clearance but may participate in today's
11 meeting and is welcome to comment and ask questions
12 at the appropriate time. However, she will not have
13 voting rights for any actions requiring a vote.

14 All members of the ACMUI are subject to
15 federal ethics laws and regulations and received
16 annual training on these requirements. If a member
17 believes that they may have a conflict of interest as
18 the term is broadly used in 5 CFR Part 2635 with
19 regard to an agenda item to be addressed by the ACMUI,
20 this member should divulge it to the chair and the
21 DFO as soon as possible before the ACMUI discusses it
22 as an agenda item. ACMUI members must recuse
23 themselves from participating in any agenda item
24 which they may have a conflict of interest unless
25 they received a waiver or prior authorization from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 the appropriate NRC official.

2 I would like to add we are also using
3 Microsoft Teams so that members of the public and
4 other individuals can watch online or join via phone.
5 The phone number for the meeting is 301-576-2978.
6 Once again, that number is 301-576-2978. The phone
7 conference ID is 954-210-683#. Once again, the
8 conference ID number is 954-210-683#.

9 The handouts and agenda for this meeting
10 are available on the NRC's ACMUI public website.
11 Members of the public who notified Ms. Armstead that
12 they will be participating via Microsoft Teams will
13 be captured as participants in the transcript. Those
14 of you who did not provide prior notification, please
15 contact Ms. Armstead by email at lxa5@nrc.gov,
16 lxa5@nrc.gov at the conclusion of this meeting.

17 Today's meeting is being transcribed by
18 a court reporter. We are utilizing Microsoft Teams
19 for the audio of today's meeting to view presentation
20 material in real time. The meeting materials and
21 agenda for this meeting can be accessed from the NRC's
22 public meeting schedule.

23 For the purpose of this meeting, the chat
24 feature in Microsoft Teams has been disabled. Dr.
25 Jadvar at his discretion may entertain comments or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealgross.com

1 questions from members of the public who are
2 participating today. Individuals who would like to
3 ask a question or make a comment regarding the
4 specific topic of the committee as discussed and are
5 in the room can come up to either of the microphones
6 set up on the right side over there by the podium.

7 For those individuals on Microsoft Teams,
8 please use the raise hand function to signal to our
9 Microsoft Teams host, Ms. Armstead, that you wish to
10 speak. If you have called into the Microsoft Teams
11 using your phone, please ensure you have unmuted your
12 phone. When you begin your comments, please clearly
13 state your first and last name for the record.

14 Comments and questions are typically
15 addressed by the committee near the end of the
16 presentation after the committee has fully discussed
17 the topic. We will announce when we are ready for
18 the public comment portion of the meeting. And Ms.
19 Armstead will assist in facilitating public comments.

20 At this time, I ask that everyone who is
21 not speaking to please mute your Teams microphones or
22 phone. And for those in the room, please mute your
23 phones. I will now turn the meeting over to Mr. Kevin
24 Williams, Director of the Division of Materials
25 Safety Security and Tribal Programs for some opening

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 remarks.

2 MR. WILLIAMS: Thank you, Chris. Good
3 morning to those who are in the room and those who
4 are on Teams. It's a pleasure to be here with you
5 and I welcome the spirited conversations that we will
6 have over the next few days.

7 I want to first begin by thanking ACMUI
8 for all of your hard work, your dedication, and your
9 support to the NRC. We truly value your contributions
10 and expertise as we continue to tackle a number of
11 new issues related to the medical use of radioactive
12 material. I would like to highlight a few items that
13 may be of interest to the ACMUI and those who are
14 participating in this meeting.

15 The first one is reporting nuclear
16 medical injection extravasations as medical events.
17 The rulemaking that we are conducting, the staff is
18 proposing rulemaking package to codify certain
19 medical injection extravasations as medical events
20 and 10 CFR 35.3045. Along with the proposed rule,
21 the staff developed implementation guidance for the
22 rule which includes regulatory guidance for all
23 medical events including nuclear medical injection
24 extravasations and a draft model procedure for
25 detecting and evaluating nuclear medicine injection

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 extravasations.

2 The draft proposed rule is currently in
3 concurrence and staff expects to provide the proposed
4 package to the Commission in August of 2024. Related
5 to this topic, on March 26, 2024, the Office of the
6 Inspector General released a report where they
7 document the appearance of a conflict of interest
8 involving members of the ACMUI. The OIG received
9 allegations that at the time that the ACMUI was
10 advising the NRC on matters related to a petition for
11 rulemaking, specifically 35-22.

12 Several ACMUI members who are affiliated
13 with the Society of Nuclear Medicine and Molecular
14 Imaging, SNMMI, and that relationship between these
15 ACMUI members and SNMMI created a conflict of
16 interest. In their report, the IG found that two
17 ACMUI members did not follow the procedures as
18 outlined by Chris earlier, personal, business, and
19 did not follow those procedures related to personal
20 and business relationships when these members were
21 participating in matters related to PRM 35-22 without
22 obtaining prior authorization to do so. The OIG also
23 found that the NRC's policies to ensure compliance
24 with 5 CFR 26.3502 would need to be revised.

25 The OIG found, however, that neither

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 member had a personal financial interest that would
2 have been affected by the matters related to PRM 35-
3 22. I want to recognize that -- I recognize that the
4 NRC and the ACMUI takes their job seriously. They
5 maintain the integrity of what they're trying to
6 achieve, and they do it with the upmost integrity and
7 I really appreciate that.

8 We do take this -- it is a decision-
9 making process. But I have found that the ACMUI has
10 demonstrated continued integrity in its decision
11 making, particular regarding matters impacting public
12 health and safety. The OIG investigation highlights
13 areas where our internal processes led to questions
14 about the integrity of our decision making.

15 We plan to update our procedures to
16 ensure that we are upholding the public trust. We'd
17 also like to highlight that extravasations rulemaking
18 is informed by a balanced set of views well beyond
19 what is cited in the OIG investigation having an
20 apparent conflict of interest. Our staff's
21 independent evaluation of the technical issues
22 considered input from various stakeholders, including
23 the petitioner, the ACMUI, the Agreement States, and
24 published literature.

25 The evaluation led to the staff's plan to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 require reporting of certain nuclear extravasations.
2 The 12 member ACMUI unanimously supported this
3 recommendation which underscores the validity of the
4 staff's approach. Again, as I say, I appreciate the
5 integrity of the ACMUI and look forward to continued
6 engagement on items of medical interest.

7 Training and experience for unsealed
8 byproduct material, the staff is developing
9 implementation guidance for training and experience
10 requirements as directed by the Commission. The
11 draft implementation guidance will be issued in
12 August of 2024 as interim staff guidance or referred
13 to as an ISG and will address persons seeking
14 authorized individual status under Part 35 can
15 fulfill training and experience requirements as well
16 as clarify the roles and responsibilities of those
17 persons involved in and subject to training and
18 experience requirements. Pending on the clearance,
19 the ISG will be sent to the Agreement States for a
20 60-day review period.

21 The draft ISG is being reviewed by the
22 ACMUI's T&E and for all modality subcommittee. A
23 public teleconference will be scheduled for next
24 month for the ACMUI's full committee vote on the
25 subcommittee's report. Reg Guide 8.39, Phase 2 of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealgross.com

1 that, the staff is in the process of responding to
2 public comment on the proposed Phase 2 revision to
3 Regulatory Guide 8.39.

4 As commenters had concerns regarding the
5 cost and complexity of the proposed regulatory guide,
6 the staff is in the process of simplifying the
7 guidance and expanding the original regulatory
8 analysis to include a quantitative cost benefit
9 analysis. The cost benefit analysis addresses
10 concerns related to the cost associated with the
11 proposed revisions to the methodology in Reg Guide
12 8.39. Once the staff develops its proposed revision
13 and analysis, ACMUI will receive it for review and
14 comment.

15 Organizational changes with the NRC since
16 the fall meeting, we welcome one new staff member
17 into the medical radiation safety team. And that is
18 Mr. Aaron Thomlinson. Mr. Thomlinson was selected
19 as a graduate fellowship for NMSS and will pursue
20 graduate studies in medical physics within the
21 biomedical engineering PhD program at the University
22 of Texas Southwest Medical Center.

23 I wanted to also recognize that our EDO
24 Dan Dorman retired in January along with Cathie
25 Haney. And the NRC is in the process of replacing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 those two individuals. Once that decision has been
2 made, we will share that information.

3 Changes in ACMUI, Dr. Jadvar is now the
4 ACMUI chair and Mr. Green is the vice chair. Since
5 the fall meeting, Dr. Darlene Metter completed her
6 second term in ACMUI, and her departure left a vacancy
7 for the ACMUI diagnostic radiologist representative.
8 And Chris earlier now said Dr. Joanna R. Fair has
9 been appointed to serve in this capacity.

10 She currently serves as a senior
11 associate dean of graduate medical education and
12 designated institutional official and vice chair for
13 the academic affairs in the Department of Radiology
14 for the University of New Mexico School of Medicine.
15 The following presentations will be discussed today.
16 Mr. Dimarco will provide an overview of recent
17 medical events. Mr. Harvey -- I'm sorry, Dr. Harvey
18 will provide the ACMUI analysis of medical events
19 from fiscal year 2022 to '23.

20 Dr. Folkert, Dr. Wolkov, and Mr. -- I'll
21 say your name wrong, I apologize -- Ouhib will discuss
22 their subcommittee's review of NRC's draft licensing
23 guidance documents for three emerging medical
24 technologies. Mr. Green will provide an overview of
25 prescription air reduction methods. Dr. Valentin-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 Rodriguez will provide an update on medical team's
2 activities.

3 I thank you for the opportunity to open
4 the meeting. I wish you a productive session today.
5 I will be in and out myself, but I plan to be here
6 until 11:00 o'clock. My wife has a doctor's
7 appointment this afternoon that I will taking her to.

8 MS. ARMSTEAD: Hello, everyone. I am
9 Lillian Armstead and this morning I'll be providing
10 the old business report and giving a status and an
11 update on some of the items from the ACMUI's
12 recommendations and action items. Item No. 11 dated
13 9-21-2020, as part of the -- excuse me -- as part of
14 the nonmedical events report, the ACMUI recommended
15 to the NRC staff and MMP to evaluate the issue of
16 detection of short-lived medical isotopes and
17 municipal waste from nuclear patients that might be
18 triggering the landfall alarms and provide some level
19 of guidance and best practices for additional
20 instructions.

21 This item is currently open with an
22 anticipated completion date of fall 2024. Item No.
23 7, dated October 4th, 2021, the ACMUI formed a new
24 subcommittee on the Liberty Vision Y-90 manual
25 brachytherapy source. The subcommittee is expected

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealgross.com

1 to provide a draft report and any recommendations at
2 the spring 2022 ACMUI meeting.

3 We propose to close as the subcommittee
4 will be presenting during this meeting. Item No. 10,
5 October 4th, 2021, the ACMUI endorsed radionuclide
6 generator knowledge and practice requirements
7 subcommittee report and the recommendations provided
8 therein. This item remains open with an anticipated
9 completion date of March 2026.

10 Item No. 4 dated December 5th, 2022, the
11 ACMUI endorsed a Y-90 microsphere ME subcommittee
12 report and the recommendations therein. The item
13 remains open with an anticipated completion date of
14 fall 2024. Item No. 6 dated December 5th, 2022, the
15 ACMUI established two subcommittees, one to create
16 generic process checklist to be used during medical
17 administrations and one to review the DFA draft
18 proposed rule.

19 The ACMUI also reestablished nursing
20 mother's guidelines to update the 2019 guidelines.
21 This item remains open with an anticipated completion
22 date of fall 2023. Item No. 1 dated November 23rd,
23 2024, the ACMUI tentatively scheduled the spring
24 meeting for April 8th through 9th, 2024. We propose
25 to close this item as the meeting is today.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealgross.com

1 Item No. 2 dated October 23rd, 2024, the
2 ACMUI recommended the NRC obtain the number of annual
3 Y-90 microsphere administrations from the
4 manufacturers. We propose to close this item today
5 during this meeting. Dr. Jadvar and staff, this
6 completes the old --

7 MR. GREEN: Lillian, on Item 6, it had a
8 target completion date of fall '23. Should that be
9 revised? Is that a typo? Should that be fall '24?

10 DR. VALENTIN-RODRIGUEZ: Yes, so one of
11 the subcommittees that was established was the
12 decommissioning of financial assurance. That was
13 completed and we'll close that. The generic process
14 checklist subcommittee, now that the medical events
15 subcommittee has done their biannual review, we're
16 proposing to expand the charge of that subcommittee
17 to address that. And then for the nursing mothers'
18 guidelines, since we're going through the revision of
19 Reg Guide 8.39, we were looking to expand the charge
20 on that -- reestablish that subcommittee to address
21 that. So yes, those will hopefully address by fall
22 2024.

23 MS. ARMSTEAD: Dr. Jadvar and ACMUI
24 staff, this completes the old business report and
25 review of the ACMUI recommendations and action items.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 I have proposed closure for these items, 1, 2, and 7.

2 Is there a motion to accept the report?

3 DR. JADVAR: Is there a motion?

4 MR. GREEN: Second.

5 DR. JADVAR: Okay. All in favor, say
6 aye.

7 (Chorus of aye.)

8 DR. JADVAR: Any opposed? Any
9 abstention? Motion carries. Thank you. All right.
10 I guess I can get started now. First of all, welcome.
11 I want to thank Mr. Einberg and Mr. Williams for the
12 comments and welcome to the ACMUI spring 2024
13 meeting.

14 I'm delighted to be the newly appointed
15 chair of this distinguished committee and also
16 continue working with a very knowledgeable and
17 supportive NRC staff. I also want to welcome Dr.
18 Joanna Fair as the new diagnostic radiologist on this
19 panel. And with that, the next item on the agenda is
20 Item No. 3, open forum. This is a forum where ACMUI
21 will identify medical topics of interest for further
22 discussions. Any items that the ACMUI members want
23 to discuss at this session?

24 (No audible response.)

25 DR. JADVAR: Okay. Hearing none, we'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 move on to Item No. 4, medical related events. And
2 this is done by Mr. Dimarco who will provide an update
3 on the recent medical events.

4 MR. DIMARCO: Good morning, everyone. My
5 name is Daniel Dimarco. I'm a health physicist here
6 at the medical radiation safety team. And I'm here
7 to give my update on the status of medial events for
8 FY 23. Next slide, please.

9 So here we can see a chart of the medical
10 events from the past five years, FY 18 to FY 23.
11 Those numbers in the parenthesis in there, those are
12 the total number of patients involved in each medical
13 events if they are greater than the number of medical
14 event reports. Just going through FY 23, we can see
15 that the number of events generally coincides with
16 how many events we've had the past couple of years,
17 slightly less than some, slightly more in other
18 categories, with a grand total 59 events this year
19 which is about where the levels we see from the past
20 few years. Next slide, please.

21 So, getting into the events themselves,
22 we had one 35.200 medical event involving iodine-123.
23 Next slide, please. This event was a wrong drug event
24 where the patient was prescribed an Iodine-23 scan
25 but instead received 162.8 megabecquerels of Iodine-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 131 in a TBI scan. This scan was scheduled in the
2 clinic's electronic medical system as a TBI scan with
3 Thyrogen.

4 The patient was administered this first
5 dose of Thyrogen. However, the technologist realized
6 that the patient continued to have their thyroid
7 before the second injection of Thyrogen. This
8 patient was then administered the Iodine-131
9 injection, and the radiologist discovered the patient
10 had been administered the wrong drug when reviewing
11 the images post-injection.

12 And the RSO estimated the dose of the
13 thyroid to be about 150. Next slide, please. The
14 patient follow up reported no adverse effects. The
15 root cause was determined to be human error.

16 The protocol to have all the patient
17 records and lab work completed before the
18 administration was not followed in this case.
19 Additionally, the written directive did not specify
20 any radioisotope, only that a total body iodine scan
21 had been prescribed. The corrective actions included
22 the creation of a new form requiring the inclusion of
23 all relevant patient labs to be completed before
24 signing the written directive. Next slide, please.

25 Coming into the 35.300 medical events, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 had 11 this year, 9 of which involve Lutetium-177 and
2 2 of which involved Iodine-131. Next slide, please.
3 Our first event is a wrong drug event involving
4 Lutetium-177 where one patient was prescribed a
5 commercially available Lutetium-177 dotatate and
6 another was prescribed a different dotatate under a
7 new investigation drug label. The patient prescribed
8 the commercially available Lutetium drug was instead
9 administered the investigational drug.

10 This patient was given the correct
11 activity, the correct chemical form. And through the
12 correct route of administration, that root cause was
13 determined to be human error. However, no adverse
14 effects are expected. Additional notifications were
15 made to the institutional review board considering
16 that this involved an investigational drug product.
17 Next slide please.

18 Our next event involved a patient
19 overdose where a patient was prescribed 5.92
20 gigabecquerels of Lutetium-177 but it was instead
21 administered 7.65 gigabecquerels. The RSO indicated
22 that the technologist did not follow the written
23 directive to verify activity before injection. A
24 confounding factor for this is that a typical
25 injection uses 7.4 gigabecquerels, but the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 technologist did not recognize the updated dose from
2 the position. And the corrective actions for this
3 included updated procedures. Next slide, please.

4 This next event was a patient underdose
5 where a patient was prescribed 7.4 gigabecquerels of
6 Lutetium-177 but received at 70 to 75 of that dose.
7 This was an administration using a syringe pump where
8 20 minutes into the injection, the patient reported
9 a wet feeling on their hand where a leak was traced
10 to the connection between the syringe pump and the
11 patient's IV site. The bedding in the material had
12 absorbed the majority if the lead and spill response
13 protocols were initiated.

14 Estimates of material remaining in the
15 vial, the contamination on the bedding, and patient
16 dose rate measurements suggested an underdose of
17 about 30 percent. That's where we got the estimated
18 dose for that. The skin exposure was measured to be
19 about under 10 centiseiverts, and corrective actions
20 included updated procedures and training,
21 clarification that all future therapy administrations
22 would be through secured connections. Next slide,
23 please.

24 This next event involved a patient
25 underdose of Lutetium-177 where the patient was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 prescribed 7.4 gigabecquerels but administered only
2 5.83 gigabecquerels. During the administration, the
3 technologists noticed drips coming from the tubing.
4 An investigation after indicated that the patient had
5 received 21.22 percent less dose than prescribed.
6 The root cause was determined to be leaking tubing.

7 Additionally, the tubing from the same
8 lot was also found to be leaking in a post-treatment
9 investigation of the rest of the equipment the clinic
10 used. Corrective actions including removing that
11 specific lot from use and notifying the vendor of the
12 defect. And additionally, the licensee updated
13 procedures to visibly check for leaks before
14 administrations. Next slide, please.

15 This next event was another Lutetium-177
16 patient underdose where the patient was prescribed 7.4
17 gigabecquerels but received 4.48 gigabecquerels. In
18 this administration, they were specifically using
19 Pluvicto. But the normal apparatus use for
20 administering this drug was not available due to
21 supply chain issues.

22 Instead, they used a similar pressurized
23 apparatus for injection. A leak was identified at
24 the rubber septum of a vial in a shielded storage
25 container. And the root cause was determined a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 pressurization of the vial. Typically, the
2 manufacturer does not recommend pressurizing the
3 vial. And so, another dose of Pluvicto was
4 administered to replace the underdose administration
5 and was administered without incident. Next slide,
6 please.

7 Similar to the previous event, this was
8 another patient underdose where a patient was
9 prescribed 7.4 gigabecquerels and received 4.77
10 gigabecquerels. As before, the normal administrating
11 apparatus for administering Pluvicto was not
12 available. They used a pressurized apparatus,
13 similar root cause, similar leak from the shielded
14 storage container. However, in this one, the patient
15 was monitored during the rest of the treatment regime
16 and the appropriate equipment will be used for
17 following treatments. Next slide, please.

18 This event involved a wrong drug for
19 Lutetium-177 where we had two separate patients, one
20 prescribed 7.4 gigabecquerels of Lutetium-177
21 dotatate, another prescribed 7.4 gigabecquerels of
22 Lutetium -- I can't -- the other one, textraxetan,
23 yes. These vials were switched, and each patient was
24 administered the incorrect drug. The root cause was
25 determined to be complacency and lack of training.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Additionally, both doses were identical
2 looking, and the shipping containers were similarly
3 colored. Corrective actions including implementing
4 a new scheduled process, so Lutathera and Pluvicto
5 treatments are not scheduled on the same day and the
6 institution of a dual verification process.
7 Additionally, the licensee provided re-education on
8 package checks and patient verification. Next slide,
9 please.

10 This event was a patient underdose
11 involving Lutetium-177 where the patient was
12 prescribed 7.4 gigabecquerels but received 3.92
13 gigabecquerels. The injection occurred without
14 incident. However, post-treatment investigation
15 discovered residual radiopharmaceutical in the
16 injection tubing which gave an estimate of the
17 underdose. The root cause was determined to be human
18 error, and the corrective actions included increasing
19 the mandatory saline flush from 25 milliliters to 250
20 milliliters, additional staff training, and strict
21 vetting of technologists for therapy administrations.
22 Next slide, please.

23 This next event was also a patient
24 underdose involving Lutetium-117 where the patient
25 was prescribed 7.4 gigabecquerels but received only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 5.11 gigabecquerels. Again, the injection occurred
2 without incident. The post-treatment investigation
3 discovered residual radiopharmaceutical in the
4 injection tubing. And the root cause was determined
5 to be human error with additional corrective actions,
6 including an increase of the mandatory saline flush,
7 staff training, and strict vetting of technologists
8 for therapy administrations. This isn't a repeat
9 event. This is two events from the same clinic, I
10 believe. Next slide, please.

11 This next event was a patient overdose
12 involving Iodine-131 where the patient was prescribed
13 2.78 gigabecquerels but was administered 3.7. Two
14 doses of Iodine-131 were prepared for two separate
15 patients. However, when preparing the dose for the
16 first patient, the technologist mistakenly assayed
17 the second dose. And so the first patient was
18 inadvertently administered intended for the second
19 patient.

20 This mistake was discovered prior to
21 treating the second patient. The root cause was
22 determined to be human error. And the corrective
23 actions included staff training on time-out
24 procedures and a posting of a physical copy of these
25 procedures on the wall in the therapy room. Next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 slide, please.

2 This next event was also an Iodine-131
3 patient overdose where the patient was prescribed 740
4 megabecquerels but received 780 megabecquerels. The
5 patient received the intended dose. However, the
6 written directive incorrectly specified 20
7 microcuries instead of 20 millicuries.

8 No adverse effects are expected. And the
9 corrective actions included combining the written
10 directive checklist and the written directive
11 prescription into one form. And the AU is also now
12 required to circle the word millicurie or microcurie
13 on the form, and the technologists have to sign off
14 on the dose verification form on that.

15 There's an error on this. It should be
16 that they received 780, 21.1 millicuries, not the
17 microcuries. This is a written directive error
18 event. Next slide, please.

19 Going into the 35.400 medical events, we
20 have three, one involving an eye plaque and two
21 involving Cesium-131 brachytherapy. Next slide,
22 please. This first event, the Iodine-125 eye plaque
23 where the patient was prescribed 8,500 centigray but
24 received 5,700 centigray. The licensee believe that
25 the eye plaque may have shifted over the seven-day

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 treatment. However, an update is still pending for
2 this event. This is all the information I have for
3 this event. Next slide, please.

4 This next event is involving Cesium-131
5 with a patient underdose where the patient was
6 prescribed 11,500 centigray but received 5,570
7 centigray. They had planned -- the licensee had
8 planned to implant a total of 98 seeds with a total
9 of 10.46 gigabecquerels. However, after the
10 treatment, they noticed that 37 seeds were unused and
11 only 70 total were implanted. The root cause of this
12 was determined to be swelling and excessive bleeding
13 during the treatment which caused coagulated blood in
14 the Mick applicator for these seeds. And corrective
15 actions included revision of the procedures. Next
16 slide, please.

17 This slide was also a patient underdose
18 of Cesium-131 where the patient was prescribed 6,000
19 centigray but only received 3,700. The patient was
20 implanted with seeds totaling 1.42 gigabecquerels.
21 However, following implantation, the patient was
22 diagnosed with a medical condition that necessitated
23 the immediate removal of the seeds.

24 All the seeds were accounted for. The
25 dose was calculated. And this incident was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 discovered during a routine safety inspection. No
2 corrective actions were taken. Next slide, please.

3 These next medical events are the 35.600
4 medical events of which there were eight. Next slide,
5 please. This event was a wrong site event which
6 involved a 185 gigabecquerel Iodine -- or Iridium-
7 192 HDR unit where the cylinder had inadvertently
8 shifted during a vaginal treatment by 3.5
9 centimeters. However, for this event, the update is
10 still pending. Next slide, please.

11 This event was another wrong site
12 involving 192.4 gigabecquerel Iridium-192 HDR unit.
13 The patient was prescribed 1,800 centigray in three
14 fractions. All of the pre-treatment verifications,
15 the CT planning, the plan review, the time-out, and
16 the device insertion were all completed without
17 incident.

18 However, during the first fraction, the
19 patient notified the AU that the cylinder was in the
20 wrong place. This administration was stopped 111
21 seconds into the treatment. And it was discovered
22 that the cylinder had been placed into the patient's
23 rectum instead of the vagina. Next slide, please.

24 After removal of device and discussion
25 with the team, the treatment resumed with the correct

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 placement of the device. The remaining fractions
2 were adjusted for this error and the dose to the
3 rectum was estimated to be about 239 centigray. No
4 adverse effects are expected, and corrective actions
5 included additional training, including verification
6 that the device is in the correct anatomy. Next
7 slide, please.

8 This event was a patient overdose where
9 a patient was prescribed 500 centigray in three
10 fractions for a total of 1,500 centigray to the keloid
11 skin surface. However, this patient was mistakenly
12 administered the fully 1,500 centigray in one
13 fraction. The medical physicist started the
14 treatment plan based on the AU intention.

15 However, that original medical physicist
16 was called away to another treatment and a second
17 medical physicist finished that treatment plan. The
18 second MP set the prescription to 15 Gray, not
19 realizing this was a total dose, not a fractionation
20 dose. And this mistake was caught during the post-
21 treatment bookkeeping. Next slide, please.

22 No adverse effects are expected. The
23 root cause was determined to be human error. And the
24 corrective actions included specifying that a single
25 MP be present throughout the whole planning and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 treatment process, the implementation of a formal
2 handoff process, more descriptive process checks, and
3 a mandated pre-treatment time-out. Next slide,
4 please.

5 This event was a patient underdose where
6 a patient was prescribed four treatments of 500
7 centigray but received 156 centigray on the fourth
8 treatment. The HDR unit have an error during this
9 treatment indicating a source retraction issue. The
10 right and left partial ring treatments were
11 administered but not the tandem.

12 The root cause was determined to be a
13 failure of the HDR motors. Additionally, the
14 licensee had to use an applicator that was not for
15 use -- that was not approved for use with the
16 Flexitron system, which resulted in the source
17 capsule becoming stuck during treatment. The
18 correctives including equipment testing, a hold on
19 the program root cause analysis, evaluation of
20 policies and procedures, and additional training.
21 Next slide, please.

22 This event was another patient underdose
23 involving a 251.6 gigabecquerel Iridium-192 HDR unit.
24 The patient was prescribed five fractions of 600
25 centigray but received less than 50 percent of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 fraction for the first two. The planning had mapped
2 channels to specific catheters.

3 But post-treatment review discovered
4 that during the administration, the channels had been
5 incorrectly mapped. The adjustments were made in the
6 following fractions to ensure appropriate tumor
7 coverage and tissue sparing. So, no adverse effects
8 are expected. And corrective action included updated
9 procedures and checklists. Next slide, please.

10 This next event was another patient
11 underdose involving a 275.28 gigabecquerel HDR unit.
12 The patient was prescribed 1,350 centigray but
13 administered 326.56 centigray. During treatment, the
14 AU observed that the transfer stretcher was pitched
15 toward the patient's head and interrupted the
16 treatment when they noticed that. Fifteen of the 17
17 needles had been extracted approximately 2
18 centimeters during the treatment time. Patient was
19 monitored for any adverse effects after this event,
20 but none were expected. Next slide, please.

21 The root cause was determined to be an
22 issue with the hydraulics and the transfer stretcher
23 with a lack of attention to the patient as a
24 contributing factor. Corrective actions including
25 amending procedures to maximally lower the stretcher

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 during treatment. And the state during their review
2 also recommended evaluating the roles of individuals
3 present during treatment to ensure continuous patient
4 monitoring. Next slide, please.

5 This next event was another patient
6 underdose involving a 329.3 gigabecquerel Iridium-
7 192 HDR unit. The patient was prescribed 750
8 centigray per fraction, but was administered 12.7
9 centigray in the third fraction. During this
10 treatment, the HDR unit was unable to detect on the
11 transfer tubes connecting it to the application,
12 which resulted in this partial delivery of the
13 fraction. When the licensee called the field service
14 engineer, they determined that the HDR unit selector
15 should be recalibrated after which the unit
16 functioned correctly. And then the patient was
17 successfully treated the following day. Next slide,
18 please.

19 This next event was a patient overdoes
20 involving a 327.5 gigabecquerel Iridium-192 HDR unit.
21 The patient was prescribed five fractions of 600
22 centigray but received a full 3,000 centigray in a
23 single fraction. During the treatment, the MP
24 misread the written directive and delivered the full
25 3,000 centigray in a single fraction. The patient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealgross.com

1 was administered -- was monitored following this
2 treatment. And no adverse effects were observed.
3 Next slide, please.

4 The root cause was determined to be human
5 error, specifically the licensee using two treatment
6 planning systems and the MP reading the secondary
7 plan instead of the primary plan where the secondary
8 plan noticed only the full treatment dose. The
9 corrective actions included having one person perform
10 the planning and another person performing the
11 verification with each signing off before treatment.
12 Additionally, a generic table of expected treatment
13 times based on dose was developed to be used. And
14 then post this event, the state reported that the
15 corrective actions taken were suitable. Next slide,
16 please.

17 Getting into the 35.1000 medical events
18 of which we had 36 this year, one involving seed
19 localization, one involving intravascular
20 brachytherapy, one involving gamma stereotactic
21 radiosurgery unit, and 33 involving Y-90
22 microspheres. Next slide, please. This first event
23 was a failure to explant for radioactive seed
24 localization. A patient went into surgery to have
25 all these localization seeds explanted the day after

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealgross.com

1 they had been implanted.

2 Ten months later, however, discovered
3 that the seed remained in the patient. The previous
4 surgery had removed just a surgical clip instead of
5 the seed. The calculated dose to the tissue was 74
6 centigray. And the seed will be removed in a future
7 planned surgery. Next slide, please.

8 This next event involved a wrong site
9 with the intravascular brachytherapy. Excuse me.
10 The patient was prescribed 2,300 centigray which was
11 delivered to the wrong treatment site. This involved
12 a 3.62 gigabecquerel Strontium-90 source.

13 During treatment, the cardiologist used
14 fluoroscopy to determine the treatment site. And
15 post-treatment review of the images could not
16 accurately assess the location of the source. But
17 afterwards, the primary -- the prescribing physician
18 determined that the dose had been delivered to
19 another part of the vasculature proximal to the
20 intended location. Next slide, please.

21 No permanent damage is expected.
22 However, the root cause was determined to be human
23 error. The cardiologist misread the images due to a
24 poor quality of these images and obscuration of the
25 images by additional medical equipment. Corrective

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 actions included additional training, procedure
2 modifications, and an agreement for an independent
3 assessment of a dose by a medial physics consultant.

4 Next slide, please.

5 This next event was a patient underdose
6 involving a Gamma Knife. The patient was prescribed
7 1,500 centigray but was only delivered 44.11
8 centigray. For this treatment, they had planned 13
9 shots, but the unit malfunctioned after completing
10 only 3.

11 The error could not be resolved by the
12 licensee and required a call-out to the service
13 technician. This technician identified and repaired
14 a worn sector drive assembly. And the patient was
15 rescheduled for successful treatment. Next slide,
16 please.

17 Getting into the Y-90 events, we're going
18 to start with all the TheraSphere events and then go
19 into the SIR-Sphere events. So, this one was an
20 underdose for a TheraSphere event where the patient
21 was prescribed 1,500 centigray but received 79 -- or
22 15,000 centigray but received 7.905 centigray. The
23 root cause was determined to be a significant back
24 pressure with overflow of saline into the pop-off
25 vial.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 This back pressure was significant enough
2 to prevent delivery of the full dose. No adverse
3 effects are expected. And corrective actions
4 including a monitoring of the pop-off vial during
5 administration for back pressure in addition to the
6 normal checks. Next slide, please.

7 This was a Y-90 underdose where the
8 patient was prescribed 2.11 gigabecquerels but
9 received 0.927 gigabecquerels. Unfortunately, the
10 investigation is still ongoing. So, this is all the
11 information I have to give today. Next slide, please.

12 Next event was another underdose where
13 the patient was prescribed 1.7 gigabecquerels but
14 administered 1.3. The administration occurred
15 without incident or was seen to occur without
16 incident. And the underdose was determined to be
17 clinically effective. However, post-treatment
18 calculations revealed this underdose of which the
19 imaging of the waste determined the majority of the
20 remaining dose remained in the vial. Inspectors
21 concluded that the practitioner did not tap the vial
22 sharp enough against a hard surface prior to
23 administration, otherwise known as inadequate
24 agitation of the vial. And corrective actions
25 included checklist revision to better describe does

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 vial preparation and additional training in these
2 revisions. Next slide, please.

3 This next underdose was a patient
4 prescribing 40,700 centigray but receiving 31,320.
5 The AU discovered that a significant amount of
6 residual dose was in the vial post-treatment. The
7 delivery kit was returned to the manufacturer where
8 a kink was discovered in the microcatheter by the
9 manufacturer.

10 Additionally, there was evidence of low
11 flow of microspheres during delivery. No adverse
12 effects are expected. The dose received was
13 determined to be therapeutic, and corrective actions
14 included observation of the next case by the lead IR
15 physician involving this specific AU for this event
16 to ensure correct administration. Next slide,
17 please.

18 This next event was an underdose where
19 the patient was prescribed 6.7 gigabecquerels but
20 received only 5.02 gigabecquerels. The root cause
21 was determined to be air in the tubing during
22 administration. And no adverse impacts of the
23 patient are expected, and the dose was determined to
24 be medically significant. Next slide, please.

25 This next event was another underdose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 where the patient was prescribed 1.24 gigabecquerels,
2 received 0.715 gigabecquerels. During the treatment,
3 the physician noted that the microspheres required
4 higher pressure to deliver, and the spillover vial
5 had a high volume of microspheres. Post-treatment
6 surveys confirm this large portion of microspheres
7 had not been delivered.

8 Root cause was suspected to be failure of
9 the needle or the equipment since no other operating
10 steps showed signs of failure. The patient was
11 scheduled for a follow-up treatment. And the
12 equipment will be returned to the manufacturer for
13 investigation when sufficient decayed. Next slide,
14 please.

15 This next event was another underdose
16 where the patient was prescribed 17,500 centigray but
17 received 3,170 centigray. The physician noted
18 resistance during administration and the pressure
19 vial was noticed to be filling with saline. The
20 treatment was stopped, and a plug of microspheres was
21 discovered in the line.

22 This plug was dislodged, and saline was
23 flushed eight times. But ultimately, the procedure
24 was terminated since it was clear the administration
25 was not successful. A follow-up procedure was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 scheduled. And the treating equipment was returned
2 to the manufacturer for investigation. Next slide,
3 please.

4 This event involved a wrong site where
5 the patient was prescribed 3.07 gigabecquerels to the
6 right lobe of the liver but received this dose to the
7 left lobe. The Tech-99 planning study indicated
8 primary deposition in the right lobe with small
9 deposition in the left lob. However, the primary
10 distribution was actually to the right lobe of the
11 liver. The treatment had been planned to the right
12 lobe under a different written directive, so -- the
13 treatment had been planned to the left lobe of the
14 liver under a different written directive. So, no
15 adverse effects to the patient are expected. Next
16 slide, please.

17 Corrective actions included a new process
18 where nuclear medicine contacts interventional
19 radiology when images indicate any activity in an
20 unintended area. Additionally, all AUs have been
21 directed to consider all distribution pathways
22 discovered during the planning study and follow-up
23 treatments. And the state inspectors determined that
24 all procedures were followed, and corrective actions
25 implemented were acceptable. Next slide, please.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 This was another wrong site where the
2 patient was prescribed 1.41 gigabecquerels but
3 received 63 grays. Post-treatment imaging determined
4 that some activity was taken up by unintended
5 segments of a liver. The procedure was determined to
6 be performed correctly, but the activity was
7 transferred due to complex hepatic flow.

8 No adverse effects are expected. And the
9 licensee indicated that the procedure was performed
10 successfully and that this is an expected risk of the
11 procedure. Therefore, no corrective actions can be
12 taken. This even is still currently under review.
13 Next slide, please.

14 This event was a Y-90 underdose where the
15 patient was prescribed 0.98 gigabecquerels but
16 received 0.77 gigabecquerels. The treatment was
17 performed without incident. However, post-treatment
18 surveys discovered a significant number of
19 microspheres remaining in the source vial.

20 The dose administered was determined to
21 be clinically sufficient. The root cause was unable
22 to be determined. And the licensee plans to return
23 the device to the manufacturer for examination after
24 decay. Next slide.

25 This was another Y-90 underdose where the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 patient was prescribed 1.08 gigabecquerels but
2 received 0.784 gigabecquerels. The treatment
3 occurred without incident. But post-treatment
4 surveys reveal microspheres in the waste vial.

5 Imaging revealed that the microspheres
6 were stuck at the juncture of the outflow tube and
7 the microcatheter. No adverse effects are expected.
8 And a reactive inspection did not identify a clear
9 cause.

10 The increase in pressure might have been
11 caused by tortuous anatomy or other microcatheter
12 issues. The procedure was followed correctly, and no
13 problems were indicated during the administration.
14 So, the licensee plans to return the device to the
15 manufacturer for investigation. Next slide, please.

16 This event was another underdose where
17 the patient was prescribed 12,000 centigray but
18 received 9,140 centigray. No indication that
19 anything was wrong during the administration. And
20 the physician had indicated that four saline flushes
21 went into the patient with no problem.

22 The treatment was observed by the RSO as
23 well as a manufacturer representative. And they
24 indicated that all procedures were followed. Post-
25 treatment, microspheres were discovered attached to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 the bottom portion of the septum and clumped in the
2 microcatheter that did not cause clogging. The
3 licensee plans to send this device to the
4 manufacturer for investigation following decay. Next
5 slide, please.

6 This was another Y-90 underdose where the
7 patient was prescribed 539.46 megabecquerels but
8 received 36.74 megabecquerels. The physician stated
9 that the procedure proceeded normally aside from
10 slightly more resistance. However, subsequent
11 imaging showed little to no activity in the patient,
12 and surveys of the waste revealed that the majority
13 of the activity remained in the tubing. For this
14 administration, they used a specialized catheter for
15 Y-90 administrations, specifically the TriNav 130
16 centimeter was used with a 20 centimeter extension
17 catheter. Next slide, please.

18 The root cause was determined to be the
19 use of this extension catheter. The larger internal
20 diameter of the extension reduced the saline
21 velocity, which caused the microspheres to fall out
22 of suspension. This patient underwent a repeat
23 procedure with no issue. And corrective actions
24 included training, no longer using extension tubing,
25 and ordering larger catheters for use -- or longer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 catheters for use. Next slide, please.

2 Another underdose, this patient was
3 prescribed 753 megabecquerels but received 215
4 megabecquerels. Measurement of a vial following
5 treatment showed a significant amount of activity
6 remaining. And the root cause was still under
7 investigation but is suspected to be due to a kink in
8 the catheter. The patient will likely require
9 further treatment. And the licensee will send the
10 device back to the manufacturer for investigation
11 following decay. Next slide, please.

12 This next event was a Y-90 underdose
13 where the patient was prescribed 2.54 gigabecquerels
14 but received 0.13 gigabecquerels. Post-treatment
15 surveys discovered microspheres blocked in a tubing
16 connector. But no spillage or contamination was
17 identified. And the investigation of this event is
18 still ongoing. Next slide, please.

19 This was another Y-90 underdose where the
20 patient was prescribed 518 megabecquerels but
21 received 31.45 megabecquerels. An obstruction was
22 noticed nearly during the treatment. And so, the
23 administration was halted following this discovery.
24 Excuse me.

25 A similar event has occurred at this

1 licensee regarding the Y-90 devices from the same
2 batch. And so, all microsphere administrations from
3 that batch have been paused. And the investigation
4 for this is still ongoing. Next slide, please.

5 And so, this was another event from that
6 same batch where the patient was prescribed 742.22
7 megabecquerels but received only 34.41
8 megabecquerels. Same as before, the obstruction was
9 noted early during the treatment. And the Y-90
10 devices from this batch have been paused. All
11 administration from this batch have been paused.
12 Next slide, please.

13 This next event is another Y-90 underdose
14 where the patient was prescribed 1.03 gigabecquerels
15 but received 0.64. During the treatment, a 2.4 French
16 TriNav anti-reflux catheter was attached to the
17 delivery device. And so, no microspheres were found
18 in the tubing or delivery system post-treatment.
19 However, surveys of catheters found high residual
20 activity remaining. And post-treatment scans
21 revealed activity in the left hepatic lobe with
22 unusual uptake in the spleen/gastric region. Next
23 slide, please.

24 The root cause is suspected to be a
25 microcatheter rupture during administration,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 resulting in high activity in the catheter and
2 unusual distribution. The patient was admitted for
3 observation and remained asymptomatic. And
4 corrective action included discontinuing the use of
5 this anti-reflux catheter and retraining on Y-90
6 administrations. Next slide, please.

7 This next event was another underdose
8 where the patient was prescribed 1.282 gigabecquerels
9 but received 0.981. The post-treatment imaging
10 revealed microspheres remaining in the tubing and the
11 root cause determined to be human error.
12 Specifically, the AU could not recall if the
13 microcatheter connection had been placed in the
14 holder on the extension arm.

15 Additionally, the dosimeter did not
16 detect any microspheres moving through the tubing
17 during administration. No adverse effects are
18 expected. And the corrective actions included
19 reminders of best practices during a Y-90 treatment
20 and additional surveys of the tubing for
21 verifications that microspheres have moved through
22 during the treatment. Next slide, please.

23 This next event involved a wrong site
24 error where the patient was prescribed 0.8
25 gigabecquerels for one liver segment and 1.93

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 gigabecquerels for another where these doses were
2 mistakenly switched during administration. During
3 the administration, the physician asked for the first
4 dose but was brought the second. After verbally
5 reading the dose, this vial was connected and
6 delivered. The root cause was determined to be human
7 error. And the corrective actions included a
8 radiation dosing education program with event
9 background and call back procedures as well as
10 additional training for personnel. Next slide,
11 please.

12 The next event was a Y-90 underdose where
13 the patient was prescribed 1.377 gigabecquerels but
14 received only 0.451 gigabecquerels. The treatment
15 was administered according to manufacturer
16 requirements with no errors. However, during the
17 second saline flush, a technologist noticed that the
18 liquid was pooling inside the acrylic pot within the
19 led pig.

20 Multiple attempts to stop this were
21 unsuccessful and the administration was halted. Next
22 slide. Surveying the waste container gave an
23 estimate of the activity that was administered. And
24 the patient will be evaluated at follow up for future
25 treatment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 No root cause was able to be identified.
2 No specific corrective actions were implemented due
3 to this. And the administration kit will be returned
4 to the manufacturer for analysis after decay. Next
5 slide, please.

6 This event was a Y-90 wrong site event
7 where a patient was prescribed 666 megabecquerels to
8 segment 5 of the liver but will receive 520 to
9 segments 7 and 8. A stenosis in the target vessel
10 required changing the treatment vessel to the origin
11 of the vessel rather than further down. And so, an
12 unexpectedly large volume of the microsphere refluxed
13 into wrong segments of the liver. No corrective
14 actions were taken. Next slide, please.

15 This event involved the Y-90 underdose
16 where the patient was prescribed 1.377 gigabecquerels
17 but received on 0.903 gigabecquerels. During the
18 administration, the licensee suspected low flow rates
19 had caused occlusion in the catheter. And after
20 analysis by the manufacturer, they had determined
21 that the injector needles had been bent at a 90-
22 degree angle and there was a kink in the tubing at
23 the pinch clamp.

24 However, they could not verify if these
25 were problems pre- or post-treatment. Blood clots

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 and microspheres were also found in the waste
2 collection vial. Next slide, please. The root cause
3 was determined to be a low flow rate, the cause of
4 which could not be identified. No adverse effects
5 are expected, and the dose was determined to be
6 medically sufficient. Corrective actions included
7 the use of an electronic dosimeter near the patient
8 to identify blockages or buildup of material between
9 the device and the patient. Next slide, please.

10 This event was a Y-90 wrong site error
11 where the patient was prescribed 848.4 megabecquerels
12 to the left lob segments 5 and 8 but received 847.3
13 megabecquerels to left lobe segment 4. Specifically,
14 this was a written directive error. The dose was
15 intended to be given to segment 4, but a typographical
16 error resulted in the wrong written directive being
17 produced.

18 No adverse effects are expected to the
19 patient. And corrective actions included specifying
20 the treated segment in writing with a formal review
21 of the directive by the treating IR. Additionally,
22 the treatment quality control will include a verbal
23 verification of the treatment site prior to
24 administering the dose. Next slide, please.

25 Going into the Y-90 SIR-Spheres events,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 this was an underdose where the patient was
2 prescribed 536.5 megabecquerels, 802.9
3 megabecquerels, but received 196.1 megabecquerels and
4 455.47 megabecquerels respectively. This patient had
5 two vials of microspheres for this treatment.
6 However, the manufacturer could not find any residual
7 microspheres in the device and testing received no
8 errors.

9 This was post-treatment the device had
10 been given back to the manufacturer for analysis.
11 The root cause was determined to be a leak between
12 the delivery system and the administration catheter.
13 And corrective actions included procedure
14 modifications, additional training, and obtaining new
15 equipment. Next slide, please.

16 This event was a Y-90 overdose where a
17 patient was prescribed 1.6 gigabecquerels and 0.7
18 gigabecquerels but instead received 2.34
19 gigabecquerels and 0.77 gigabecquerels respectively.
20 This was a single written directive for a split dose
21 administration, two doses for two separate locations.
22 However, the RSO inadvertently entered the total of
23 both doses into the prescribed dose section of the
24 treatment planning spreadsheet.

25 Additionally in this spreadsheet, they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 only used gigabecquerels as a unit which disguised
2 the unexpectedly large dose for the first
3 administration. No adverse effects are expected.
4 And corrective actions included revision of
5 procedures and the calculation spreadsheet,
6 preparation of separate written directives for split
7 doses, listing the activity in both gigabecquerels
8 and millicuries on relevant forms and containers, and
9 creating a no distraction zone in the preparation hot
10 lab. Next slide, please.

11 This event was a Y-90 underdose where the
12 patient was prescribed 0.407 gigabecquerels but
13 received 1.4 gigabecquerels. This was intended to be
14 a two-step successive administration where the
15 technologist drew 2.23 gigabecquerels for the first
16 step instead of the intended 0.223 gigabecquerels.
17 My mistake. This is should be an overdose, not an
18 underdose.

19 Statis administration of this dose was
20 estimated and no further administration to the
21 patient occurred. Next slide, please. The root cause
22 was determined to be a lack of standardized written
23 nuclear medicine procedures for microsphere
24 administration verification and inexperience by the
25 administering technologist. The corrective actions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 included formalized staff retraining, rewritten
2 procedures, establishment of a secondary verification
3 during dose preparation, the use of a volume
4 determination spreadsheet, and the use of a chart of
5 expected measurements for known amounts of activity.

6 Next slide, please.

7 This was a Y-90 wrong site event where
8 the patient was prescribed 1.32 gigabecquerels to the
9 right lobe of the liver but received 1.35 to the left
10 lobe of the liver. The root cause was determined to
11 be human error. And no adverse effects are expected.

12 The left lobe of the liver was intended
13 to be treated under a different written directive
14 after this event occurred. And that written
15 directive intended to have a dose within 20 percent
16 of this administered dose. The corrective actions
17 included procedure modifications and additional
18 training. Specifically, the procedure was updated to
19 require verbal verification of a lob being treated
20 and an additional review by the physician prior to
21 treatment. Next slide, please.

22 This next event was a Y-90 underdose
23 where the patient was prescribed 53.65 megabecquerels
24 but received 19.61. The root cause was determined to
25 be very small amount of dose attempted to be drawn

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 up. Specifically, they noted it was 0.07 CCs of
2 volume.

3 Multiple attempts to draw this dose
4 caused the dose vial to not have a complete seal.
5 And so, the AU had decided to stop the procedure.
6 And no adverse effects are expected. Next slide,
7 please.

8 This was another Y-90 underdose where the
9 patient was prescribed 700.41 megabecquerels but
10 received 557.59 megabecquerels. The treatment was
11 delivered without error. However, further
12 investigation discovered that this procedure had
13 reached stasis. The root cause was determined to be
14 failure to identify stasis and lack of sufficient
15 training. Corrective actions included additional
16 training. Next slide, please.

17 This next event involved a Y-20 underdose
18 where the patient was prescribed 3.39 gigabecquerels
19 but received only 2.02. This did not appear to
20 involve stasis. And root cause was determined to be
21 equipment failure. And the corrective actions
22 included disposal of the involved equipment. Next
23 slide, please.

24 Another Y-90 underdose. The patient was
25 prescribe 495.8 megabecquerels but received only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 305.62. The procedure occurred without incident,
2 nothing that there was no statis involved. However,
3 post-treatment survey of the tubing found a
4 significant amount of microspheres remaining in the
5 catheter.

6 No leakage or contamination. Excuse me.
7 The procedure was followed correctly, and the
8 equipment used was in line with manufacturer
9 recommendations. And so, the root cause was
10 suspected by the manufacturer to be a premature air
11 pause. And corrective actions included refresher
12 training. Next slide, please.

13 Another Y-90 underdose where the patient
14 was prescribed 399.6 megabecquerels but received
15 160.2. They noted an appropriately sized catheter
16 was used. And vascular access to the treatment site
17 was unusually tortuous.

18 The manufacturer representatives
19 observing the treatment noted no deviations from
20 recommended protocols. And the root cause was
21 suspected to be a collection of microspheres on the
22 catheter walls due to tortuous anatomy or excessive
23 bends in the line. Correction actions for this event
24 are pending. Next slide, please.

25 Okay. So that was all of the events for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 this fiscal year of 2023. And so, I'll just get into
2 a bit of a summary for some of these collections.
3 So, starting off with the 35.300 events, these were
4 primarily Lutetium-177 human error underdoses.

5 Some of the major ones that I've seen
6 this year were a mix-up of Lutathera and Pluvicto
7 which we've talked about before as well as mix-ups on
8 the patients themselves as well as supply chain
9 issues for delivery equipment. I don't have my finger
10 on the pulse on that. So, I don't know if those
11 issues are resolved yet.

12 But I know that those supply chain issues
13 can definitely attribute themselves to some of these
14 events this year. But we will be having an
15 information notice on 35.300 events coming out very
16 soon, I believe. Next slide, please. Going into the
17 35.600 events, these again were primarily human error
18 events.

19 But there were a few equipment failures
20 this year. There were multiple events this year where
21 they had full dose delivery in on fraction instead of
22 the fractionated doses. A lot of those, you can see
23 were exacerbated by teams not focusing, teams handing
24 off responsibilities to other members of the team.

25 So hopefully, this will help keep people

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 on their toes when they review these events. We also
2 had a couple here same as last year with incorrect
3 anatomical placement for these events. Next slide,
4 please. Going into the 35.1000 events, I'll focus
5 just primarily on the Y-90 microspheres ones.

6 As we've seen in years before, these are
7 primarily TheraSphere events and they're primarily
8 underdoses. One thing that I saw this year a lot in
9 these events which I'm sure that they've done it
10 before. But there was a lot of collaboration with
11 the manufacturers this year sending these devices
12 back for analysis, specifically calling it out in the
13 events that representatives were there to look after
14 a lot of these treatments which I think is a great
15 thing.

16 I'm sure they've done it before. They
17 just haven't told us. But I've noticed it in the
18 event reports this year a lot. And one thing that I
19 also saw this year were possible complications with
20 catheter supplements, not the catheters themselves
21 but things like extensions and anti-reflex cages and
22 other things like that.

23 And so those might be exacerbating the
24 events themselves for this. And I think that's
25 everything. Next slide. Yes, my acronyms. Next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 slide. You can just go to the end. Next slide. Any
2 questions?

3 DR. JADVAR: Thank you, Mr. Dimarco, for
4 that very comprehensive report. Do we have any
5 comments or questions from the ACMUI members? Dr.
6 Angle?

7 DR. ANGLE: Yeah, John Angle reporting
8 here. I know we've talked about this before. But
9 the underdosing Y-90s are unfortunate but not a
10 serious clinical event. I just wonder if we should
11 reconsider these being medical event reporting to
12 this committee or at least how we present them.

13 DR. JADVAR: Any thoughts on that
14 comment? Dr. Harvey?

15 DR. HARVEY: I think by the definition
16 and by the law, I mean, they have to be reported as
17 medical events because they are below and they're
18 outside the tolerance. But I certainly understand
19 what you're bringing up, Dr. Angle.

20 DR. ANGLE: A follow-up question to that
21 is that the legal requirement is just to report the
22 dose was not delivered. But the clinical scenario
23 and the clinical investigation we do on those perhaps
24 is not required. Is that a true statement? You're
25 putting a lot of energy into looking into the clinical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 situation with all of these and not necessarily
2 leading to any change. I just want to make sure
3 that's worth our investment of time and energy.

4 MR. GREEN: Dr. Angle, this is Richard
5 Green. I think I heard what you're saying. We had
6 a very descriptive of each event. And it might be
7 better -- they are reportable. They are in the
8 regulations.

9 But I wonder if just a summary of the
10 collection of events rather than each of the 38 -- I
11 don't remember the number -- of each event of what
12 happened. There's really not much that this
13 committee could do with that clinical information of
14 each patient's case. Is that what you were thinking
15 about?

16 DR. ANGLE: Yes, I think that would be,
17 I think, efficient.

18 DR. HARVEY: I think that the underdosing
19 is still a very important consideration. The
20 objective here is obviously to get the proper amount
21 of activity to the patient. So, I think an analysis
22 of these underdoses is important and looking for any
23 trends or any problems or anything that could be
24 helpful for other licensees to help prevent those
25 underdosing so we get the correct amount of activity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 in the patients. I do recognize the burden aspect
2 that you gentleman are talking about. Thank you.

3 DR. ANGLE: I would like to ask one
4 additional question. Would it be possible in these
5 presentations to use one of the available Harm Scores
6 and perhaps also a categorization of the etiology
7 were we could look at trends in the etiology over a
8 course of years, in other words, device failure or
9 human error? And I know we do this.

10 But there are published, I think,
11 guidelines for these things. I wonder if part of
12 this presentation we could have a Harm Score, a
13 categorization of cause, and then perhaps even some
14 score of preventability because I think to your
15 point, Richard, if we're going to look at this, I
16 feel like we're seeing the same thing over and over
17 again. Nothing changes. And I feel some obligation
18 that we need to either step back or step forward on
19 this and not remain neutral on this.

20 MR. DIMARCO: Daniel Dimarco. So, I will
21 answer those questions by going back to your first
22 statement with some of the things you said, you asked
23 for before. In 35.3045, the event reporting
24 requirements, there are specific requirements for the
25 information that is reported. And one of those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 requirements is things like corrective actions,
2 adverse effects to the patient, things like that.

3 And that's for all events, including the
4 Y-90 underdoses. So, we always get that information
5 at least when we don't have updates pending for that.
6 As for your second cause for that, I would say that
7 going back to that 3045, we have very specific
8 requirements for what to report for medical events.
9 And as you see, they don't always give us anything
10 more than the bare minimum of information for some of
11 these events.

12 We specifically can't go out and ask for
13 some of that information for things like
14 preventability and Harm Scores and things like that.
15 I would say for looking at those trends over more
16 years, maybe the medical events subcommittee could
17 take that on since they already look at these events
18 for a longer spread of years than I do in my annual
19 presentation. That's something that I could bring up
20 to that subcommittee. And maybe with their more
21 clinical knowledge, more on the ground knowledge, be
22 able to give you better insights than I could.

23 MR. OUHIB: This is Zoubir, if I may.
24 Can you hear me? Yeah, I'm a little bit sort of
25 perturbed about the answer of a human error which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 a very generic statement in reporting an event. And
2 I think we need to hear more from the users about
3 what exactly was that human error.

4 Give us some very specifics. And that's
5 not for sort of punishment or anything like that.
6 But that's more for other users to learn and
7 understand if you do something like this or if you
8 don't do something like this, here's the outcome.

9 And I think we need to sort of try to get
10 a little bit more information instead of just saying
11 this was a human error basically. And then certainly
12 when the user investigates this furthermore to
13 provide that, and it would be beneficial to other
14 users that to avoid that. The other item that is
15 that I've seen a lot of devices being sent back to
16 the manufacturer for evaluation and all that.

17 And I guess my question is that what is
18 this going to provide to the community? Or is that
19 information going to be between that particular user
20 and the manufacturer? And the rest of the users will
21 never know that, oops, this is what could happen.
22 This is what actually happened and avoid doing this
23 and so on and so forth. That's all I have.

24 DR. JADVAR: Thank you. Just I want to
25 echo what you just said, Zoubir, because I had exactly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 the same, I was going to ask about this. It seemed
2 to me that there's a trend of these manufacturer
3 problems and it seems to be systematic.

4 And I was wondering, are there specific
5 manufacturers? What are these problems and how are
6 they popularized to the general public of what these
7 problems are and why they are not solved if it turns
8 out to be manufacturing problem? But Zoubir, I think,
9 beat me to that. Please, Mr. Green.

10 MR. GREEN: Mr. Dimarco, on page 34, you
11 very nicely gave us a listing of all 33 medical events
12 that involved spheres and broken them down this many
13 TheraSpheres, this SIR-Spheres, and this many that
14 are unknown. And on page 80, you also did a similar
15 thing where you said this many were wrong site, this
16 many were overdoses, this many were underdosed. I
17 think it'd be very effective if you were to do a
18 similar process to say of all these Y-90
19 administrations, these are the presumed causes and
20 these are the implemented correct actions.

21 We could see a summary because you've got
22 33 here. And you went through all of them very
23 detailed. But in my mind, I can't put together. So,
24 what's the common theme? Okay?

25 You've done that with -- at the start,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 there's this many of this kind. And at the back end,
2 you say, this many were too much and this many were
3 too little. But if you could make an attempt to say
4 summarize the -- what are the -- again, human error
5 doesn't tell us much as Mr. Zoubir has said.

6 But if they can say, I failed to rise
7 adequately or I kinked the needle. Those are human
8 errors. But at least we know something about it.
9 Thank you.

10 DR. JADVAR: Any other comments from the
11 ACMUI -- oh, sorry. Josh, please.

12 MR. MAILMAN: I have several. We've
13 talked about this at the last meeting. These numbers
14 in isolation are interesting but don't give us an
15 idea of the general trend. Are the medical events
16 going down per the number of procedures being done?

17 It's really hard to tell if we're getting
18 better or if we're staying the same or if we're
19 getting worse. So again, not on you. It's more of
20 knowing what the total number is that we're looking
21 at and are we getting better at reducing errors?

22 I know we'd like an absolute zero. But
23 as some of these new therapies come online, I'm
24 thinking of PSMA treatments. We're going to see a
25 hockey stick number of treatments.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 If we end up relatively flat on new
2 medical errors, with that, I think we're doing a much
3 better job. While I'd like to get to zero again, it
4 would be good to know what the denominator is. So
5 that's an overall thing.

6 One, and I didn't write the whole number
7 down. It was in the Lu-177 ending with 531. One of
8 the corrective actions, which I've heard from other
9 sites as well, is to perform the total 177 treatments
10 and the PSMA treatments on different dates. I don't
11 understand how that's a sustainable corrective
12 action.

13 There are going to be days in every
14 clinic where you're going to need to dose someone who
15 needs it. And I think this is a kick the can down
16 the road solution. That is not scalable and teachable
17 I think is the right word that I'm looking for here.

18 It really is an unteachable event to say
19 this is going to apply to everywhere, maybe a small
20 center. But past that, I don't see how that's a
21 workable solution. I have two more points.

22 We've gotten into this conversation
23 before on Lu-177 as opposed to Y-90 administration.
24 Lu-177 is obviously put in by corridor by other
25 methodologies. And we talk about administrative dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealgross.com

1 all the time. It would be good to know not only what
2 they received as the administrative dose versus what
3 they were prescribed.

4 But if there could be a footnote to say
5 what would be the absorbed dose because we know not
6 all of the administrative dose hits target, most of
7 it up to 50 percent. At least in header 1 and header
8 2 was created by the kidney. So, if we're slightly
9 underdosing but yet we're just losing a little on the
10 kidney, it would be good to know that the patient is
11 really receiving a therapeutic dose.

12 Back to Dr. Angle's question about what
13 really is going on and whether it's been an effective
14 dose or not and whether the patient is getting the
15 efficacy they -- and I don't know if you can add that
16 to a form or not. But it would be an interesting
17 sideline. And lastly, the one thing that hits me
18 throughout this presentation is that -- and it may
19 already be done and there may be an SOP for this all
20 over the place.

21 But if someone is under any of these one,
22 300, two -- I'm not sure the total number we just
23 went through. But how are patients informed about
24 this and what corrective actions were there? Are
25 they told and are they led to understand what the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 implications are and how to follow up? Those are my
2 questions or comments.

3 DR. JADVAR: Thank you, Josh, for those
4 comments. I think Dr. Katie --

5 DR. TAPP: Yes, this is Katie Tapp. Going
6 back through those, the first one about getting the
7 denominator for the events, for the Yttrium-90
8 microsphere brachytherapy, both the manufacturers
9 today did send us their vial shipped out. We've had
10 that data in the past, and we have done a quick
11 analysis.

12 But she's done analysis to confirm that
13 the number of events divided by the number of vials
14 shipped which is what they're available to give us
15 has stayed relatively flat over the years. So, there
16 is not an increasing trend. This is a -- it's
17 trending -- it is staying relatively flat if not going
18 down slightly.

19 I'm looking at Sarah Spence because she
20 is the one who did that analysis and has done that.
21 We cannot share that information because the number
22 of vials shipped is proprietary. So, if we did that
23 -- gave you that number here, we'd be sharing
24 proprietary information.

25 Regarding the last statement about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 patients being told, it is a regulatory requirement
2 that the authorized users notify the patients unless
3 the authorized user or the referring physician
4 believes that would be a detriment medically. I don't
5 have the exact regulation in front of me. But there
6 is a requirement that the patient is told about that.
7 So, they're required to be told within 24 hours.

8 DR. HARVEY: Richard Harvey. Sorry to
9 interrupt you, Dr. Tapp. I think your point is
10 correct. The patients do have to be told within 24
11 hours. But oftentimes all the corrective actions,
12 the root cause analysis haven't been completely
13 performed. So, to Mr. Mailman's point, they may --
14 the patient may not understand or may not be told all
15 of the corrective actions. But they certainly are
16 notified of the occurrence.

17 MR. OUHIB: This is Zoubir Ouhib, if I
18 may. I think to answer Mr. Mailman's question, I
19 think Mr. Dimarco did show in one of the slides that
20 they're having an increase on the 300, on the 1000.
21 And overall, the total looked like a little bit up,
22 per se.

23 I think the other item that we need to
24 pay attention to, it's not the number but the
25 implication of these case. In other words, were there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 30 out of 50 that were significant or were there 2
2 out of 50 that were significant and impacted the
3 patients? And I think that's very important.

4 DR. JADVAR: Mr. Green?

5 MR. GREEN: Dr. Tapp, it's great that
6 you're getting the information from the Y-90
7 microsphere manufacturers. Has there been an
8 arrangement established with the I-90 manufacturer
9 when they come to market to get their information as
10 well?

11 DR. TAPP: Not yet. But we can reach out
12 to them when we get to that point.

13 MR. GREEN: That'd be great. Let's get
14 a full deck.

15 DR. JADVAR: Dr. Harvey?

16 DR. HARVEY: Richard Harvey. I just want
17 to address Josh's second point about the kicking the
18 can down the road of trying to do Lutathera treatments
19 and Pluvicto treatments on different days. I do agree
20 that there can be sort of urgent studies or treatments
21 that need to be done. And you might be performing
22 some of these on these on the same day.

23 So, trying to put them on the same, they
24 may be difficult. But most of these treatments aren't
25 just in time. We need to do them tomorrow. So, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 do use this strategy where we schedule our Lutatheras
2 and our Pluvictos on different days.

3 And I think it does help us to prevent
4 medical events. So, I understand your point. I do
5 think it can be a valuable strategy for some
6 organizations.

7 DR. JADVAR: Josh, I think in one of your
8 presentations in the past, I saw that you showed a
9 picture of Lutathera patient doing a unit dose and
10 the Pluvicto. They look the same. Is that correct?
11 Has that been solved? But that has been corrected?

12 MR. MAILMAN: I believe it has been
13 corrected or at least been made much clearer.

14 DR. JADVAR: Any other comments from the
15 ACMUI members?

16 DR. ANGLE: Sorry, John. I'm going to
17 ask one follow-up question to Mr. Mailman's comment.
18 Why don't we make it our business to track how many
19 doses are shipped in this country or how many doses
20 are administered in this country?

21 I know you can't report it. It's
22 proprietary information. But if it's worth our
23 effort to follow the medical adverse events, I would
24 think having this denominator would be essential part
25 of the equation. I don't know.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 DR. JADVAR: Good question. Dr. Harvey?

2 DR. HARVEY: Maybe -- this is Richard
3 Harvey. Maybe Mr. Dimarco can maybe not report on
4 the actual number. But maybe he can report on the
5 trends in his reports if the NRC seems feet or if he
6 thinks that's a good approach. Thank you.

7 MR. MAILMAN: Of course, that's
8 incredibly hard to do with a single agent drug -- I
9 mean, a single manufacturer drug because that would
10 -- I mean, the challenge would be to amass that as we
11 have more drugs in the same field that might become
12 easier to amass that. Although some of them do
13 release the number of doses they're doing publicly.
14 But it may be worldwide versus U.S. Anyway, it's
15 challenging. Just bringing it up to make sure we do
16 what we can, meet the challenge.

17 DR. JADVAR: Great comments and a great
18 presentation. I guess the theme is somewhat of a
19 more digestible summary of what is going on so that
20 we can have a better understanding of the trends and
21 what needs to be done. But great report. I want to
22 open it up to NRC staff. I know Dr. Tapp already
23 discussed something. But anything else?

24 DR. VALENTIN-RODRIGUEZ: Yeah, let me
25 look at my notes because I had a few things here that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 I wanted to just address. So what Daniel was talking
2 about what folks are reporting to us what information
3 we're getting, and I'm going to talk about this, this
4 afternoon. But Dr. Katie Tapp has the lead for
5 developing a medical event, regulatory guide for all
6 medical events.

7 And that's going to be issued as part of
8 the proposed rule for extravasations which you all
9 are reviewing right now. One of the things we did
10 there was the best practices that you all recommended
11 a few years ago about reporting medical events was
12 incorporated into that guidance to provide licensees
13 with examples as to what information is useful and
14 what to report. But we're always open to your
15 suggestions.

16 The one thing, Dr. Angle, regarding the
17 Harm Scores, the categorizations we can definitely
18 do. I mean, we have a plethora of information from
19 years past. Score preventability, I think because
20 we're not focused on practice of medicine and how
21 effective of a dose is being administered but more
22 rather as to ensure that the physician's directions
23 or the written directive are followed. I don't think
24 it'd be appropriate for us to do that type of
25 trending. But we can certainly talk to the medical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealgross.com

1 events subcommittee and see if that's something that
2 could be incorporated into their presentations. But
3 as such, given our regulatory authority, it wouldn't
4 be prudent for us to make points on that.

5 DR. JADVAR: Thank you, Dr. Valentin-
6 Rodriguez. Any other comments from the NRC staff? I
7 think we have -- okay.

8 MR. EINBERG: Yeah, Chris Einberg here.
9 Yeah, I just wanted to say thank you for the great
10 discussion and the feedback here. And Daniel, great
11 presentation, very comprehensive.

12 And I know a lot of time and effort goes
13 into putting that together. The feedback from what
14 I heard was that let's try to relook at this
15 presentation. We'll take that back. We'll try to
16 bring it to a higher level, maybe summarize it
17 somewhat so that there's more impactful discussions
18 on this in the future. So, thank you for that
19 discussion.

20 DR. JADVAR: Thank you very much. Well,
21 we have time still. So, I want to open it up to any
22 attendees in the room who wants to make a comment.

23 (No audible response.)

24 DR. JADVAR: Okay. And then perhaps we
25 can go to any comments or questions from the remote

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 attendees. Celimar?

2 DR. VALENTIN-RODRIGUEZ: This is Celimar.
3 For those who are in the virtual room, right now I'm
4 having issues trying to unmute the entire room. So,
5 for those who are on the phone, if you want to raise
6 your hand, you will need to press star-5 and I will
7 call on you and then enable your mic.

8 For those who are attending, just raise
9 your hand and I will go ahead and enable your mic so
10 you can comment. Again, if you're on the phone, star-
11 5 to raise your hand. And if you're on the virtual
12 room, just use the raise hand function at the top of
13 your team's app or desktop app. And then I can enable
14 the mic for public comment.

15 And as a reminder, please try to keep
16 comments on the topic at hand which is medical events.
17 Thank you. Dr. Jadvar, at this time, I don't see any
18 hands raised.

19 DR. JADVAR: Okay. Well, thank you
20 again, Mr. Dimarco --

21 (Simultaneous speaking.)

22 MR. OUHIB: If I may.

23 DR. JADVAR: Oh, sorry. Go ahead.

24 MR. OUHIB: This is Zoubir Ouhib. I just
25 wanted to sort of let you know that the AAMP which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 the America Association of Medical Physicists has
2 actually put together a task group report to deal
3 with medical event reporting. In other words, the
4 language, the information itself that is critical.

5 And that's actually going in print
6 probably as we speak. I happen to be a member of
7 that. Bruce Thomadsen who is a past member and chair
8 of ACMUI was actually the chair of that report. And
9 I think we're hoping that will help the medical
10 physicist community to actually provide more
11 information when it comes to a medical event.

12 DR. JADVAR: Thank you, Zoubir. Perhaps
13 at some point you can give us a summary of what
14 activities they are doing. Is that okay?

15 MR. OUHIB: I'd be happy to.

16 DR. JADVAR: Again, thank you. Again,
17 Mr. Dimarco, thank you so much for your time and
18 effort and energy on this very comprehensive report.
19 With that, we move on to the next item, number 5.
20 It's going to be. I was total it's Akesis Galaxy RTi
21 unit committee report by Dr. Wolkov.

22 MR. EINBERG: Dr. Jadvar, we're
23 considerably ahead of schedule right now. I wanted
24 to propose that maybe we take a few minutes break
25 since we don't have a break on the morning agenda

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 here. So, if that's acceptable to you.

2 DR. JADVAR: Absolutely, maybe 15 minutes
3 until 10:30 Eastern Time.

4 MR. EINBERG: Thank you.

5 DR. JADVAR: Adjourned until 10:30
6 Eastern Time. Thank you.

7 (Whereupon the above-entitled matter
8 went off the record at 10:18 a.m. and resumed at 10:32
9 a.m.)

10 DR. WOLKOV: To a critically located
11 small intercranial volume. Now, his first machine
12 actually -- well, actually, I'll go back in time.
13 So, in the 1950s, he actually attached orthovoltage
14 x-ray machine to a Leksell stereotactic head frame.
15 And it wasn't until the 60s, late 60s, that he started
16 using cobalt-60 as a source. You all are familiar
17 that cobalt-60 has a half-life of 5.26 years,
18 effective energy about 1.25 mV.

19 And this early machine had 179 cobalt
20 sources in it. And there was an internal helmet of
21 sorts that would collimate the beams of radiation.
22 With time, there was the development of the B units
23 and the C units. And licensing guidance has come out
24 of this committee on those two different machines.
25 And those utilize 201 cobalt sources.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 And then most recently, the Perfexion and
2 the Icon units were introduced. These had a robotic
3 couch and cone-beam CT capabilities. They still used
4 fixed sources, which is important for today's
5 discussion.

6 But advances were made. And they found
7 that 192 cobalt sources worked just fine, in fact
8 provided great distributions. And these particular
9 devices were going to in a sense contrast to the
10 Akesis system so we can put it into perspective,
11 realizing that this group was responsible for
12 providing guidance for these different pieces of
13 equipment.

14 Now, the Akesis system contains 30 cobalt
15 sources, significantly less. This becomes important
16 when you're thinking about exchanging sources,
17 because the half-life again is 5.26 years. So, there
18 are clearly some advantages to a system like this.
19 There are approximately 6000 curies of total initial
20 source activity. And if you look at the Elekta
21 systems, it was equivalent, about 6000 curies as
22 well.

23 Now, the Akesis system is paired with an
24 image guidance system that uses reference images to
25 move the treatment couch, the patient's lying in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 supine position on the couch, head is affixed. And
2 the target is basically moved into position.

3 Now, it really doesn't matter what system
4 you're talking about. The goals of stereotactic
5 radio surgery are always the same. First, we have to
6 delineate a three-dimensional target volume. Then we
7 have to deliver an effective dose of radiation to
8 that target.

9 And finally, avoid delivering
10 significant doses of radiation to nearby structures.
11 And we can achieve those goals with any of these
12 systems. If we move on to the next slide.

13 Now, early on, there was -- there were
14 different patterns, different collimating systems.
15 But if we just jump ahead to the most recent ones,
16 looking at the Perfection unit and the Icon unit,
17 they will use collimator sizes of 4 millimeters, 8 mm
18 and 16 mm.

19 If you go back in time and we started
20 using the earlier models at our center, basically
21 it's the same as the Akesis system, using 4 mm, 8 mm,
22 14 mm, and 18 mm collimators. Of course, they wear
23 helmets, and these weighed well over 350 pounds. So
24 only a neurosurgeon was capable of lifting them.

25 But basically, we're kind of now looking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 at a system that's very similar to what used to be
2 the case years ago with the earlier models. Though
3 currently again we're using 4, 8, and 16 millimeters,
4 with blocking positions, because you want to be able
5 to shape your beams, spare normal tissue that's going
6 to be in the vicinity of the target.

7 If you're treating a pituitary tumor and
8 the optic apparatus is in the general area, you really
9 need to use blocks in order to basically carve out
10 the radiation dose to these critical structures. So,
11 4, 8, 14, 18 millimeters, again, we're very, very
12 familiar with the people who've used other Gamma
13 Knife systems.

14 Now, unlike the Gamma Knife unit, the
15 source and the collimating system for the Akesis
16 system will rotate simultaneously during treatment
17 to form 30 non-overlapping convergent 360-degree
18 arcs. So, contrast that to the Elekta systems,
19 they're fixed, fixed beams.

20 The more recent ones use a different
21 configuration, beyond the scope probably for me to
22 discuss that today. But basically, involving
23 sectors. There are eight sectors with 24 sources per
24 sector. If we could move to the next slide.

25 The principle, again, is always

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 convergent beams, regardless of the system we use.
2 In this system, all 30 beams are directed towards the
3 target.

4 This has another aspect to it that we do
5 not see with the Gamma Knife unit to the same degree,
6 and that is the device has real-time, in-line cone-
7 beam CT capability and kV/kV imaging. We do have
8 with the Perfection unit and the Icon unit, they do
9 have cone-beam CT.

10 It's used somewhat differently, though.
11 This system actually allows interfractional
12 verification. So just an important distinguishing
13 feature.

14 I thought it would be useful to go over
15 the workflow of the system, and we do need to advance
16 to the next slide. Actually, one more slide, if we
17 could advance it. Thank you.

18 So, the first thing we have to determine
19 is how we're going to immobilize the patient. All
20 patients have to be immobilized for this treatment.
21 And much like the Icon system, the Perfection system,
22 we can use a mask, or we can use a headframe.

23 If one is considering doing fractionated
24 radio surgery, then a headframe probably is not the
25 best solution because it's painful to affix a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 headframe to the outer table of the skull.

2 So, the thermoplastic mask works
3 beautifully if somebody wants to deliver treatment to
4 an acoustic schwannoma and do it in five fractions.
5 You just have the patient come back five days, you
6 place them in that type of system, it works great.

7 If we're trying to treat something that
8 requires exquisite precision, we're treating the
9 ventral intermediate nucleus of the thalamus to treat
10 a movement disorder, you need a significant degree of
11 accuracy. So that's when you really would want to
12 use a headframe.

13 Now, there is something very interesting
14 about the system, the Akesis system, in the sense
15 it's somewhat of an open platform. It actually allows
16 you to use a Leksell stereotactic headframe. Now,
17 after the headframe is placed or a mask system is
18 developed, the next step generally is to perform some
19 type of imaging CT scan, occasionally, but usually
20 it's an MRI scan.

21 And then we generate a treatment plan.
22 If we could go back, I think, to the slide, was it
23 before this? The one after that, thank you. Next
24 one, thank you. So, we develop a treatment plan for
25 each patient to get adequate coverage of a target,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 sparing normal surrounding tissue.

2 Again, another interesting point, this is
3 somewhat of an open platform. So, one can actually
4 use a gamma plan to develop your treatment planning.
5 So again, there's a lot of overlap, it shares a lot
6 of commonality. And that becomes important when
7 you're talking about developing license guidance.
8 Because it's not a completely foreign system.

9 So, we generate basically a treatment
10 plan. We'll move a patient into position. We will
11 confirm patient position, target shape by co-
12 registering CT images or MRI scan images.

13 And then basically we can go ahead and
14 begin treatment. Occasionally we have to apply some
15 corrections, very, very fine movements in the x, y,
16 or z planes. But then we're ready to deliver the
17 treatment. Next slide. Next slide, please. Thank
18 you.

19 So once all the treatment parameters are
20 verified and accepted, the control system will
21 automatically execute the plan treatment. During the
22 treatment again, we have online imaging for
23 interfractional verification. This is a little
24 different than what we're used to.

25 In a Gamma Knife, where you have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 patient motion management system, you can put a
2 little sensor on the tip of the nose and track the
3 patient's motion. And if it gets out of spec,
4 basically the machine stops, it stops treatment.

5 So, this is a little different. But
6 again, there are many ways one can do verification.
7 It does not have to be with a patient motion
8 management system like the Elekta system. So next
9 slide, please.

10 So, the first Akesis Galaxy unit is
11 scheduled to be operational this year. It's going to
12 be installed at Case Western Reserve Medical Center
13 in Cleveland, Ohio. And I just contacted the chairman
14 last week to find out if they had a better idea of
15 the time, the date. Unfortunately, we still don't
16 have that information.

17 The NRC staff has determined that Akesis
18 Galaxy RTi should be regulated under 10 CFR Part 35,
19 Subpart K, or 10 CFR 35.1000. This is similar to the
20 Icon and the Perfection system. Next slide, please.

21 I really wanted to highlight two areas,
22 training and experience and also physical presence
23 requirements. The reason for highlighting these two
24 areas is simply because this tends to draw a lot of
25 scrutiny from licensees.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Due to the similarities, excuse me,
2 between the Akesis Galaxy RTi and the Elekta Gamma
3 Knife, the subcommittee recommends that the draft
4 guidance be modified to not require at a station for
5 AUs, AMPs, and RSOs who are qualified for Gamma Knife.

6 Draft guidance recommends training on
7 differences, though, between the Akesis Galaxy and
8 the Elekta Gamma Knife that must include hands-on
9 device operation, safety procedures, and clinical
10 use. Next slide.

11 Training requirements can be satisfied by
12 completion of training programs by the vendor or by
13 an AU or AMP who's authorized for Akesis Galaxy RTi
14 use. The next slide.

15 Respect to physical presence
16 requirement. The proposed physical presence
17 requirements are similar to that of high dose
18 brachytherapy and the requirements for both the
19 Leksell Gamma Knife Perfection and the Icon units.
20 Next slide.

21 The draft guidance recommends the AU and
22 the AMP be physically present during initiation of
23 all treatment. The authorized medical physicist and
24 authorized user or physician will be physically
25 present during continuation of all patient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 treatments.

2 If treatments are interrupted, the AU
3 will return to the console to evaluate the clinical
4 situation, mechanical situation to ensure that
5 treatment delivery is in accordance with the
6 treatment plan and the written directive.

7 I want to underscore that the
8 subcommittee was exceptionally comfortable with the
9 draft guidance. For the reasons that both in
10 principle and in language, the concepts and the
11 language had been vetted by the ACMUI for the Icon
12 units and the Perfection units, as well as prior
13 machines as well, such as the gamma pod stereotactic
14 radio surgery device, which is considerably
15 different. And that actually has a lot of differences
16 than what we're talking about here.

17 So, the subcommittee feels that the
18 guidance will be well-received by the licensees
19 because, again, a lot of these and language has been
20 vetted by the ACMUI. Specific comments can be found
21 again in the subcommittee report, which is in the
22 meeting packet.

23 And finally, the acronyms. Any questions
24 or comments?

25 DR. JADVAR: Thank you, Dr. Wolkov. Any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 questions or comments by the subcommittee members?

2 DR. ANGLE: This is John Angle. I just
3 want to make sure I understand. So, the physicist
4 and AU must be present for all treatments. And that
5 has been true for the existing legacy units as well.
6 This is just a continuation of existing policy.

7 DR. WOLKOV: Correct.

8 DR. ANGLE: Okay, thank you.

9 DR. JADVAR: Okay, let's see if there's
10 any comments or questions by the ACMUI members.
11 Getting none, we move on to questions from NRC staff.
12 No questions?

13 DR. VALENTIN-RODRIGUEZ: We don't have
14 any questions.

15 DR. JADVAR: Okay, thank you. Any
16 questions from the, or comments from the attendees in
17 the room? Okay. We have time, we can entertain
18 remote attendees, any comments or questions?

19 DR. VALENTIN-RODRIGUEZ: Thank you, Dr.
20 Jadvar. Just a reminder, I've enabled everyone's
21 mics on the virtual room and on the phone. So, you
22 can raise your hand and unmute yourself.

23 If you're on the phone, you can press
24 star-6 to unmute yourself. Everyone should be able
25 to enable their mics. So, you can use the raise-hand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 function, or you can just go ahead and unmute yourself
2 for any comments.

3 Dr. Jadvar, I'm seeing no comments, no
4 hands raised or no one commenting off you.

5 DR. JADVAR: Thank you. So, with that we
6 can move on to any motion to accept the report by the
7 subcommittee for approval.

8 MR. GREEN: I would make that motion to
9 accept.

10 DR. JADVAR: Any seconds

11 DR. EINSTEIN: Second.

12 DR. JADVAR: Okay, thank you. All in
13 favor, say aye.

14 (Chorus of ayes.)

15 DR. JADVAR: Any opposed?

16 (Chorus of aye.)

17 DR. JADVAR: Thank you. Any opposed?
18 Any abstentions?

19 Okay, the motion carries, and the report
20 is approved.

21 Thank you so much, Wolkov, and all the
22 subcommittee members.

23 Okay, we move on to the next agenda item,
24 number six, which is a review of prescription error
25 reduction methods by Mr. Green.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 MR. GREEN: Thank you, Dr. Jadvar.

2 Good morning. I asked to put a little
3 time on our agenda today to look in depth at a recent
4 medical event.

5 And I need to provide the caveat, I'm a
6 pharmacist and I will focus on drugs, but there may
7 be some applicability into other modalities of Gamma
8 Knife and intervascular radiology and certainly a
9 perspective from the patient's rights.

10 My purpose in this activity is not to
11 highlight the institution, the clinicians, or the
12 licensee. But I think it's singular case that we'll
13 talk about today illustrates a common though process
14 with respect to root cause analysis of medical event
15 and possible corrective actions, so it doesn't
16 reoccur. Next slide, please.

17 During our spring meeting last year, this
18 event was quite fresh and was a subject of discussion
19 amongst members of the ACMUI.

20 It's reported that two
21 radiopharmaceutical misadministration occurred
22 involving two patients. They were each scheduled to
23 receive 7.4 gigabecquerels, or 200 millicuries, or a
24 lutetium-177 labeled therapeutic
25 radiopharmaceutical. These are all direct quotes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 from the NMED report, which is an interesting read.
2 I've got it here.

3 One patient was to receive Lutathera,
4 which is indicated for treatment of neuroendocrine
5 tumors. And the other patient was to receive
6 Pluvicto, indicated for the treatment of prostate
7 cancer. The Lutathera patient was mistakenly
8 administered the Pluvicto, and the Pluvicto was
9 mistakenly administered the Lutathera. Next slide,
10 please.

11 So according to the NMED report, what
12 contributed to the event? Again, these are all quotes
13 from that event report.

14 It states, they were mistakenly
15 administered. Staff at the clinic and at the external
16 radiopharmacy may have had complacency and perhaps
17 they have treated the tasks of that day, such as
18 opening packages, as being mundane and didn't pay
19 much attention to the circumstances. It also cites
20 perhaps a lack of awareness or training. Next slide,
21 please.

22 Other factors that were thought to
23 contribute to the error include the recentness of
24 handling. It's been a while since the staff had
25 handled these drugs, and the fact that there were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 multiple shipments required -- that were required to
2 and from the clinic and the pharmacy and both
3 cardboard shipping cartons looked similar to each
4 other. And the fact that both patients were scheduled
5 to receive the same 200 millicurie dose of their
6 respective drugs. Next slide, please.

7 So, what were the corrective actions
8 taken? What corrections were put in place to prevent
9 a reoccurrence?

10 They implemented a new scheduling process
11 so that Lutathera patients and Pluvicto patients are
12 not scheduled on the same day. A second dual
13 verification process where the authorized user must
14 verify the correctness of the radiopharmaceutical was
15 implemented.

16 Now that we reviewed this medical event,
17 let's delve into some of the processes that might
18 also serve to ensure that all patients, not just
19 Pluvicto and Lutathera patients at this one medical
20 facility, receive the right pharmaceutical for their
21 intended therapy or diagnostic study.

22 Additional corrective actions that were
23 taken include a reeducation on the proper procedures
24 and that they will verify each patient's identity
25 using at least two methods of verification prior to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 administration. Next slide, please.

2 To do this, I'm going to need to take you
3 on a tour through some literature and published
4 studies that relate to medication errors, or as their
5 known in the pharmacy world, adverse drug events,
6 ADEs.

7 Since the publication of the Institute of
8 Medicine Report entitled To Err is Human, health
9 systems have adopted to technology and informational
10 systems to improve the medication use process and
11 reduce errors. Next slide.

12 It has been identified that there are
13 five rights of medication administration. Medication
14 should go to the right patient. It should be the
15 right drug. It should be the right dose. It should
16 be administered by the right route. That would be
17 intravenous or oral or subdermal, etc. And it should
18 be administered at the right time. Next slide,
19 please.

20 In the pharmacy practice literature, they
21 have identified five medication use phases. These
22 phases include the prescription phase, where the
23 prescriber chooses the correct medication and dose
24 based on a diagnosis of patient characteristics, what
25 other medications they have on board, and possible

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 allergies, etc.

2 Next is the transcription phase, where
3 medication is recorded in medication administration
4 records. And that's transferred to a pharmacy. So,
5 there's an opportunity for an error there. Now, the
6 literature is pharmacy-centric, and we could in our
7 minds change this wording to be "transferred from the
8 pharmacy" to "transferred to the nuclear medicine
9 department."

10 The third phase is the dispensing phase,
11 where the pharmacy staff or nuclear medicine staff
12 retrieve the correct radiopharmaceutical, which is
13 then transferred to the floor to the patient's -- for
14 administration.

15 Administration phase occurs where the
16 medication is actually administered to the patient.
17 Following up with a fifth phase, which is the
18 monitoring phase.

19 We are going to see this in nuclear
20 medicine with our new multi-dose regimens of
21 theranostic drugs, five or six of Xofigo and
22 Lutathera and Pluvicto all have multiple courses of
23 therapy. So, we'll see this monitoring phase as well
24 in nuclear medicine. Next slide, please.

25 So, these are things we talked about last

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 year when this event first came up and we were
2 discussing it informally. What are some fixes, is
3 there -- what are solutions that could be brought to
4 bear?

5 Is color coding an effective means? On
6 my citations page, I've identified two communications
7 about color coding of pharmaceuticals.

8 There's perhaps a minor role that color
9 coding can play that is most effective, for example,
10 to color code classes of drugs that are high risk
11 medications like potassium chloride with a black cap,
12 danger, okay. Or perhaps a range of medications used
13 in a certain medical setting, like an ophthalmic
14 clinic, with different eyedrops.

15 But color coding for pharmaceutical
16 products should be used with extreme caution, as
17 there are several problems associated with its
18 widespread adoption. For one, there's a limit to the
19 variety of discernable colors available for
20 commercial use. Well-demonstrated color-coding
21 research in other industries indicates that subtle
22 distinctions in color are poorly discernable unless
23 they are located adjacent to each other, okay.

24 Contrast of background or surrounding
25 colors could also be problematic if a certain color

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 must be used for patient identification. And of
2 course, clinicians could be color blind, resulting in
3 possible misidentification of color-coded products.
4 This could be the reason that the FDA and the
5 pharmaceutical industry have frowned upon color
6 coding for the most part.

7 Does scheduling new patients have an
8 opportunity to play here? This sounds like avoidance
9 to me. Let's all do kidneys on Thursday and brains
10 on Friday, and I mean, yeah, that may be a short-term
11 solution, but I don't think that's a long-term
12 solution.

13 Are there ways and methodologies that can
14 help ensure the five rights of medication use even
15 when a facility does a procedure infrequently? Might
16 there be improvements in the package design or the
17 font size? Might a timeout when the staff pause and
18 reevaluate the patient, the drug, and the dose prior
19 to proceeding? Next slide, please.

20 So, what are some possible solutions that
21 are in some ways that we could avoid confirmation
22 bias when clinicians might perhaps fail to see they
23 don't have the correct drug in their hands? I'd like
24 to spend some time discussing some health information
25 technology solutions that might play a positive role

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 ensuring the five rights of medication use.

2 These include CPOE, computerized
3 prescription order entry, that can reduce errors in
4 the prescription phase and transcription phase. This
5 would provide assistance in ordering the right drug
6 for the procedure and help ensure that there is not
7 transcription made as there would be in telephonic
8 communication where you mishear something, or you've
9 got poor penmanship.

10 In the radiopharmacy setting, depending
11 on the market and the community, as many as -- as
12 much as 80% of all radiopharmaceutical prescriptions
13 now occur electronically. So, the hospitals set up
14 this system that says when I say bone scan Mr. Jones,
15 I know we're using Tc Meginate or Tc Oxidronate or
16 sodium fluoride F-18. And what's the dose of that
17 drug.

18 So, it's all in the system so there's no
19 errors. So, it's guidance, CPOE, we get the drug and
20 the dose right, and that's conveyed to the pharmacy.
21 And we don't have transcription errors where I
22 mishear you or I write it down wrong or I've got poor
23 penmanship. So that's a great tool.

24 Okay, within the radiopharmacy or nuclear
25 medicine department, the use of an IAD workflow

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealgross.com

1 management system can also be very effective. In
2 such a system, bar codes are used to ensure the
3 correct drug product is selected and prohibits any
4 incorrect drug products from being utilized.

5 You establish a formulary and a bill of
6 materials. To make Tc MDP, I need sodium
7 pertechnetate and a cold vial of MDP. It's also
8 possible to use a bit of normal saline. But I can't
9 use a vial of medronate, and I can't use thallous
10 chloride 201, and I can't use sterile water. So, it
11 has a bill of materials.

12 As a radiopharmacist, I have to be there,
13 I'm required to be there as the ANP. But I've got
14 five sterile hoods with four tech, pharmacy
15 technicians and me. And the system allows me to exert
16 control into my delegates. Only do the right thing,
17 prevent doing the wrong thing.

18 Activity maximums, activity minimums,
19 it's all built in the places. IAD workflow management
20 system.

21 In the hospital pharmacy, they're doing
22 this today gravimetrically, where they know that this
23 antibiotic, one milliliter weighs this many grams,
24 and you weigh the syringe and then you tear the
25 syringe and then you weigh the syringe. And that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 helps you know you've got the right drug in that
2 syringe, because can't tell it by looking at it what
3 that drug is.

4 We've got that beat hands down, we have
5 a dose calibrator. We've got isotopes. I got decay
6 correction of my activity.

7 In addition, besides the drug preparation
8 process, the system would only allow a Lutathera
9 prescription to be filled with a Lutathera drug
10 product.

11 The last component is bar code medication
12 administration, BCMA. This is something that is very
13 common throughout the rest of the hospital. I think
14 everywhere except radiology uses BCMA. Is that the
15 fact? That's the case. For some reason it doesn't
16 go past that invisible wall to radiology. We need to
17 bring it in.

18 Because if we had BCMA, then the nuclear
19 medicine technologist would bar code the
20 radiopharmaceutical, they bar code the patient's
21 wristband, and they'd know this is the right drug for
22 the right patient. And it's the right amount within
23 the prescribed tolerance of the written directive or
24 the physician's prescription. BCMA. It would also
25 document the time of administration. Next slide,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 please.

2 I'd like to spend a little time looking
3 at the Institute for Safe Medication Practices, ISMP,
4 hierarchy of error reduction strategies. Let's start
5 at the bottom of the arrow, the area that requires
6 reliance on humans, human reliability.

7 These are low leverage, easy-to-
8 implement solutions, but they're also the least
9 effective. These includes suggestions to staff to be
10 more careful. To provide additional information. To
11 provide additional programs to staff and changes to
12 rules, policies, and procedures.

13 We've heard a lot of that today, haven't
14 we? But it's the least effective, easiest to
15 implement methodology to effect positive change. The
16 middle section as you go upwards on that arrow are
17 the medium leverage error reduction strategies, where
18 there's warnings, alerts, reminders, and checklists.

19 This is where you could have redundancies
20 like having two people read the label to make sure
21 it's the right drug. We've heard that cited today as
22 a possible solution. You could also go through your
23 procedure manual and standardize them for
24 consistency.

25 But let's go to the top part of the arrow,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 where we're relying not on human reliability, but
2 relying on system reliability. These are the most
3 effective strategies, but they are the hardest to
4 implement.

5 These would include automation,
6 computerization, barriers, fail safes, barcoding,
7 patient identification, drug identification, and
8 actions that force functions or prohibit wrong
9 functions from occurring.

10 As we have reviewed these hierarchical
11 strategies, we think back to the medical events where
12 these two patients were each given the wrong drug and
13 where these proposed corrective actions would fall in
14 that diagram.

15 Recall a discussion, Mr. DiMarco
16 mentioned it and I mentioned it today, where do they
17 fall on this arrow, the proposed corrective actions?
18 They're all rather on the tail end. Easy to
19 implement, but least effective. I think there are
20 ways to do better. Next slide, please.

21 In a study published in the New England
22 Journal of Medicine by Dr. Poon, there's some very
23 good insight into the effectiveness of barcode
24 technology on the safety of medication
25 administration. They identified that transcription

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealgross.com

1 errors occurred approximately 12% in units that
2 didn't use BCMA or eMAR but were completely
3 eliminated in units that did use it. That's 12% right
4 off the top.

5 Ordering errors were 39% of all serious
6 medication errors, but they were reduced 55% with
7 computerized prescription order entry. So rather
8 than calling the pharmacist up and telling me, and I
9 hopefully listen to you, and I write it down and I
10 type it in correctly, if it's electronic prescription
11 or entry, where you've got a preprogrammed this
12 procedure requires this drug with this dose and it's
13 all said electronically, boom, a 55% reduction.

14 Dispensing errors composed 11% of all the
15 serious medication errors, and they were reduced 67%
16 with pharmacy barcode scanning to ensure the right
17 medication is being utilized to fill that
18 prescription.

19 And last of all in the administration
20 phase. Thirty-eight percent of all serious
21 medication errors were reduced 51% with BCMA, barcode
22 medication administration.

23 These are some very significant
24 methodologies that provide long-term significant
25 improvement and reduction of medication use errors.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Next slide, please.

2 Through the use of electronic medication
3 administration records, they resulted in the
4 reduction of 41% of non-timing administration errors.
5 These studies were quite helpful, and they excluded
6 time reduction errors in -- in nuclear medicine we
7 don't give someone an oral tablet every six hours.

8 In the regular pharmacy world, you're
9 giving a patient an oral tab every six hours. And if
10 they're given late, well, that's an error. Well, we
11 just give typically one shot. Maybe one shot a month.
12 So those are included from this data.

13 But it's very significant the amount of
14 impact that these higher order corrective strategies
15 can implement.

16 Most importantly from a patient's
17 perspective, barcode medication administration
18 technology results in the reduction of 57.4% of wrong
19 medication errors, a 41.9% reduction in the wrong
20 dose errors, and an 80% reduction in administration
21 documentation errors. Next slide, please.

22 The nuclear medicine departments and
23 radiopharmacies can implement different components of
24 health information technology that can significantly
25 reduce the frequency and perhaps the severity of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 adverse drug events. We have discussed computerized
2 prescription order entry, IAD workflow management
3 systems, barcode medication administration,
4 electronic medication records.

5 This is not something that regulators can
6 force adoption to. Well, except perhaps the federal
7 government's mandate to adopt eMAR. But how can we
8 as medical professionals, as practitioners, as
9 patient rights advocates, as licensees, how can we as
10 members of professional societies advocate for the
11 use of health information technologies to help ensure
12 that patients receive the five rights of medication
13 use?

14 Thank you for the opportunity. Hopefully
15 it's helpful.

16 DR. JADVAR: Thank you very much, Mr.
17 Green, for that very educational presentation. I
18 have one question. So, you showed that the
19 computerized systems obviously decrease the errors
20 substantially, you know, 80%, 50-80%, something like
21 that.

22 So, what's the reason for the residual
23 errors? Is that just because they're, for example,
24 the completely wrong drug was entered? For example,
25 instead of antibiotics, somebody got chemotherapy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Or what was the residual reasons?

2 MR. GREEN: Are you speaking of nuclear
3 medicine?

4 DR. JADVAR: Nuclear medicine or in
5 general. Because I don't know if this was --

6 MR. GREEN: This was done outside of --

7 DR. JADVAR: Outside, so say outside not
8 nuclear medicine.

9 MR. GREEN: I have first-hand experience
10 in radiopharmacy and nuclear medicine where we have
11 -- we -- they implemented and used systems that
12 required barcode identification. So, when a drug lot
13 came in cold kit, 30 vials of cold kit came in, they
14 all got stickered with a barcode.

15 And that was supervised by a pharmacist.
16 So, there were two people that made sure the right
17 drug got put the right barcode on it. Because you
18 can rely on that barcode from then on.

19 And even a hot vial of Lutathera would be
20 barcoded. Then again, you'll -- so every time a drug
21 selection occurred that was done manually, it was
22 notated, and that was reported to leadership.
23 Someone's picking stuff up by hand. That's an error
24 point.

25 And so, there are tools that are put in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 place. I can't speak to the studies. They were
2 hospital-wide amongst nursing staff. So didn't it
3 involve nuclear medicine. But I think these
4 technologies are out there, they're in the hospital.
5 They're standard of care in the hospital, right, in
6 everywhere except radiology.

7 I think if we brought them in the
8 radiology and embraced them, they'd be so effective.

9 DR. JADVAR: Thank you. I guess we don't
10 have our health administrator, Ms. Allen, here today,
11 but that would have been an interesting perspective
12 from her point of view.

13 Any questions from the ACMUI members, or
14 comments?

15 MR. OUHIB: Yeah, hi, this is Zoubir
16 Ouhib. I think that BCMA is a great, great idea.
17 But just like anything else, system reliability
18 relies on the human entry. And when human basically
19 get in there, then now you're open the gate for
20 errors.

21 The other comment that I have is that,
22 you know, the case of the Lutathera versus Pluvicto,
23 for instance. I was just thinking like the old-
24 fashioned way in surgery, if we're doing the right
25 arm versus the left arm, a very simple magic marker

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 on the patient's hand with the letter P for Pluvicto
2 or L for Lutathera as a quick check would have
3 probably avoided such errors, you know, going to the
4 wrong patient.

5 Thank you.

6 DR. JADVAR: Thank you very much for that
7 comment. Any other comments from the ACMUI members?
8 Dr. Angle.

9 DR. ANGLE: Yeah, John. I just, Dr.
10 Green, very I think helpful, and I think very
11 insightful presentation. Thank you. I think it was
12 very useful.

13 And I just comment, this may be
14 applicable to other areas, right? I mean, something
15 like this might be applicable to Y-90
16 administrations, for example.

17 DR. JADVAR: Very good, thank you. All
18 right, any questions from the NRC staff or comments?

19 DR. VALENTIN-RODRIGUEZ: I have a
20 question. So, and this is Celimar, a medical team
21 leader. So today in Daniel's presentation, there was
22 a 35.200 event, which we've seen the last few years.

23 These are very rare; we get one or two
24 max a year. But every year it seems like we've seen
25 one where there's an iodine-123 administration and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 the patients get an iodine-131 administration.

2 In the last few years, a lot of these
3 events, the root cause is some type of ordering
4 system, scheduling system error. And I highlight the
5 event that Daniel talked about today, because this
6 one was particularly egregious in that there were
7 multiple layers of communication between the
8 physicians, the authorized users, the nuclear
9 medicine technologist. They even called the pharmacy
10 at some point.

11 And the error I think was caught by some
12 of these people, but eventually the patient received
13 the wrong drug anyways. So obviously from the
14 regulatory perspective, you know, we have a written
15 directive and that's what we, from a radiation safety
16 perspective, that's what we are concerned with, that
17 these administrations go per the written directive or
18 the physician's use.

19 But I wanted to get your opinion on what
20 else if there's any type of communication. I mean,
21 like Daniel said, Katie Tapp is working on an
22 information notice to kind of disseminate these
23 events and kind of communicate corrective actions to
24 the larger community.

25 But Mr. Green, you noticed that a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 the corrective actions are in the easy to implement
2 but not every effective range. So, what can the NRC
3 do, who can we work with to kind of reduce these
4 errors, which are not -- are rare --

5 DR. WOLKOV: Yeah.

6 DR. VALENTIN-RODRIGUEZ: But we do
7 highlight. And some of these are abnormal
8 occurrences, so they are included in reports to
9 Congress.

10 DR. WOLKOV: In that medical event where
11 the patients were administered iodine-131 sodium
12 iodide, instead of I-123 sodium iodide, there should
13 have been no written directive required if they had
14 been given the right drug and the right isotope. And
15 the fault there I think lies in the imprecise use.

16 I mean, I cringe when someone says I want
17 a HIDA study. That's the name of a drug that left
18 the market 25 years ago. You mean heptamine diacetic
19 acid? Well, the drug on the market today's
20 mebrotfenin. So, let's say that we're mebrotfenin, not
21 HIDA.

22 Rather than saying I want a thyroid
23 study, they should have their, you know, everyone's
24 got a charge master, or everyone should have a
25 procedure master. You should say a thyroid uptake

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 and scan is I-123 sodium iodide. A typical dose might
2 be 200 microcuries.

3 Put it in there. Don't just say,
4 Schedule Mrs. Jones for a thyroid study. And that's
5 where you get which iodine do we use.

6 So again, there are ways to make more
7 precise the systems. And right now, I think that
8 event initiated with a very loose system.

9 DR. JADVAR: Any other comments from NRC
10 staff? Dr. Harvey.

11 DR. HARVEY: I just want to thank, this
12 is Richard Harvey, I just want to thank Mr. Green for
13 an excellent present. As others have noted, it was
14 very insightful.

15 I agree that this is the way we're
16 headed, and this is what we're doing. I think this
17 is the way we need to go. We need to bring it into
18 radiology.

19 I do like the idea better of, I think Mr.
20 Ouhib mentioned that you know, there can still be
21 errors injected when humans. But I think that, at
22 least my own opinion is that if pharmacy puts the
23 barcodes on.

24 They're used to this. They do, I think
25 they do a better job of this. And I think the human

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 errors will go down. And I think it's demonstrated
2 in the data you've shown us.

3 So, I like moving the barcoding
4 identification to the pharmacy structure rather than
5 in nuclear medicine itself. So, thank you.

6 DR. JADVAR: Thank you. Any other? Oh,
7 sorry, please, Ms. Shoher.

8 MS. SHOBER: Hi, yes, this is Megan
9 Shoher. I just want to point out with the medical
10 event we talked about earlier with the I-123 and the
11 I-131. Ordering an I-123 study does not require a
12 written directive.

13 And so, you know, when we're talking
14 about delivering in accordance with the written
15 directive, that breaks down with the I-123 studies a
16 little bit.

17 DR. JADVAR: Yeah, that's correct. Oh,
18 Dr. Tapp.

19 DR. TAPP: Yes, this is Katie Tapp. I
20 had a question. Why is it radiology not using the
21 barcode system if other people are? Is it possible
22 that maybe our regulation with the labeling or
23 anything by the NRC side, or?

24 DR. WOLKOV: It's a simple answer, and
25 I'm embarrassed to say this. But in the regular drug

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 world, every drug has a NDC number, national drug
2 code. Three parts: who's the manufacturer, what's
3 the drug, and what's the package size, three segments
4 of that number. And that will go for any IV drug or
5 any tablet or whatever.

6 There aren't NDC numbers for
7 radiopharmaceuticals, but there's one for the
8 janitor, one for the cold kit. There's not one for
9 Tc MDP unit dose. There's one for the 1-curie bottle
10 of I-131 sodium iodide, but for the 14-millicurie
11 capsule that's been prepared for Mr. Jones.

12 But there are ways to jump that chasm and
13 still provide barcode medication administration,
14 patient drug identification, matching pairs, make
15 sure it's the right drug for the right patient.

16 So, in the rest of hospital, it's
17 seamless because they use the NDC numbers. In
18 nuclear, we're a little different. But you can still
19 accomplish BCMA. It's everywhere else in the
20 hospital, and it should be in nuclear medicine.

21 DR. JADVAR: Okay, any questions or
22 comments from the attendees in the room? And Celimar,
23 we have time also for questions or comments from the
24 remote attendees, if there is any.

25 DR. VALENTIN-RODRIGUEZ: Yes, so a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 reminder to everyone in the virtual room, you should
2 be able to enable your mic. So, raise your hand and
3 I'll go to you. If you're on the phone, star-6 to
4 unmute yourself.

5 I see a hand raised. Cindy Lockett, you
6 can unmute yourself.

7 MS. LUCKETT GILBERT: Thank you for
8 taking my question. I'm an interested party as a
9 nuclear medicine technologist. And I have a -- I'm
10 curious by nature. The barcoding from the pharmacy
11 I think would be ideal as a short-term, if not long-
12 term solution to some of this.

13 But my question comes from the fact that
14 with the Lutathera, there's three different methods
15 of administration. One is a gravity method, a
16 peristaltic pump or the syringe pump. So that once
17 that vial has its contents taken out of it, how do
18 you tell what's inside? If everything is behind the
19 L-Block in a clean environment, where would the
20 sticker or the barcode go?

21 DR. WOLKOV: The barcode has to be placed
22 somewhere on the primary container that's accessible.
23 You're not going to put it on the drug vial itself
24 because it's a hot bottle with 200 millicuries in it,
25 so you can't. And that's inside a lead pig, so you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 not going to stick in on the glass bottle itself.

2 But it needs to be I would say on the
3 face label of the pig. Not on the top, because you
4 might change caps. Okay, so I think a good
5 requirement is to make sure it stays with the --
6 there's a human-readable label, it's got words and
7 letters, and then there's a computer-readable label.
8 They got to be in the same place.

9 Right now, we are -- the U.S. nuclear
10 medicine market's a little bit awkward right now
11 because we have drug manufacturers that have brought
12 good drugs to market, but they're using I think the
13 European model where they're familiar with it, where
14 they say here's a bottle of drug, I'll ship it to the
15 hospital. You infuse it. And you have done a gravity
16 infusion method or a peristaltic pump or a syringe
17 pump.

18 And I think there will be changes in that
19 in the, perhaps in the near future, where you might
20 be able to contact a providing radiopharmacy that
21 says I'd like it in a ready-to-use syringe format for
22 infusion.

23 Then we may not have dripping lines and
24 puddles on the floor and other events that we have
25 seen. But right now, those drugs are available to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 the U.S. marketplace directly from manufacturers, and
2 that may change.

3 DR. JADVAR: Thank you for your question.
4 Any other questions, remote people?

5 DR. VALENTIN-RODRIGUEZ: I don't see any
6 other hands. Anyone on the phone, please star-6 to
7 unmute yourself. But at this time, I don't see any
8 other hands.

9 DR. JADVAR: Okay, very good.
10 Thank you so much, I think that ends our
11 morning session. We're going to pause for lunch until
12 1:00 p.m. Eastern Standard Time. Thank you.

13 (Whereupon the above-entitled matter
14 went off the record at 11:25 a.m. and resumed at 1:00
15 p.m.)

16 DR. JADVAR: Hi, everyone, again.
17 Welcome back to the afternoon session of the spring
18 2024 ACMUI meeting.

19 And we are on agenda No. 7, Eye90
20 Microsphere Device Subcommittee Report by Dr.
21 Folkert.

22 Please.

23 DR. FOLKERT: Okay. Well, thank you all
24 very much for the opportunity to present our review
25 and commentary on the guidance. So, this is the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 comments on the "Yttrium-90 Microsphere Brachytherapy
2 Sources and Devices Eye90 Microspheres Licensing
3 Guidance."

4 Let's see the Next slide, please. The
5 Subcommittee membership includes Rebecca Allen, Dr.
6 Andrew Einstein, Dr. Darlene Metter, and Mr. Zoubir
7 Ouhib. The consultant to our Subcommittee was Dr.
8 John Angle, and our NRC staff resource was Sarah
9 Spence.

10 Okay. Next slide, please. Okay. So, on
11 November 3rd, 2023, we were charged by Dr. Darlene
12 Metter to start up the Subcommittee, the Eye90 Y-90
13 Microsphere Subcommittee, to review and comment on
14 the NRC staff's Draft Licensing Guidance for the ABK
15 Biomedical, Incorporated, Eye90 brachytherapy device
16 for hepatocellular carcinoma.

17 Okay. Next slide, please. So, just to
18 provide some background for this -- everyone here I
19 believe is quite familiar with how Y-90 therapy works
20 -- but basically, the liver is a primary target for
21 metastatic disease, as well as primary liver cancers.

22 And these tumors provide a unique target
23 for therapy because they develop this complex
24 tortuous vasculature with very, very narrow blood
25 vessels. That provides a great target for lodging a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealgross.com

1 physical device to deliver radiation therapy.

2 Numerous ways of approaching this.
3 Radiation deliveries have been developed, including
4 glass microspheres, resin microspheres, all embedded
5 with a radionuclide that delivers amounts of
6 radiation therapy.

7 So, the Eye90 microspheres. What they do
8 is it's a glass yttrium-90 microsphere, similar to
9 the Boston Scientific product, that can be directly
10 imaged fluoroscopically, as it's radiopaque during
11 the procedure, using, basically, any x-ray imaging
12 modality. So, it's a similar mechanism to glass
13 microspheres, but it can be visualized at the time of
14 treatment, as opposed to later on, with a nuclear
15 medicine imaging procedure.

16 The NCR has determined that the Eye90
17 microspheres will be licensed under 10 CFR 35.1000,
18 similar to other yttrium-90 microsphere brachytherapy
19 devices.

20 Okay. Next slide, please. So, first
21 off, the Subcommittee did agree that the Eye90
22 microspheres product does need to be licensed under
23 10 CFR 35.1000, similar to other yttrium-90
24 brachytherapy devices. And we do note that the
25 overall guidance for this is very similar to that of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 other yttrium-90 microsphere therapies. So,
2 substantially, it's quite similar to the guidance
3 that's been provided previously, and using similar
4 guidance is appropriate due to the similarity of
5 these devices, their indications, and the technical
6 approaches used in their administration.

7 So, we can move on to the Next slide,
8 please. So, one of the first questions, though, that
9 came up is, as the Eye90 microspheres project is a
10 new device approved by the FDA under an IDE, or
11 Initial Device Exemption, for a clinical trial, there
12 are a limited number of Authorized Users that will be
13 available to provide training. And training is
14 necessary for this because, while it is similar to
15 other marketed devices, it does use a proprietary
16 system for delivery of these microspheres. And so,
17 should there be unique requirements for training in
18 this situation?

19 So, move on to the Next slide, please.
20 And we recommended, similar to the discussion earlier
21 where we were looking at the new Gamma Knife device,
22 that in-person training is necessary for initial
23 qualification for the Eye90 microspheres product for
24 unsupervised use.

25 And this training must be hands-on and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 conducted in the physical presence of an AU who is
2 authorized for the product. At least three cases
3 must be performed in the presence of this Authorized
4 User.

5 And the Authorized User may be provided
6 by the vendor for training purposes. So, you can't
7 just substitute an Authorized User who's certified
8 for TheraSpheres or for the SIR-Spheres. It has to
9 be someone specifically Eye90 microspheres product
10 trained, which will cause some limitations because of
11 the number of people available for it, but this is
12 felt to be an absolute requirement for the
13 certification and qualification of this device.

14 Next slide, please. In terms of
15 documentation, this was a more general observation
16 made by the Subcommittee on the draft. They noted
17 that the dose and activity should be consistent in
18 the written directive and the subsequent
19 documentation. There were some initial comments in
20 the draft version of the guidance that suggested some
21 degree of interchangeability, but we strongly
22 recommend that everything, activity versus dose, be
23 used consistently and using a consistent form of
24 documentation of dose.

25 Next slide, please. If "dose" is used,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 reported dose should indicate absorbed dose to the
2 treatment sites and/or to dose-limiting structures
3 and organs. So, it should be very consistent if you
4 are treating, say, the whole liver, or if you're
5 treating the right lobe of the liver. That should be
6 used throughout, and you should use absorbed dose for
7 the treatment sites.

8 If you're indicating dose to dose-
9 limiting structures, such as the bowel, such as the
10 liver, again, it should be in terms of absorbed dose
11 to the dose-limiting structure. And the nomenclature
12 should be consistent in this and in other licensing
13 guidance provided by the NRC and the Advisory
14 Committee for the Medical Use of Isotopes.

15 Next slide, please. Any other questions
16 or comments?

17 DR. JADVAR: Thank you, Dr. Folkert.

18 Do you have any questions from the
19 Subcommittee members or comments?

20 Please, Dr. Harvey.

21 DR. HARVEY: Hi. Richard Harvey.

22 I was just curious, does the vendor
23 provide an AU to provide the training for other AUs?
24 Or can another vendor staff member provide the off-
25 register training? Or does it have to be an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Authorized User from the vendor/manufacturer?

2 DR. FOLKERT: So, it must be an
3 Authorized User who is certified in it. And so, the
4 vendor could, say, perhaps pay for an Authorized User
5 from another site to do it, but they have to provide
6 that person to come to the site.

7 DR. HARVEY: Richard Harvey again.

8 So, a non-Authorized User cannot provide
9 this training? Someone from the company cannot
10 provide the training. It has to be an Authorized
11 User?

12 DR. FOLKERT: It has to be an Authorized
13 User.

14 DR. HARVEY: Thank you very much.

15 DR. JADVAR: Any other
16 comments/questions?

17 DR. FOLKERT: Yes, I'll just make a
18 comment that I struggled with this part of the
19 document myself. Because, you know, you take a new
20 user; this makes perfect sense. You take someone
21 who's done hundreds of TheraSpheres and SIR-Spheres,
22 and really, it's just a little bit of the mechanics
23 are different with the device. And do they really
24 need an Authorized User to be on hand?

25 But we felt we had to make a document

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 that applied to everyone. This is the rules we've
2 had in place for the other two devices. And so, you
3 know, this has been the struggle with this. You know,
4 conceivably, if you want to become a site that uses
5 all three, you have to have nine different visits
6 from AUs to be an academic site that uses all three
7 available devices. That's quite a lift, but we didn't
8 see a way around it.

9 DR. JADVAR: How many centers or AUs are
10 available for this at this time, approximately?

11 DR. FOLKERT: I mean, it's very limited.
12 I mean, this is being only approved under an IDE for
13 the use in a clinical trial. So, until they are able
14 to get a number of sites going through the clinical
15 trial, there's going to be a very tight bottleneck
16 for AUs specific to this device.

17 DR. JADVAR: Very good.

18 Please, Dr. Harvey Wolkov.

19 DR. WOLKOV: Harvey Wolkov.

20 I was just wondering about the one slide
21 that said at least three cases must be performed in
22 the presence of an AU. How did you come up with three
23 cases as opposed to five cases? Is there other
24 guidance where it is specified, the three cases?

25 DR. FOLKERT: Yes. I mean, this mirrors

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 prior guidance that was used for TheraSpheres, for
2 SIR-Spheres. So, it's for a similar vehicle.

3 DR. WOLKOV: Okay.

4 DR. FOLKERT: Yes.

5 DR. WOLKOV: Thank you.

6 DR. HARVEY: Richard Harvey.

7 Yes, and if you look at the NRC 313A
8 forms, they say three cases. So that, again, mirrors
9 the guidance, but also is consistent with NRC 313A
10 forms.

11 DR. JADVAR: Any other comments?

12 Please, Ms. Shober.

13 MS. SHOBER: Hi. This is Megan Shober.

14 I have a maybe more general question for
15 this. I'm really struggling to understand why this
16 product wasn't just added to the other microsphere
17 licensing guidance. I'm not sure why it warrants a
18 separate, totally separate, guidance.

19 And I guess the reason for that is, with
20 the emerging medical technologies rulemaking that's
21 in process, these technologies are all going to be
22 underneath the same section of the rule, when that's
23 eventually proposed. So, I don't know why we aren't
24 trying to standardize that now.

25 DR. VALENTIN-RODRIGUEZ: I can take that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 question, if you would like.

2 So, our process for issuing emerging
3 medical technology licensing guidance under 35.1000
4 right now is pretty flexible, in that, if we issue
5 guidance for a specific device, we will have to follow
6 other statutes/regulatory requirements outside NRC if
7 we were to do a more generic guidance -- meaning it
8 would be applicable to different manufacturers.

9 So, by issuing specific guidance for a
10 specific device, we can be more flexible and issue
11 guidance in a quicker manner. So, I mean, right now,
12 since we have the emerging medical technologies,
13 these guidance documents, eventually, licensees can
14 use them maybe as a reference, but they won't be
15 necessary, since we aim to bring new requirements for
16 microspheres and microspheres. So eventually,
17 licensees will not have to rely on these licensing
18 guidance documents to license microsphere devices
19 unless it's something totally different that would be
20 outside our regulatory framework.

21 So, that's really why we didn't take just
22 the TheraSpheres/SIR-Spheres guidance and apply it to
23 more or different types of manufacturers. And I don't
24 have the history on why. I presume it's because they
25 were very similar at some point, where we could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 actually bundle them together. Maybe someone from
2 the medical team has the history on that, but that's
3 the reason, for example, Akesis, we issued a separate
4 licensing guidance document and for Eye90 as well.

5 MS. SHOBER: Yes, to me, it seems like
6 the products are so similar, and, I mean, even the
7 report says how similar they are. And it just feels
8 like a lot of administrative burden and licensing
9 burden to have separate standards for those. So, I
10 mean, I would personally prefer for them to be in the
11 same guidance document. I think it would help on the
12 licensing end, as well as for RSOs that are trying to
13 get physicians through.

14 DR. JADVAR: I guess one way to think of
15 it is to have it as a class. So, these are all in
16 the same class. As Megan just mentioned, the report
17 says these are already similar technique, methods,
18 purpose. And so, maybe at some point these can be
19 thought of as a class rather than just individuals.

20 DR. VALENTIN-RODRIGUEZ: Right, and
21 that's when we have to comply with other regulatory
22 requirements, not from the NRC, but, for example, the
23 federal government, where if we issue guidance for a
24 generic class of devices, for example, we will have
25 to go through additional public comment periods and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 reviews.

2 We would have to provide -- maybe; it
3 doesn't mean that we would have to -- but we would
4 have to go through a process to review and ensure
5 that this would not be considered a major rule, and
6 we would have to go through some additional reviews
7 by Congress or OMB.

8 So, by keeping it as a specific device,
9 we kind of make our process more flexible. We can
10 issue it quicker.

11 DR. JADVAR: Yes, very good. Any other
12 comments? We heard from both the ACMUI members and
13 NRC. Any other comments from NRC staff?

14 No? Any comments from the attendees in
15 the room?

16 And if not, we have time for remote
17 attendees, if they have any comments or questions.

18 DR. VALENTIN-RODRIGUEZ: As a reminder,
19 anyone who is on the virtual room can raise their
20 hands and unmute themselves. For those on the phone,
21 please press star-6 yourself.

22 I see Ashley Cockerham. You have your
23 hand raised.

24 MS. COCKERHAM: Hello. Good afternoon,
25 ACMUI. This is Ashley Cockerham with Mercurie

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Consulting.

2 A quick question. Was there any
3 consideration -- it looked like in the new guidance
4 that there were different medical event reporting or
5 written directive requirements that could be revised
6 orally for the Eye90 microspheres. And this would
7 not be the case for the other types of microspheres?

8 DR. JADVAR: Who wants to address that?

9 DR. FOLKERT: Is there a specific portion
10 of the document that you're discussing? I don't
11 remember offhand the specific guidance in that area.

12 MS. COCKERHAM: Sure. Let me --

13 MS. SPENCE: Sarah Spence.

14 I believe, Ashley, you are referring to
15 the provision for terminating the procedure if
16 microspheres are observed depositing in the wrong
17 location. Is that correct?

18 MS. COCKERHAM: Correct.

19 MS. SPENCE: Yes. So, that was a
20 provision that was considered specifically for this
21 device because the microspheres are directly
22 imageable on fluoroscopy during the procedure. We
23 may consider expanding that to the other devices in
24 the future during the emerging medical technologies
25 rulemaking, but we have not evaluated those at this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 time.

2 DR. JADVAR: Thank you.

3 Any other comments from remote attendees?

4 DR. VALENTIN-RODRIGUEZ: I don't see any
5 other hands raised.

6 DR. JADVAR: Okay. Thank you.

7 So, with that, do I have a motion to
8 accept the written report of the Subcommittee?

9 MR. GREEN: So, moved.

10 DR. JADVAR: Any seconds?

11 DR. HARVEY: Richard Harvey. I'll second
12 that.

13 DR. JADVAR: Okay. All in favor say aye.

14 Any opposed?

15 Any abstentions?

16 The motion carries. Thank you.

17 Thank you, Dr. Folkert and the entire
18 Subcommittee.

19 We move on to item No. 8, the Medical
20 Events Subcommittee Report by Dr. Harvey.

21 DR. HARVEY: Thank you, Dr. Jadvar.

22 Good afternoon. I appreciate the
23 opportunity to present the Subcommittee's report on
24 Medical Events today.

25 Next slide, please. Thank you very much

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 to all of the Subcommittee members: Dr. Folkert; Mr.
2 Green; Dr. Metter, who has now finished; Mr. Ouhib,
3 and Dr. Wolkov, as well as our consultant, Dr. Angle,
4 and our NRC staff resource, Mr. DiMarco.

5 Next slide, please. The Subcommittee's
6 charge is to review medical events, to advise the
7 Advisory Committee on the medical use of isotopes,
8 and the United States Nuclear Regulatory Commission
9 about emerging trends that may need regulatory
10 attention.

11 Next slide, please. Background.
12 Quickly, the NRC and ACMUI review medical events that
13 occur throughout the country on a regular basis.
14 Medical events occur when radioactive material use in
15 health care results in unexpected radiation dose to
16 patients. Again, please refer to the regulations.

17 The Medical Events Subcommittee of the
18 ACMUI reviews the data to analyze the nature of the
19 medical events, identify emerging trends, and provide
20 recommendations to the ACMUI and NRC.

21 Next slide, please. So, the review
22 period is fiscal years 2021, 2022, and 2023 with the
23 associated dates on the slide.

24 Next slide, please. A quick summary is
25 that there were two overarching themes: Human error,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 which, again, we know we need to say a little bit
2 more about the type of human error. Some of those
3 human errors have been created by poor communication
4 and feedback and failure to work in teams.

5 Another overarching theme is
6 inexperience. There certainly is a number of new
7 radiopharmaceuticals coming out, and more Authorized
8 Users that may infrequently use some of the
9 radiopharmaceuticals. And this rapidly evolving use
10 of radiopharmaceuticals, and again, this
11 dissemination of use to smaller institutions with
12 lower frequency of procedures performed, can result
13 in additional medical events.

14 Next slide, please. Specific issues.
15 So, increasing medical events from new and increasing
16 use of current therapeutic radiopharmaceuticals, as
17 well as new ones.

18 Yttrium-90 microsphere procedures remain
19 the most common medical event. We have seen quite a
20 few medical events involved with yttrium-90
21 microspheres.

22 The ACMUI action is that there were two
23 specialty specific Committee members added to the
24 Committee.

25 ACMUI recommendation: that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Authorized Users adhere to manufacturer
2 recommendations. We've seen a number of cases where
3 catheter sizes weren't used that were recommended by
4 the manufacturer. So, it's very important to follow
5 the manufacturer's recommendations. This will help
6 avoid aggregation, right, and again, using
7 recommended catheter size and proper needle gauges.

8 Microspheres need to be agitated to avoid
9 settling or clumping, and this will assist in
10 prevention of aggregation. Users must remain
11 conscientious and adhere to all manufacturer
12 recommendations during delivery of the microspheres.

13 Next slide, please. So, looking at the
14 data, what we have is 2017 through 2023. And first,
15 we'll look at 35.200, which, if you look at the
16 number, it's been relatively flat. It's peaked at
17 four in a couple of different years, but relatively
18 flat.

19 A timeout may have prevented all of the
20 medical events in 2021 and 2023. So, we looked at
21 timeouts possibly preventing those in the
22 classification of wrong drug, wrong dosage, and wrong
23 patient. So, a timeout could be beneficial in
24 avoiding these medical events in 35.200.

25 And, currently, extravasations do not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 have a reporting requirement. So, they are not
2 included here.

3 Next slide, please. 10 CFR 35.300. So
4 again, timeout. Wrong drug, wrong dosage, wrong
5 patient. And for 35.300, a timeout may have prevented
6 50 percent of the medical events in 2021, 30 percent
7 of the medical events in 2022, and 91 percent of the
8 medical events in 2023, by this definition.

9 Next slide, please. 10 CFR 35.400. So,
10 we can see here that there were a relatively small
11 number of events, relatively flat. We're not seeing
12 any real trends here.

13 There were two eye applicator issues, one
14 in 2022 and one in 2023. Not to get too much into
15 specifics, but 2022, excessive eye-rubbing by the
16 patient dislodged the source. And in 2023, there was
17 a shift; the eye plaque shifted, resulting in the
18 medical event.

19 In 2023, there were also two wrong doses
20 delivered, one where the wrong number of sources were
21 used, and second, where sources were removed early,
22 due to patient's medical condition. So, patient's
23 medical condition necessitated stopping the treatment
24 and resulted in an underdosing.

25 Next slide, please. To continue with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 .400, there is a typographical error, which I
2 apologize for, under 2021. The total, where it says,
3 "Timeout...may have prevented," in the last row, it
4 says two. That number should be three. Okay? So,
5 I apologize for that.

6 In 2021, the wrong patient was treated.
7 And we see, again, a relatively flat number and no
8 real trend in the number of medical events in manual
9 brachytherapy.

10 Next slide, please. So, to summarize
11 this section, potentially 23 percent, or 9 of 39, of
12 the medical events from the time period of 2017 to
13 2023 may have been prevented by use of a timeout.
14 Again, that's wrong site, wrong source, and wrong
15 patient.

16 So, a timeout or a checklist for 2021 may
17 have prevented 3 out 4, or 75 percent, of the medical
18 events. In 2022 and 2023, there was no benefit to
19 having a timeout.

20 Next slide, please. 10 CFR 35.600. So,
21 we can see the breakdown, and we can see that the
22 number of medical events is relatively flat,
23 somewhere 10, plus or minus a few.

24 For the last three fiscal years the most
25 significant causes of medical events seemed to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 human error, which our defined as wrong position,
2 wrong reference length, and wrong dose or source
3 strength, and then, machine or applicator
4 malfunction.

5 So, 37 of 65 medical events for this
6 period 2017 to 2023, or 57 percent, were from human
7 error in these different classifications. If you
8 break it down, 40 percent in 2021; 75 percent in 2022,
9 and 63 percent in 2023.

10 For machine/applicator malfunction, 12
11 of the 65 medical events, or 18 percent, occurred
12 during this time period. That was 20 percent of the
13 medical events in 2021; 18 percent in 2022, and 25
14 percent in 2023.

15 Next slide, please. So, this slide
16 breaks down the different procedures into different
17 anatomical locations. And as you can see here,
18 gynecological procedure is the most common site for
19 the medical events. Approximately two-thirds of the
20 medical events were from gyn procedures, which was 43
21 of 65, or 66 percent. So, certainly, gyn seems to be
22 an area that needs to be focused on.

23 Next slide, please. All right. So,
24 medical events that may have been prevented by use of
25 a timeout. These are those in the category of wrong

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 plan or wrong dose.

2 So, you can see the breakdown there. And
3 in total, 5 of 65, or 8 percent, of these medical
4 events may have been prevented through the use of a
5 timeout.

6 Next slide, please. The other issue that
7 it was concerned about is infrequent user or
8 inattention, and how conscientious the Authorized
9 User is during these procedures. Again, this is
10 difficult to determine based on the information
11 that's provide in the database, in NMED. Before this
12 assessment, we assumed that wrong position is a
13 surrogate for infrequent user/inattention, and
14 improved training may be beneficial.

15 So, 20 out of 65 of these medical events,
16 or 31 percent, may have been caused by infrequent
17 use; Authorized Users who are not well-versed in
18 these procedures, and lack of conscientiousness.

19 Next slide, please. We're now in 10 CFR
20 35.1000. Here's a medical events summary.

21 And for the first slide here, we're
22 looking at radioactive seed localizations. And there
23 relatively few radioactive seed localization medical
24 events.

25 In 2023, as Mr. DiMarco mentioned

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 earlier, that was due to a delayed seed removal. The
2 surgeon mistakenly removed the surgical clip rather
3 than the radioactive seed. They must not have used
4 the gamma surgical probe to identify that what they
5 removed, what they excised, was actually radioactive.
6 So, certainly, I would think that this is fairly
7 preventable.

8 Next slide, please. Intravenous cardiac
9 brachytherapy. There haven't been very many of these
10 events, most likely, because this is a -- well, I
11 shouldn't say that. I don't know how common these
12 are, but I would surmise that these are not a high-
13 volume type of treatment. And someone can correct me
14 at the end if I'm wrong.

15 There was one in 2023, in fiscal year
16 2023. The radioactive source did not reach the
17 intended treatment site because the Authorized User
18 failed to verify source location. And Mr. DiMarco
19 provided a very good summary of that and the
20 difficulty that the Authorized User had in
21 identifying the location where the source was placed.

22 Next slide, please. We are now looking
23 at the Gamma Knife now, Perfexion, Icon, and Esprit.
24 So, you can see that there have been very few medical
25 events associated with the Gamma Knife. I think this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 is great and I think this is a great modality, and it
2 continues to be used very safely.

3 Next slide, please. So now, we're going
4 to get into the yttrium-90 microspheres, which, as
5 noted prior, is the most common type of medical event
6 that we see.

7 Thank you for allowing me to pause.

8 (Pause.)

9 DR. HARVEY: All right. So, first
10 yttrium-90 TheraSpheres. And you can see the numbers
11 here, the total medical events for this time period.
12 And there seems to be an increase in 2021, 2022, and
13 2023. We were down in the low teens, and now, we're
14 in the low 20s. Certainly, we're doing more of these
15 procedures than we have been in the past. As
16 mentioned earlier, we don't have the denominator here
17 to know if we're doing better or much worse. So, I
18 really can't make any conclusion with regards to
19 that.

20 Wrong dose medical events are assumed to
21 be preventable by the use of a timeout. And that's
22 the first line there.

23 And the second is 20 percent, greater
24 than 20 percent residual activity left in the
25 treatment device. It is a surrogate for infrequent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 use of microspheres and/or Authorized User's lack of
2 conscientiousness.

3 So, a timeout may have prevented 17
4 percent, 9 percent, and 5 percent of the medical
5 events in FY2021, 2022, and 2023, respectively.

6 And failure to deliver at least 80
7 percent of the treatment activity has resulted in a
8 significant number of medical events in 2021 and
9 2023, 43 and 50 percent, respectively.

10 Next slide, please. Now, looking at the
11 yttrium-90 SIR-Spheres, again, we're using the same
12 assumptions, that a timeout could have prevented
13 wrong site and infrequent user/inattention, lack of
14 conscientiousness by the Authorized User, as
15 reflected by greater than 20 percent residual
16 activity left in the delivery device.

17 A timeout may have prevented 6 percent,
18 11 percent, and 22 percent of the medical events in
19 FY2021, 2022, and 2023, respectively.

20 Failure to deliver at least 80 percent of
21 the treatment activity has resulted in a significant
22 percentage, 67 percent, of the medical events in 2023
23 alone.

24 In 2021 and 2022, 11 percent were from
25 greater than 20 percent of the activity remaining in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 the delivery device. So, 11 percent for both of those
2 fiscal years. Again, this may be due to infrequent
3 users performing the treatments and users not being
4 conscientious during delivery. Again, some of those
5 things are difficult to quantify; we realize that.

6 Actions to prevent yttrium-90
7 microspheres medical events:

8 Ensure familiarity with the mechanics of
9 the yttrium-90 microspheres delivery device and the
10 setup procedures.

11 Confirm all data and calculations in the
12 treatment plan.

13 Perform a timeout to assure that all
14 elements of the treatment plan are in accordance with
15 the written directive.

16 Next slide, please. The next slide
17 illustrates some of the possible elements of a
18 timeout: patient identification; the procedure to be
19 performed; the radiopharmaceutical used; the activity
20 to be administered; dosage or a second check of dosage
21 calculation, and that the written directive and
22 dosage to be delivered are identical.

23 Other things that could be looked at in
24 a timeout are units of activity; anatomic location;
25 patient name on treatment plan; treatment plan

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 independent, making sure the second check has been
2 performed; for the HDR, reference length is accurate,
3 and implant site location for radioactive seed
4 localizations.

5 So, Next slide, please. The next slide
6 just shows the acronyms used in the presentation.

7 And I'd like to open this up to questions
8 from the NRC or turn it over to Dr. Jadvar, so that
9 he can run the meeting.

10 Thank you.

11 DR. JADVAR: Thank you, Dr. Harvey, for
12 that very comprehensive report.

13 This is open now for Subcommittee
14 questions or comments.

15 Richard?

16 MR. GREEN: Yes, Richard Green here.

17 Dr. Harvey, a great presentation.

18 On page 23, I would point out that
19 possible elements for a timeout, at least for
20 microspheres, the radiopharmaceuticals would be "or
21 radioactive device," since they are technically not
22 radiopharmaceuticals.

23 And I think that timeouts can be very
24 effective, but I will not stop flogging BCMA.

25 Okay. Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 DR. HARVEY: Richard Harvey.

2 Thank you very much, Mr. Green. That is
3 well-taken.

4 This slide here, No. 23, was meant to be
5 comprehensive for everything, but your point is well-
6 taken and is correct. Yttrium-90 microspheres is
7 considered a radioactive device, although it's more
8 of a radiopharmaceutical therapy; just the way it's
9 delivered is through a device.

10 So, you are 100 percent correct, and I
11 appreciate that. I'll modify my slides in the future.
12 Thank you.

13 DR. JADVAR: Thank you.

14 Any other comments or questions for the
15 Subcommittee members?

16 Josh?

17 MR. MAILMAN: Hi. This is Josh Mailman.

18 And I don't know which number of slide it
19 was again. We've heard this theme a little bit before
20 about lower-usage sites or smaller facilities who do
21 things infrequently. Do we have a cutoff level of
22 what that means for what an infrequent use site is?

23 And also, does it correlate to the data
24 you showed on whether it was infrequent use? You had
25 some data on infrequent use, and I'm curious if it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 correlated to the actual size of the institution that
2 was doing it or what's the measure of infrequency?

3 As a patient advocate, I think about
4 this, of where I choose to go have therapy. And I'd
5 like to make sure we're precise about it. So, that's
6 my question: how do we choose that precision, and
7 have we correlated the data?

8 DR. HARVEY: Richard Harvey.

9 In response, Mr. Mailman, to your
10 comments, we don't have a good handle on what
11 infrequent use is. We don't really have that data.
12 We don't know what different licensees, different
13 organizations, how many of these procedures they were
14 doing. We have kept that as a theme.

15 We make some assumptions that that is
16 probably the case. And I have chosen to, at least to
17 this point, continue to use the Dr. Ronald Ennis
18 methodology that's been used in the past.

19 So, I think that, going forward, the
20 intent for the Committee is to take another look at
21 this and decide how we want to do this. Because, as
22 you mentioned, it's very difficult to quantify, and
23 we're trying to be consistent with what has been done
24 in the past. But it doesn't give me a lot of level
25 of comfort because we don't have the information that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 you're talking about.

2 It's very difficult to say or ask or find
3 out how many procedures that these different
4 institutions may be doing. So, it is sort of a "jump
5 to lightspeed" when we're making the assumption that
6 licensees that don't do that many of these procedures
7 may have the ability to have more medical events, but
8 it's also possible that a licensee that does a low
9 number of medical events is very conscientious
10 because they don't do it very often and do a very,
11 very good job.

12 So, the choice was made by me to keep
13 consistent with the prior methodology, and then, go
14 ahead and maybe make a change going forward. Because
15 it is very difficult to quantify, and I feel very
16 uncomfortable making some of these assumptions
17 without having data to support it. So, I really
18 appreciate your question, and that is the plan going
19 forward.

20 Did I miss anything for you, Mr. Mailman?
21 I'm sorry.

22 MR. MAILMAN: I'm going to say slightly,
23 because we do use it when we're listing off reasons,
24 whether it was human error, or whatever, or
25 infrequent use. So, we must have some idea what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 infrequent use means if we're going to list it the
2 same as human error.

3 But then, to extrapolate that onto not
4 necessarily smaller centers, but centers who don't
5 perform or lower-usage centers, I don't think we can
6 make that leap until we define what a lower-usage
7 center is, and then, see if the infrequent usage
8 correlates to our idea of what a smaller center or a
9 lower-use facility is. And then, I think we can make
10 that inference.

11 But, right now, we are using it as one of
12 the reasons in tables, and then, applying it to a
13 class of organizations that it may or may not be
14 appropriate for. We're making a lightyear jump or
15 just a jump into a different set of realities.

16 And I would say, having an infrequent
17 usage thing is fine, because that may be absolutely
18 correct, but, then, until we can apply it to what
19 size, and we can list them by how many therapies they
20 do per center, until we do that, I think it's --
21 because it gives me the impression, as a patient,
22 that I need to ask, "How many of these do you do?"
23 And if the number is low, I'm going to make their
24 numbers even lower, because I'm going to walk.

25 DR. HARVEY: Richard Harvey again.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Mr. Mailman, I concur, and this might be
2 something that we omit or change for the future, as
3 mentioned. We have to come together, I think, as a
4 committee and make a determination on this. And I
5 think it is very difficult to say what is a low amount
6 of use. And again, if you are doing infrequent use,
7 you might be doing it very well.

8 So, it is something that we have wrestled
9 with. I won't go into exactly all the specifics as
10 to why we haven't made this change, but we have
11 discussed it and do plan on taking some time before
12 the next evaluation to take a good look at this as a
13 group and come up with a consensus to make a change.

14 And just out of respect for Dr. Ennis,
15 and sort of what has been done in the past, I have
16 continued on with the methodology. And since it
17 wasn't really devised by myself, I have some
18 uncomfotability with it, and for the reasons that
19 you mentioned.

20 So, I very much appreciate your comments,
21 and I think it is very important to patients. And
22 again, I don't think this is intended for patients to
23 choose their center, but maybe it's important or
24 maybe it's something that you, as a patient advocate,
25 could tell me differently about.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Thank you.

2 MR. MAILMAN: Personal note. When I was
3 diagnosed in 2007, I called up where it was
4 recommended to me, and the scheduling tech actually
5 said, "Wow, we haven't done one of these in a long
6 time. I'm looking forward to ordering this and seeing
7 how this goes." It's accurate.

8 DR. HARVEY: Mr. Mailman, I --

9 MR. OUHIB: This is --

10 DR. HARVEY: I'm sorry, Mr. Ouhib, bear
11 with me for one second, please, if you don't mind.

12 I certainly appreciate that, and I
13 certainly agree with patients taking a very active
14 role in their care.

15 And so, if what we can do here in the
16 ACMUI and this report, if we can do something as a
17 service to patients, then we want to do that. And we
18 are taking your comments under advisement and look
19 forward to developing a better product in the future.

20 So, thank you very much for your
21 comments.

22 MR. OUHIB: This is Zoubir Ouhib.

23 DR. JADVAR: Zoubir, you had a comment?

24 MR. OUHIB: Yes, yes.

25 I think Mr. Mailman brings a very good

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 point but let me just sort of like an FYI. The ASTRO
2 has looked at this several years ago. And they
3 encourage users to actually create some sort of a
4 center of excellence.

5 And that is, if you are not doing as many
6 cases, whatever that is, the modality, it is to refer
7 them to a center of excellence. So, that means a
8 center that's doing quite a few of those cases. And
9 they pushed for that, and I don't know what the status
10 of that is at this point.

11 The other item that needs to be kept in
12 mind is access to a patient also. So, yes, maybe
13 this institution is not doing many cases, and as was
14 mentioned, that institution might very well be a good
15 one and they're very capable of delivering a good
16 treatment. You know that patient doesn't have to
17 travel a long way for a half-hour procedure, or
18 whatnot. And I think that we need to keep that in
19 mind also.

20 DR. JADVAR: Okay. Dr. Folkert?

21 DR. FOLKERT: Yes, just to kind of add to
22 that, there are accreditation programs being set up
23 by most of the major professional societies. SNMMI,
24 ACR, and ASTRO, they all have, or in some cases
25 already have in place, accreditation programs for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 radiopharmaceuticals which will have some form of
2 center of excellence designation. I know ASTRO is
3 trying to figure out what that number is right now,
4 for the number of cases. SNMMI, I think has one,
5 already has it now.

6 So, the professional societies have taken
7 that on, and that might actually be the best place to
8 have that number set.

9 MR. GREEN: Right, but it shouldn't --
10 I'm sorry.

11 DR. FOLKERT: No, go ahead.

12 MR. GREEN: I shouldn't say I love the
13 center of excellence idea. I personally don't
14 because, as a patient advocate who wants to see access
15 across the board, it starts funneling patients into
16 specific areas and specific places, and doesn't
17 provide, I'll just call it, "pancake coverage,"
18 "blueberry pancake coverage," where the blueberries
19 are randomly all over, as opposed to just specific
20 single points around the country.

21 So, I hope what Dr. Harvey can come up
22 with is something that says, for those who are going
23 to do a specific -- this is how to do refreshers;
24 this is how to do whatever you need to provide patient
25 care, and that we don't go into this siloed world

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 where, you know, if I'm a patient in Montana, I think
2 I'm screwed.

3 And so, that's my challenge with COEs, is
4 they're kind of siloed care, and as we get out
5 farther, you know, I want good standard of care
6 wherever a patient shows up, not just whoever filled
7 out the forms and did the thing and has enough. I'd
8 like to see great standard of care across the board.

9 DR. JADVAR: Excellent discussions. And
10 very interestingly, I actually wrote exactly the same
11 question. Josh already beat me to it and asked.

12 Because I was wondering, when you get
13 these medical events reported by the licensee, is it
14 possible to find out from where they are how many of
15 these are they doing? So that you have a denominator.

16 Let's say, you know, we had a medical
17 event. I'm at Institution X, and this is the number
18 of exact procedures we do over a period of time, in
19 one year. And then, my question was: maybe if that
20 information is provided at that time to the NRC, just
21 a number, then we can find out -- you know, to his
22 question -- if there is a difference, really a
23 difference, between smaller institutions or smaller
24 clinics, private practice clinics, versus community
25 hospitals, versus academic centers, and if that makes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 a difference, and come up with some sort of a
2 definition for that infrequent use and what it means
3 to a patient, actually.

4 DR. HARVEY: Richard Harvey.

5 So currently, we don't have that
6 information. I would ask the staff resource, either
7 Mr. DiMarco or Dr. Valentin-Rodriguez, if they might
8 be able to comment on that. Could we obtain that
9 information through NMED or in some other way? Or is
10 that not possible?

11 Thank you.

12 DR. VALENTIN-RODRIGUEZ: This is Celimar
13 with the medical team.

14 So, right now, we don't have any
15 requirement for licensees to disclose how many
16 procedures they do for a certain modality each year.
17 We have performance-based inspection programs, which
18 means that we don't look at all documentation. So,
19 if a hospital does a certain amount of 35.300
20 administrations that require written directive, we
21 wouldn't go through each of them. It's more of a
22 investigate, talk to people, pull a string. So, in
23 that sense, there's no regulatory requirement for us
24 to ask licensees to provide us with that information.

25 So, what we do have is training

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 experience. And what I'm hearing is -- and I'd like
2 to ask the question to the members -- is: are our
3 T&E regulations sufficient to address maybe
4 Authorized Users who get off a license; they don't
5 practice for a number of years?

6 Is there an opportunity here to include
7 more specific requirements for continuing education?
8 One of the things we're doing right now is asking the
9 ACMUI to take another look at training and experience
10 requirements for emerging medical technologies.

11 So that we could avoid the type of
12 situations where we have someone who becomes an
13 Authorized User and hasn't performed or hasn't
14 received any sort of training on a certain procedure
15 since they obtained their board certification or
16 became an Authorized User through the alternate
17 pathway.

18 DR. FOLKERT: Isn't there still a
19 recentness-of-training requirement of seven years?

20 DR. VALENTIN-RODRIGUEZ: Yes, by
21 continuing education. That's 10 CFR 35.59, yes.
22 Thank you for correcting my wording.

23 DR. JADVAR: And a lot of these are
24 credentialing at the specific place you are. So, you
25 are not allowed to do Y-90 in first years, right,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 unless the hospital credentials you to do it? And
2 they go by continuing education, number of procedures
3 performed, and things of that sort. Right?

4 DR. ANGLE: This is John.

5 I can just talk to my own experience.
6 You know, at least in Virginia, it's the
7 certification is pretty much, as an Authorized User,
8 is pretty much without limit. And so, the CME is
9 really a comment upon the Authorized User. I don't
10 know how it's done in other states.

11 MR. OUHIB: This is Zoubir Ouhib, if I
12 may.

13 I think the number of cases might very
14 well be a misleading number. Let's just take an
15 example of an institution that's very well-known,
16 well-respected, and they do tons of those cases. But
17 it just happened that one of their users does one
18 every six months, or whatever. So, that could be
19 misleading information.

20 I think we need to dig in furthermore
21 into this to see what can be done. I mean, I like
22 the idea of a specialty. In other words, a user,
23 within an institution, there's one user that is
24 really dedicated to that type of procedure, and
25 therefore, will be doing the majority, if not all, of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 those cases to maintain that expertise.

2 DR. JADVAR: Thank you.

3 Dr. Harvey, you had something to say?

4 DR. HARVEY: Richard Harvey.

5 Yes, I think that we have to meet the
6 guidelines of training and experience. We have to be
7 compliant with the NRC 313A applications. And that
8 at least currently dictates Authorized Users becoming
9 credentialed and privileged within the organization,
10 to your point, Dr. Jadvar.

11 So, you know, I don't know; it doesn't
12 seem like we're -- I don't know if we can get our
13 arms around this data, if we can really find out how
14 many procedures are being done by each institution,
15 so we can sort of make these judgments.

16 So, we may have to go a different way and
17 omit this infrequent users/inattention, because, in
18 a sense, it may not be fair. If we can't get the
19 data, it should probably just fade away. So, I think
20 that's my opinion.

21 I don't know if we can get that data or
22 not. And I'm just looking to the NRC staff to tell
23 us if we think we could pursue that or if it's just
24 not data that we're ever going to be able to obtain.

25 So, thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 MS. SHOBER: Megan Shober.

2 I can only speak for Wisconsin, of
3 course, but we have four major medical centers that
4 do Y-90 therapies multiple times per week. And then,
5 there's a big gap after those. And essentially, most
6 of the rest of our Y-90 licensees are doing between,
7 like, one or two a month maybe. And so, there's a
8 huge frequency gap with that.

9 And I would say, again, only speaking
10 from what I know, in terms of medical events, the
11 vast majority of them are happening at the smaller
12 hospitals.

13 DR. JADVAR: Thank you.

14 I guess, again, talking about numbers of
15 similar procedures that are being done at
16 institutions, different places, perhaps -- I know
17 it's not a regulatory requirement -- but perhaps
18 numbers of procedures done by that licensee who
19 reported the medical event.

20 So, I'm Dr. X. I do 500 of these a year,
21 per year, and now, I have this one medical event that
22 I am reporting. At my institution, 2,000 are
23 performed by others also. So, something like that.
24 If those numbers become available, perhaps we can
25 wrap our arms around this, and again, get to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 question of what "infrequent" means, if it means
2 anything.

3 MS. SHOBER: Dr. Jadvar, this is Megan
4 Shober. One other comment on the frequency.

5 So, the hospitals that are performing the
6 Y-90 microspheres, they're inspected every other
7 year. And I would say the inspectors have a really
8 good pulse on the frequency for how many times those
9 sites are doing these procedures.

10 If we prefer, you know, if a place is
11 only doing one a month or something, we're going to
12 be looking at every single one of those written
13 directives on our inspections. And there really are
14 very few places where we aren't looking at most of
15 the written directives.

16 So, I think that number is -- I think we
17 have qualitative information that's easy to access,
18 but I think quantitative information would be hard to
19 come by.

20 DR. JADVAR: Thank you.

21 Any other comments or questions?

22 Dr. Harvey?

23 DR. HARVEY: Again, I just want to
24 reiterate the question to the NRC staff: is there
25 any way we could obtain this data, or not really, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 just omit this going forward?

2 Thank you.

3 MR. OUHIB: This is Zoubir Ouhib.

4 I think the next question that comes up
5 -- so, we have that data. What are we going to do
6 with it at that point?

7 As we all know, NRC cannot, basically,
8 dictate medical practice. In other words, are we
9 going to tell this institution that you can't be
10 performing this procedure? No, NRC cannot do that.

11 And I think we need to think about, once
12 we have that data, where are we going with that?

13 DR. JADVAR: Well, I think once we have
14 the data, I guess we understand the problem better.
15 You know, I'm not suggesting, or we are not suggesting
16 the NRC to change their practice. And, yes, they
17 shouldn't interfere with medical practice. But at
18 least we understand exactly what we are looking at.
19 We exactly would get a sense and pulse of the problem.
20 That would be useful to everybody and to the
21 community.

22 Dr. Tapp, and then, Melissa.

23 DR. TAPP: This is Katie Tapp.

24 I think getting the information,
25 especially quantitative information, would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 difficult for us. The regulations in 10 CFR 35.3045
2 are very specific on what information needs to be
3 provided for a medical event. And those regulations
4 are very specific to that medical event.

5 So, even reaching out and saying, "How
6 many do you do in this area?" would be going beyond
7 the regulations, which is difficult for us to ask
8 those types of questions, especially individual
9 licensees, or even asking the states. We would need
10 to get -- it's almost like a survey. So, we can do
11 that under, like, an OMB clearance, but there is a
12 process, and we really need to be knowing what we're
13 doing with the data; knowing the risk-based, and that
14 would be a long process to gather this information,
15 but it's not impossible.

16 Another way about this, too, it would
17 still require us to ask, but manufacturers know where
18 their products are going. So, I do know one of the
19 yttrium-90 manufacturers has looked at this as well,
20 and I think provided the ACMUI Subcommittee back then
21 -- I just don't have the exact data today. And they
22 didn't believe they saw a correlation between size of
23 an institution or how many vials they shipped there
24 versus medical events.

25 But this is one manufacturer, and I do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 know they provided training to the infrequent -- the
2 places that didn't use it as often, or they'll have
3 a manufacturer representative present. So, they have
4 additional people there. And again, that was just
5 one manufacturer, and I don't have the data here
6 today.

7 But there may be an ability to go out and
8 ask those questions, but we're talking about a long-
9 term process where we need to know what you would be
10 doing with the information, and we would probably
11 need a recommendation to start a process like this.
12 This is not something we can just simply go out and
13 ask our inspectors to follow up on, at least in the
14 NRC states, because it is not a requirement. It might
15 come from the Agreement States, but not the NRC.

16 DR. JADVAR: Thank you very much. That
17 was very useful information.

18 Okay. Now, Melissa Martin.

19 MS. MARTIN: Right, Melissa Martin.

20 One question I had, and that's what I was
21 wondering if Ms. Shober might have an idea: when you
22 take this data, one of the questions that's not asked
23 is "What type of institution it occurred at?" That
24 seemed like, I mean, you're collecting all the data
25 for these events. I guess my assumption was that it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 would be pretty straightforward to decide whether
2 that's a major medical center, you know, under 500
3 beds. Just classify it. Or is it happening in office
4 settings? You know, I thought that would be a fairly
5 straightforward way to get the data.

6 MS. SHOBER: Yes, this is Megan Shober.

7 I agree with Melissa. I mean, we had --
8 what? -- 33 events last year. And I think it would
9 take less than an hour of quick internet searching to
10 determine if a site is a major medical center or not.
11 So, I think we can get that pretty directly, and that
12 would be a proxy, of course, for frequency, but in my
13 experience, those major medical centers are the ones
14 that are doing a bunch of them. So, I think your
15 risk of mismatching is pretty low.

16 CHAIR JADVAR: Okay. Great discussions.
17 So, I think NRC staff has spoken, the Subcommittee
18 and the Committee has spoken. Let's see if there's
19 any comments from the folks in the room.

20 (No audible response.)

21 CHAIR JADVAR: If not, we have still time
22 to have -- see if there's any remote questions or
23 comments on this topic.

24 DR. VALENTIN-RODRIGUEZ: For those in the
25 room, if you need -- if you want to provide a comment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 on this topic, please raise your hand and un-mute
2 yourself. If you're on the phone, press *6 to un-
3 mute yourself.

4 (Pause.)

5 DR. VALENTIN-RODRIGUEZ: I don't see any
6 hands raise, Dr. Jadvar.

7 CHAIR JADVAR: Thank you very much.

8 So, with that, do I have a motion to
9 accept the Subcommittee report?

10 MEMBER GREEN: So, moved.

11 CHAIR JADVAR: Any seconds?

12 MEMBER EINSTEIN: Second.

13 CHAIR JADVAR: Thank you. All in favor,
14 say aye?

15 (Chorus of aye.)

16 CHAIR JADVAR: Any opposed?

17 (No audible response.)

18 CHAIR JADVAR: Any abstentions?

19 (No audible response.)

20 CHAIR JADVAR: The motion carries. Thank
21 you so much.

22 Thank you, Dr. Harvey and all the
23 Subcommittee members.

24 We move onto Item No. 9, Medical Team
25 updates. And this is presented by Dr. Valentin-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Rodriguez from NRC.

2 DR. VALENTIN-RODRIGUEZ: Good afternoon,
3 everyone. My name is Celimar Valentin-Rodriguez.
4 I'm the Medical Team leader here at the NRC and today
5 I'll just be providing updates on ongoing efforts and
6 activities and initiatives within the Medical Team.

7 Next slide, please? So today my talk
8 will be kind of broken down into three major focus
9 areas. One of them is rulemaking, which I'll start
10 with. Then I'll go into guidance development efforts
11 and then I'll round out that discussion with other
12 efforts that we're tackling right now.

13 Next slide, please? So medical
14 rulemakings. Next slide, please? I'm sure we've
15 talked about these two rulemakings during today's
16 discussion. Extravasations rulemaking, which we
17 started in February of 2022, if you'll remember we
18 received a staff requirements memorandum in December
19 2022 from the Commission directing us to proceed with
20 rulemaking on this. And in the next slide I'll have
21 more of a timeline and I'll get into what these
22 efforts are.

23 The other major medical rulemaking that
24 we have currently right now is the emerging medical
25 technologies for rubidium-82 generator rulemaking, or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 as we refer to as our EMT rulemaking. Ms. Shober was
2 the ACMUI Subcommittee chair on the subcommittee that
3 reviewed the regulatory basis for that rulemaking
4 which we've already issued for public comment. And
5 so, I'll be talking about that as well. So
6 those are our two major ongoing medical-related
7 rulemakings right now.

8 Next slide, please? So, for
9 extravasation, like I said, in December 2022 we
10 received that staff requirements memorandum. And
11 besides including reporting of certain nuclear
12 medicine injection extravasations in 10 CFR 35.3045,
13 the Commission also directed us to study ways to
14 reduce reliance on patient self-reporting, examine
15 whether we should require that licensees have
16 procedures in place to detect and report
17 extravasations medical events. They also tasked us
18 with looking into whether we could accelerate our
19 rulemaking schedule without shortening our public
20 comment periods. And finally, they also directed us
21 to develop a medical event regulatory guidance,
22 basically regulatory guidance for all reporting of
23 all medical events including extravasations.

24 Next slide, please? So, in January 2023
25 we -- February 2023 we established a Joint NRC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Agreement State Working Group to tackle this
2 rulemaking. I think you all received an update
3 sometime last year which was close to our April 19th
4 date where we issued a request for information in the
5 Federal Register notice where we also issued
6 preliminary proposed rule language as part of that
7 request for information. We also had a number of
8 questions out there for stakeholders to provide
9 comments on.

10 That closed sometime in the summer, and
11 we received over 200 comment letters related to both
12 the preliminary proposed rule text and also the
13 different questions which related to procedures,
14 patient self-reporting, definitions, and other
15 topics.

16 So, we are on course to provide this
17 proposed rule package to the Commission in August of
18 this year. Currently the ACMUI has established a
19 subcommittee to review the draft proposed rule
20 package which obviously includes the proposed rule.
21 It also includes the regulatory guidance for
22 reporting of all medical events and also includes a
23 draft model procedure for detecting and reporting
24 extravasations.

25 And we hope to have a teleconference sometime in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 end of May, beginning of June to discuss that
2 Subcommittee report.

3 And then finally, once we provide that
4 proposed rule package to the Commission and they get
5 a chance to vote on that, we'll published the proposed
6 rule package for a 90-day public comment period. And
7 then the final rule will be issued to the Commission
8 12 months after that public comment period closes.
9 So, there's some flexibility. There's some
10 uncertainty at the tail end of this schedule just
11 because it depends on when the Commission votes on
12 the proposed rule so that we can issue it for public
13 comment.

14 Next slide, please? So, the next rule I
15 wanted to discuss was the EMT rulemaking. I think we
16 fairly -- we basically discussed this when the
17 Subcommittee reviewed the regulatory basis. So, this
18 is our major revision to Part 35 which will take a
19 lot of those well-established emerging medical
20 technologies in 35.1000 and basically codify
21 requirements for their use in other sub parts of Part
22 35, and obviously also including requirements for
23 rubidium-82 generators which we've had an enforcement
24 guidance memorandum in place for a few years now,
25 maybe -- not a few years. Maybe a decade.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 And so, some of the proposed changes are
2 here. And many of you saw an extensive 100-plus page
3 regulatory basis which included many of the
4 requirements which we would need to update to bring
5 in those technologies into Part 35.

6 Next slide, please? So that SRM we
7 received in 2022, but when we received the staff
8 requirements memorandum for the extravasation's
9 rulemaking, we delayed the issuance of the proposed
10 rule for this rulemaking to address the
11 extravasations proposed rule first. So that's while
12 you'll see kind of a big gap between the proposed
13 rule scheduled for this rulemaking and one, we
14 initiated work on that.

15 So as part of that rulemaking, like I
16 mentioned. last year in the summer, in July we
17 published the regulatory basis for -- I think it ended
18 up being 165-day public comment period. We received
19 over 20 comment letters on that. And our proposed
20 rule with the draft implementation guidance is due to
21 the Commission by winter 2026, which means early,
22 first few months of the year in 2026.

23 So, one of the things we're planning to
24 do probably is to do some workshops, one or two, with
25 stakeholders as we move into the proposed rule

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 schedule so that we can address certain questions
2 from the guidance that we got in the regulatory basis
3 which we are evaluating right now. And then just like
4 with extravasations, once that proposed rule is
5 published, we'll do a public comment period, and the
6 final rule will be due to the Commission 12 months
7 after that public comment period ends. So,
8 we're looking at most likely a decade of medical
9 rulemaking covering proposed rules all the way to
10 implementation.

11 Next slide, please? So, in terms of
12 guidance development, we are -- next slide, please --
13 - as you all saw today with the three emerging medical
14 technologies, licensing guidance documents that you
15 all reviewed and commented. We are keeping up our
16 efforts to try to maintain our fingers on the pulse
17 of EMTs.

18 And so last year we issued two memoranda
19 for different technologies. These we determined
20 didn't have to be licensed under 35.1000 and could be
21 licensed under existing regulations. CivaDerm under
22 35.400, which is temporary radiation therapy, and
23 then Technegas which we issued -- I want to say the
24 memo earlier this year. They're both on the Medical
25 Toolkit, and that was for a functional long imaging

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 agent.

2 And then of course you'll see the three
3 medical devices that you all discussed today: Akesis,
4 Eye90 Microspheres, and Liberty Vision, which once we
5 address your comments, we'll be able to issue as final
6 and post to the Medical Toolkit.

7 One of the other types of technologies
8 that we're looking into right now is thorium
9 generators. As you all know, there's a big buzz with
10 alpha therapies and beta therapies that are being --
11 there's a lot of clinical trials that are ongoing,
12 and so one of the questions that we've received from
13 our stakeholders and our licensees is that we don't
14 have guidance for these therapeutic generators for
15 therapeutic radionuclides. And so, thorium-228
16 basically. We've seen other generators such as
17 generators for lead and other types of alphas that
18 are coming down the pike. So, we want to make sure
19 that we review the use of those since they'll probably
20 be going to nuclear radiopharmacies first.

21 And of course, we're looking -- we're
22 keeping track of a few new microspheres that are not
23 Y-90 and we are also keeping track of various alpha
24 therapies that are in advanced stages of clinical
25 trials, obviously one of those being actinium-225 and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 like I mentioned lead-212, which are the most
2 advanced and look to be the most promising.

3 Next slide, please? Another guidance
4 development effort that is ongoing is our training
5 and experience implementation guidance. We started
6 developing this guidance in response to Commission
7 direction in what we call the T&E paper, which
8 received a staff requirements memorandum back in
9 January of 2022.

10 This training and experience
11 implementation guidance is not to address -- does not
12 change any requirements, but it merely provides
13 additional information for licensees and for our
14 staff in Agreement States and at NRC when reviewing
15 training and experience licensing actions. So, I
16 know that in the past we've talked a lot about the
17 different pieces of your T&E regulations including
18 preceptors, documentation, the different between
19 hours, work experience, class and laboratory
20 training, supervision.

21 And so, our Training and Experience for
22 All Modalities Subcommittee is currently reviewing
23 this guidance and we hope to have a subcommittee --
24 a public teleconference -- not a subcommittee --
25 meeting sometime in May to review the ACMUI spots on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 this guidance.

2 Next slide, please? The other big
3 guidance development project that we have ongoing --
4 not development, but update is Regulatory 8.39,
5 Release of Patients Administered Radioactive
6 Materials, Revision 2. As a reminder, back in the
7 summer of 2023 -- maybe it was April -- we issued DG
8 8.61 for public comment, which was our revision to
9 Reg Guide 8.39. An ACMUI subcommittee provided a
10 report on that draft Reg Guide back in December of
11 2021.

12 Next slide, please? So as part of our
13 process we issued the Draft Regulatory Guide for
14 public comment in April, and we received over 60
15 comment letters from a number of different
16 stakeholders including Agreement States,
17 professional societies, federal agencies, and others.

18 So currently we're updating the Reg Guide
19 based on these public comments and we're talking all
20 those comments seriously. And we're also developing
21 a regulatory analysis that includes a cost-benefit
22 analysis to ensure that we're looking at the burden
23 of this Regulatory Guide from the perspective of
24 licensees. The initial regulatory analysis that we
25 published with the Draft Regulatory Guide was from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 the patient perspective.

2 And so, we are working on that cost-
3 benefit analysis and expanded regulatory analysis and
4 we hope to provide the revised Draft Regulatory Guide
5 to you all for another review of -- for another chance
6 to review before we issue it for public comment again.
7 And as part of that we'll also provide the regulatory
8 analysis since we have some assumptions for costs and
9 burden in that document.

10 Next slide, please? Medical events. I
11 think I talked a little bit about this previously,
12 but as part of the extravasations rulemaking we're
13 also developing its own stand-alone Regulatory Guide
14 for reporting of all medical events. I think I
15 mentioned that as part of that Regulatory Guide we
16 tried to incorporate those best practices on
17 reporting medical events that we issued as part of
18 the NMED annual report a few years back. And so, as
19 part of the extravasations rulemaking you'll see a
20 new Regulatory Guide for all medical events.
21 Obviously, there's also information there about how
22 to report extravasation medical events based on our
23 reporting criteria.

24 And then the other part of that that's
25 also been mentioned today is that we have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 developing an information notice to share information
2 about radiopharmaceutical-related medical events,
3 and we plan to issue that later this year. Last year
4 you all received a presentation from Dr. Katie Tapp
5 about recent radiopharmaceutical-related medical
6 events and we've had a lot of discussions today about
7 those types of events.

8 Next slide, please? Other efforts. Next
9 slide. Thank you. So, we continue to answer a lot
10 of training and experience questions related to the
11 American Board of Radiology's termination of NRC
12 recognition. Back on November 30th of last year we
13 published an information notice which aimed to
14 provide more information about the existing
15 regulatory framework for those who are planning to
16 get an ABR Board certification or those who already
17 have one. And by those, I mean individuals.

18 And so right now we don't have any
19 changes planned toward training and experience
20 regulatory framework, but as always, we're open to
21 suggestions and we're open to your feedback. Ms.
22 Maryann Ayoade has been doing a lot of outreaches to
23 professional societies and just individuals who keep
24 sending us questions.

25 I don't know, Megan, if you've seen a lot

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 of questions in Wisconsin about that, but we've
2 certainly seen an uptick here at the NRC.

3 So, we continue to answer those
4 questions. And like I said, we don't have currently
5 at this time any efforts to update or revise the
6 regulatory framework for T&E based on ABR's
7 termination request.

8 Next slide, please? So, with regard to
9 household waste, as Lillian mentioned at the
10 beginning of the meeting, a few years ago the ACMUI
11 recommended that we assess the issue of detection of
12 short-lived medical isotopes in municipal waste. And
13 this is basically from released nuclear medicine
14 patients. We did send a voluntary survey to the
15 Agreement States requesting information on best
16 practices and the need for additional guidance.

17 The other thing that we are doing is
18 assessing our regulatory framework, seeing where
19 we've done risk assessments to -- based on the
20 different types of waste classifications that the
21 NRC. And we're also using that information to develop
22 recommendations. So, in the fall you'll all receive
23 a presentation. We're also developing a paper that
24 we might share with you all with those
25 recommendations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealgross.com

1 So, we plan to close that item pretty soon.

2 Next slide, please? So, I think that's
3 it for me. If you have any questions about ongoing
4 rulemakings or guidance development efforts, please
5 let me know or reach out to any member of our team.
6 With respect to emerging medical technologies, we're
7 always looking for the next new item, so if you have
8 any information about new technologies that you're
9 hearing about, please let us know. We interface a
10 lot with Dr. O'Hara and his team and the folks over
11 at Cedar to try and get ahead of the game.

12 So, with that, I close my presentations
13 and open it up to the Committee for any questions.

14 CHAIR JADVAR: Any questions from the
15 ACMUI? Mr. Green?

16 MEMBER GREEN: I know you didn't speak to
17 it, but on your EMT page you have depicted the
18 NorthStar RadioGenix System, which has been withdrawn
19 off the market.

20 DR. VALENTIN-RODRIGUEZ: Yes, I think we
21 -- that graphic was just pre-RadioGenix.

22 MEMBER GREEN: Good.

23 DR. VALENTIN-RODRIGUEZ: Yes. But we
24 were in contact with Megan and the state of Wisconsin
25 on that pretty early. So, yes. But we also plan to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 use the -- I wanted to add that we're planning to use
2 that as operating experience to ensure that if there
3 was another technology similar to RadioGenix at least
4 we have guidance and we can use that as kind of a
5 baseline to provide requirements for the future
6 technologies. And we're doing that for example with
7 U-Ray and other technologies that are no longer on
8 the market.

9 CHAIR JADVAR: Great. Thank you. Any
10 other comments from the Committee members? From the
11 NRC? Your colleagues.

12 (No audible response.)

13 CHAIR JADVAR: Anybody in the room? Yes,
14 Ms. Shober?

15 MEMBER SHOBER: Yes, this is Megan
16 Shober. I think with those thorium generators that
17 would be a great topic for the fall meeting. I
18 personally don't know a ton about how those work.

19 DR. VALENTIN-RODRIGUEZ: Sure. We'll
20 take back. Thanks.

21 CHAIR JADVAR: And maybe we can -- we
22 have a little time if we want to get remote attendees,
23 if they have any comments or questions for Dr.
24 Rodriguez' presentation.

25 MS. ARMSTEAD: I don't see any hands

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 raised.

2 CHAIR JADVAR: Okay. Excellent. Thank
3 you for that wonderful presentation.

4 DR. VALENTIN-RODRIGUEZ: Thank you,
5 everyone.

6 CHAIR JADVAR: So, we're going to pause
7 until actually 3:30. And remember, there's an eclipse
8 out there, so don't look at it directly. But we'll
9 be back in this room at 3:30 Eastern Time. Okay?

10 Thank you. Bye-bye.

11 (Whereupon the above-entitled matter
12 went off the record at 2:23 p.m. and resumed at 3:29
13 p.m.)

14 CHAIR JADVAR: Okay. So, let's get
15 started. I hope for people who were here they were
16 enjoying the magic of nature, the sun eclipse. It
17 was wonderful.

18 We move on with our agenda items here.
19 No. 10, Liberty Vision Y-90 Episcleral Brachytherapy
20 Source Subcommittee Report by Mr. Ouhib.

21 Are you on?

22 MEMBER OUHIB: Yes, I am.

23 CHAIR JADVAR: Okay. Wonderful.

24 MEMBER OUHIB: Thank you. Thank you.
25 Okay. My name is Zoubir Ouhib. I'm a therapy and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 medical physicist and I'm here to present to you the
2 recommendation from our subcommittee regarding the
3 NRC staff Draft Licensing Guidance for the LV Liberty
4 Vision yttrium-90.

5 Next slide, please? So, in our agenda
6 we'll talk briefly about the subcommittee membership,
7 our charge, the background of this device, a
8 description of the device, and then recommendation
9 and general comments.

10 Next slide, please? This is our members.
11 I don't think I need to go over that.

12 Next slide, please? Okay. The ACMUI
13 Chair, Dr. Darlene Metter, appointed this
14 subcommittee to review the Liberty Vision technology
15 and comment on the NRC staff Draft Licensing Guidance
16 for the LV Liberty Vision Corporation Yttrium-90 Disc
17 and iWand Ophthalmic System. Let me just say that
18 the report of the subcommittee was submitted, and NRC
19 staff has determined that this product needs to be
20 listed under 10 CFR 35.1000.

21 Next slide, please? So, the ophthalmic
22 brachytherapy has been used as treatment for both
23 benign and malignant tumors. Sources that were used
24 in the past: high-energy, low-dose rate cobalt-60,
25 low-energy X-rays, low-dose rate iodine-125, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 palladium-103, beta radiation-emitting low-dose rate
2 ruthenium-106, and HDR strontium-90/yttrium-90. The
3 source that's being evaluated by this subcommittee is
4 Liberty Vision LV HDR beta-emitting radiation Y-90
5 disc.

6 Next slide, please? Y-90 has been widely
7 used for cancer treatment, provided an effective
8 treatment for episcleral fibrovascular growth. The
9 treatment is to be provided by a team. That's the
10 authorized user who is a radiation oncologist, the
11 ophthalmologist, and the authorized medical
12 physicist. The device with the LV Y-90 source was
13 cleared by the FDA with a 510(k) with source activity
14 up to 20 millicuries at time of treatment and 80
15 millicuries at time of shipment. And that's just
16 because of the short half-life of the isotope.

17 Source can be used for either superficial
18 lesions or at desired depth. And when we talk about
19 depth, we're talking about a few millimeters.

20 Next slide, please? And again, the short
21 half-life is 64 hours. That's a little over two-and-
22 a-half days. The LV Y-90 source is designed for
23 single use and to be stored for decay or return to
24 the manufacturer. The desired dose prescription at
25 specific depth is about 26 Gray, but that varies based

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 on the diagnosis.

2 Next slide, please? No, you're not
3 looking at the eclipse here. You are looking at --

4 (Laughter.)

5 MEMBER OUHIB: -- the Liberty Vision
6 source. And as you could see, this is the -- I'm not
7 sure if you see the cursor that I'm using, but each
8 source has its sole own serial number basically. And
9 I'll come back to that later on why this is important.

10 The source is about six-millimeter
11 diameter, so that's in this direction versus or that
12 direction. And it's fairly thin. It's about one
13 millimeter in thickness. And that's what they call
14 a height basically.

15 Next slide, please? Okay. So, what you
16 see at the top left there is the iWand A; that stands
17 for anterior applicator, and its module. It's a very
18 lightweight, 8 milligram. That's a good reason for
19 that because the ophthalmologist will be using that
20 to place actually the source where it needs to be.
21 The middle left in here -- you see an anterior
22 applicator with an imbedded Y-90 source, or disc I
23 should say, placed to treat an underlying uveal
24 melanoma.

25 The bottom left here; this is the iWand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 P. That stands for posterior. And that applicator
2 is designed for treatment of tumors and growths in
3 the posterior aspect of the eye globe. And on the
4 right-hand side here you see a graphical
5 illustration. Shows the site defining tissue marking
6 used to guide the placement of the iWand A on target.

7 Next slide, please? Okay. So, the
8 treatment process, sort of the short brief diagram.
9 Obviously, we have the written directives first and
10 then the sources ordered. The source comes into the
11 facility. It's calibrated and sterilized. That's
12 just for the disc basically. And after that basically
13 in the treatment room the iWand applicator is brought
14 in and the source is actually sort of glued into the
15 applicator. They use -- from I understood from the
16 manufacturer right now they use Dermabond to actually
17 make sure the source is in that little well of the
18 applicator. It's a surgical skin glue-type of thing.

19 So once that's done it's put in a
20 shielded area, that water pitcher shield basically.
21 And once it's done and the ophthalmologist is ready,
22 he will apply that to the patient. Treatment is
23 performed. Time is recorded and so on. And then the
24 applicator is removed and put in a pitcher shield and
25 which -- eventually put in a disposal container,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 which is a lead container. And that will be taken
2 into radiation oncology. There's what it's kept
3 either for decay or shipped to the manufacturer.

4 Next slide, please? Here's a treatment
5 illustration with a case of microinvasive ocular
6 surface malignant squamous carcinoma. And you could
7 see that nodule right there basically and here's an
8 enlargement of that. And to the right of that with
9 the ultrasound you could see that elevated nodule
10 there. This is after treatment. You could see a
11 major improvement between the two and then you could
12 also see it on the ultrasound. This is a one-month
13 follow-up after HDL Y-90 plaque therapy.

14 Next slide, please? Patient and tumor
15 characteristics without margin. This is important
16 because of a geometric miss basically. So, you could
17 see the location here. These are the ages of the
18 patient. The thickness, 0.6 up to 1.7 millimeter.
19 So probably this will be considered as superficial.
20 The 0.6 and the 1.7 will be perhaps at depth. The
21 width varies, basically a maximum of 4.1 and the
22 length is about -- maximum of about 3.1. This is the
23 staging for these lesions.

24 Next slide, please? Treatment
25 parameters. These are the patient numbers basically,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 but this -- you could look at the activity, the Y-90
2 source activity. These are in millicuries. The depth
3 of treatment here. And here is the dose that was
4 actually chosen for these lesions. And the duration
5 here is in seconds.

6 Next slide, please? Central axis dose
7 falloff. Why is this important? And that is when
8 treating at a certain depth the AU could be aware of
9 what is being delivered at the surface. So, if you're
10 really delivering 100 percent here, 2.6 for instance,
11 you can imagine what the dose is. That's six times
12 the dose at 2.6, roughly speaking.

13 Next slide, please? The Brachytherapy
14 Team and their role. The ophthalmologist is in charge
15 of the diagnosis, the imaging part, target
16 definition, and the applicator placement.

17 The AU is to provide the written
18 directives, will assist on the applicator placement
19 -- and that's their expertise basically because doing
20 some of the brachytherapy we want to make sure that
21 there is no geometric miss or anything like that --
22 the dose delivery, treatment planning, and radiation
23 safety component.

24 The authorized medical physicist will
25 determine the source activity and place the order, he

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 will calibrate the source, determine the time of the
2 treatment planning, although the treatment planning
3 is not quite available yet -- there's no manufacturer
4 that provides that -- does the source sterilization,
5 of course in charge of the radiation safety, and
6 eventually the source disposal.

7 Next slide, please? Okay. So here are
8 some specific recommendations by the Subcommittee.
9 We felt like in Section 52 the Subcommittee
10 recommends stating clearly the two different training
11 pathways depending on whether the treatment is
12 prescribed for the surface or prescribed at depth.
13 For Section 522, should clearly describe that there
14 are different training requirement for AUs treating
15 superficial lesions versus AUs treating at depth.
16 And Section 522(d), the Subcommittee strongly
17 disagree with requiring a written attestation
18 statement for involved non-AUs; i.e., an
19 ophthalmologist for instance. Non-AUs are supervised
20 individual and do not require preceptor attestation.

21 The Section 523, the Subcommittee
22 recommends that this procedure be performed in the
23 presence of an AMP. That's the authorized medical
24 physicist. And the use of ophthalmic physicist
25 should be deleted. There was no need for that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Next slide, please? In Section 61, the
2 Subcommittee recommends requiring the presence of
3 both the AU and the authorized medical physicist.
4 This is quite similar to other procedures such as
5 intravascular brachytherapy, prostate brachytherapy,
6 and other procedures where the AU and AMP are present
7 during the procedure.

8 In Section 64, per the manufacturer's
9 recommendation and other AAPM -- that's the American
10 Association of Medical Physicists -- report
11 calibration of the LV source must be performed by the
12 user prior to use and compared to the manufacturer's
13 stated activity. This is an important item for
14 patient safety and accurate treatment. Any
15 discrepancies must be resolved according to the AAPM
16 Guideline, and that's to be within plus or minus five
17 percent.

18 And why is that? I'll just give you an
19 example here, a scenario that could potentially
20 happen, hopefully never. A source of activity of 8.6
21 millicuries is ordered to deliver a dose of 25 Gray
22 in 644 seconds. Let's just assume that the source
23 received was not 8.76 millicuries but 60 millicuries.
24 And if not checked for calibration and used for the
25 same treatment time, it will deliver approximately

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 45.7 Grays versus 25 Gray. And that's about 82
2 percent more dose.

3 Let me clarify one more thing is that
4 granted the user will receive a source certificate
5 that will state what the activity is, the leak, and
6 all that stuff. So yes, there is some other
7 information for the user to look at. But it could
8 very well happen where people think that they
9 received the proper activity and proceed for
10 treatment and next thing you know we have a medical
11 event that was reported.

12 Next slide, please? Section 65, service
13 and maintenance is not needed as this is a single-
14 use device. Recommend deleting this section.

15 Section 66, the Subcommittee recommends
16 replacing return to the safe shielded position with
17 return to the shielded container that's provided by
18 the manufacturer.

19 Next slide, please? The Subcommittee
20 recommends that the LV Disc and iWand System present
21 a few challenges, and that's accounting for
22 anisotropy of the source when performing the
23 treatment plan and that basically this is -- if you
24 want to think about it, looking at the disc it's sort
25 of like a dome when it comes to dose distribution.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 So, toward the center you have more radiation coming
2 in versus at the edges where you will have less dose
3 coverage.

4 Properly positioning and orienting the
5 iWand. And that is when I was talking about using a
6 surgical thin glue-type of thing to mount the disc on
7 the iWand. That was a concern, and we think that the
8 users should follow direction from the manufacturer
9 carefully. And that's the next item that I just
10 talked about; number C. Members of the treatment team
11 should take precautions to assure that they're of the
12 source is in accordance with the manufacturer
13 instructions.

14 Next slide, please? This is the list of
15 our acronym, and I think that ends my presentation.
16 I'm open to any questions. Thank you.

17 CHAIR JADVAR: Thank you, Ouhib.

18 We have a question. Melissa Martin?

19 MS. MARTIN: Hi, this is Melissa Martin.

20 Hi, Zoubir.

21 MEMBER OUHIB: Hi.

22 MS. MARTIN: When you say calibrate, the
23 first -- basically one of the first things you have
24 to do is calibrate the source when you get it into
25 your department. What are you recommending or what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 is to be used to perform that calibration? Is it a
2 dose calibrator? Is it a survey meter? Is a
3 dosimeter? In other words, what do you actually use
4 to calibrate that source?

5 MEMBER OUHIB: Thank you, Melissa.
6 That's a great question. I asked the manufacturer
7 regarding that, and it appears according to some
8 colleagues -- because I called one ADCL basically to
9 look into this. And as it stands right now there's
10 really no per se a calibration designed for this
11 source yet. The way they did it, it was a little bit
12 very complex. They did a Monte Carlo also. But it's
13 not quite straightforward. But my understanding is
14 that there is one graduate school who is actually
15 looking at this to make sure that this can be
16 calibrated, maybe with a well chamber, maybe with a
17 survey meter. It's left to be seen.

18 CHAIR JADVAR: Any other questions?

19 But, Melissa, you're still --

20 MS. MARTIN: No, there's just --

21 CHAIR JADVAR: Go ahead.

22 MS. MARTIN: -- there's nothing that's
23 cited. There's certainly no commercial system to do
24 this.

25 MEMBER OUHIB: That's correct. Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 That was one of my big concerns because people felt
2 like, oh, well, you could use the manufacturer's
3 source activity, but I feel very strongly that a
4 calibration has to be performed to confirm that you
5 are receiving what you ordered.

6 CHAIR JADVAR: -- then Dr. Harvey?

7 MEMBER FOLKERT: So, Michael Folkert. I
8 do think that they're looking at with the
9 radiochromic film. I think that was one of the ways
10 that they were going to check and see what the dose
11 distribution is across the disc.

12 MEMBER OUHIB: Yes, and that's only one
13 way to do that, absolutely. Yes.

14 CHAIR JADVAR: Okay. All right. Dr.
15 Harvey?

16 MEMBER HARVEY: Hi, Richard Harvey. Just
17 a basic question. So, would this just be indicative
18 for lesions on the front of the eye, or could it be
19 used anywhere?

20 MEMBER OUHIB: It could be anywhere
21 within the outside the eye. It could be on the front
22 -- on the anterior, could be posterior, whatnot. So,
23 but it also is accessible. So, if the ophthalmologist
24 feels like he can place the applicator in a safe way
25 and deliver the dose that's intended, then why not?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 MEMBER HARVEY: Richard Harvey again.
2 So, like we do ophthalmic brachytherapy now, would
3 they go to the OR and take the eyeball out and
4 irradiate the back of the eye and put it back in in
5 one surgery so we could avoid the two surgeries the
6 way we do it now?

7 MEMBER OUHIB: I'm not clear about the
8 process itself so I can't say anything to that. I
9 really don't. And that was not part of our charge.

10 CHAIR JADVAR: I'm just wondering
11 actually -- from the clinical point of view I wonder
12 if you can comment on how many times this has to be
13 done when they order one and they put one over some
14 lesion, for example one of the examples you showed.
15 Let's say it's superficial and you don't have to go
16 behind the eye, what is the efficacy? Do they have
17 to redo this again once in a while or how effective
18 is this?

19 MEMBER OUHIB: My understanding, this is
20 a one-time treatment per se. So, let's just say that
21 there is a recurrence there. I'm not really sure how
22 they're going to proceed with that and use some sort
23 of a BDE or whatnot to determine whether the second
24 treatment is appropriate or not in terms of dose
25 because now you're delivering a dose to the surface

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 and if you're going to retreat that lesion, what is
2 that going to do to that area? I don't know.

3 CHAIR JADVAR: Any other comments by the
4 Subcommittee members or Committee members?

5 Dr. Folkert?

6 MEMBER FOLKERT: I mean just for --
7 Michael Folkert. Just for additional information.
8 It's all meant to be single fraction treatments. So,
9 the superficial lesions are either benign or
10 conjunctival melanoma or squamous cell carcinomas,
11 the at-depth ones are more of the ones that are
12 interior to the surface of the eye. So those are
13 kind of the more traditional ones treated with plaque
14 brachytherapy, but it's all meant to be single
15 fraction treatment.

16 And if they're treating anteriorly, it
17 would just be placed directly on the surface. If
18 they're treating posteriorly, they usually do like a
19 block where they paralyze the eye and then they move
20 it physically to the side and place that curved
21 applicator around it from behind, but they don't have
22 to usually remove -- they don't have to unseat the
23 eye in order to do that.

24 MEMBER OUHIB: Right.

25 MEMBER FOLKERT: Generally, they're not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 supposed to --

2 MEMBER HARVEY: One surgery instead of
3 two.

4 MEMBER FOLKERT: Just one surgery, yes.
5 It's not meant to be removing any of the ocular
6 muscles or anything.

7 CHAIR JADVAR: Okay. Thank you.

8 Any other comments or questions?
9 Melissa?

10 MS. MARTIN: So just to clarify or follow
11 up on a point that I asked earlier. So, from the
12 manufacturer when you get one of these discs it
13 actually gives you an activity and a dose rate on the
14 certificate and that's how you would use that to
15 calibrate -- calculate your time of treatment?

16 MEMBER OUHIB: That is correct, yes.

17 MS. MARTIN: Okay.

18 CHAIR JADVAR: Okay. Any other comments
19 by the Committee members?

20 (No audible response.)

21 CHAIR JADVAR: Okay. Any comments or
22 questions from NRC staff?

23 DR. VALENTIN-RODRIGUEZ: I only had one
24 comment. And to Zoubir's point we do allow under our
25 regulations in Part 35, specifically 35.432(b), for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 licensees to use measurements by the source
2 manufacturer to comply with the requirement that they
3 need to do a calibration measurement before first
4 medical use. So that is allowed by our regulations.

5 CHAIR JADVAR: Thank you. Any other
6 comments by the NRC staff? Richard?

7 MEMBER HARVEY: I don't know the answer to
8 this question which is why I'm asking it. Is it
9 exempt it from a sealed source inventory or a leak
10 test because of its transient nature or its short
11 half-life? I mean, other sources are all leak tested
12 and inventoried, so does this one got an out?

13 DR. VALENTIN-RODRIGUEZ: Maryann, I don't
14 know -- I believe they have an SS&D, but I'm not sure.

15 MEMBER OUHIB: Yes, and they provide you
16 with their own leak test basically, so you have it in
17 your certificate that the source has been tested for
18 that.

19 MS. AYOADE: Okay. Maryann Ayoade with
20 the NRC. I do not believe that they are exempt from
21 the leak test. It's a temporary one-time use source.
22 Typically, what they can do is use it one time once
23 it's attached to the applicator and they're either
24 storing it for decay in storage or sending it directly
25 to the manufacturer.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 CHAIR JADVAR: Okay. Dr. Harvey?

2 MEMBER HARVEY: Yes, Richard Harvey. So,
3 I don't think we're leak testing these sources when
4 they come in.

5 MS. AYOADE: It's going to be handled the
6 same way we handle the regular manual brachytherapy
7 sources or any other sources that they have under
8 35.400.

9 MEMBER HARVEY: I don't think we're leak
10 testing those currently because they're only
11 transiently used and they're only at the facility for
12 a very short period of time before they're sent back.

13 MS. AYOADE: But whatever the --

14 MEMBER OUHIB: But they're not being sent
15 back. They're not. Well, I apologize. I take it
16 back. So --

17 MEMBER HARVEY: They might be.

18 MEMBER OUHIB: -- I think as a user --
19 yes, that's correct -- as a user if I'm getting a
20 radioactive -- whether it's the disc or a seed or
21 whatnot, I will always test for leakage because you
22 don't know what has happened coming in from the
23 facility to my facility. Granted I have a leak test
24 certificate from them, but I want to confirm that
25 nothing has happened to that source. And why not do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 a leak test on that and confirm that you are using an
2 intact source that's not leaking basically.

3 MEMBER HARVEY: Richard Harvey. I'm just
4 going to verify back at our place whether our therapy
5 physicists are doing a leak test. Maybe they are and
6 I'm not aware of it, but I wasn't aware that they
7 were. They might very well be though. Thank you.

8 MEMBER AYOADE: So, Katie just
9 referenced, and she just confirmed in 35.67 that they
10 don't need to leak test because of the shorter half-
11 life for these. And that's 35.67.

12 DR. TAPP: And their seal source and
13 device registration also say they're leak tested
14 prior to distribution.

15 MEMBER OUHIB: Yes.

16 DR. TAPP: There would be a certificate
17 for leak testing in the initial ship.

18 MEMBER OUHIB: I'm just concerned that if
19 something happened to the source itself while being
20 shipped on its way to the facility. You never know.
21 An accident or whatnot, or just bounced a little bit
22 harder than it needs to be. I don't know that. Would
23 that cause any -- I guess the manufacturer could
24 probably answer that better.

25 CHAIR JADVAR: Richard Green.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 MEMBER GREEN: Yes, should we recommend
2 to the Subcommittee that they recommend that this
3 licensing guidance provide instructions for Agreement
4 State licensees -- Agreement States as well as
5 licensees about these issues we're discussing now
6 about leak testing and -- I mean, maybe I don't leak
7 test it. I have one that comes with it. Maybe I
8 assume it's good and it's intact for use, one-time
9 use. Then it's decay and storage, but I still need
10 to keep my records until it's either decayed in
11 storage and gone or returned back to the manufacturer.
12 But I'm sure likely questions are going to come up
13 either from licensee or from the Agreement States.

14 MEMBER OUHIB: That's a good point.

15 MEMBER SHOBER: So, this is Megan Shober.
16 With the 64-hour half-life the leak testing isn't a
17 regulatory concern, and that's very clear in the
18 regulations. So, I wouldn't foresee questions from
19 Agreement States about leak testing for this product.

20 CHAIR JADVAR: Thank you. Any other
21 comments?

22 (No audible response.)

23 CHAIR JADVAR: In the interest of time,
24 I'm just going to move on with regard to have a motion
25 for accepting the Subcommittee report.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 MEMBER HARVEY: I'll make the motion to
2 accept the Subcommittee report.

3 CHAIR JADVAR: Thank you. All in favor,
4 say aye?

5 (Chorus of aye.)

6 CHAIR JADVAR: Any opposed?

7 (No audible response.)

8 CHAIR JADVAR: Any abstention?

9 (No audible response.)

10 CHAIR JADVAR: The report is accepted and
11 the motion carries. Thank you.

12 So, it's 4:00. We're going to move onto
13 our next agenda item, Item No. 11. It is ACMUI
14 Reporting Structure and Ms. Armstead is going to
15 present.

16 MS. ARMSTEAD: Lillian Armstead. I will
17 be providing the review of the reporting structure.
18 This presentation will go over the current reporting
19 structure, a discussion of our annual review, the
20 frequency of our meeting, and we'll have a discussion
21 by the ACMUI.

22 This slide provides a graphic of the
23 current reporting structure. Working up from the
24 bottom the ACMUI reports directly to Mr. Kevin
25 Williams, who is the Director of the Division of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Materials Safety, Security, State, and Tribal
2 Programs, also known as MSST. Reporting to Kevin is
3 Christian Einberg, who is the Branch Chief for the
4 Medical Safety and Events Assessment Branch, known as
5 MSEB. And our division MSST reports to Mr. John
6 Lubinski in the Office of Nuclear Materials Safety
7 and Safeguards. And it goes up the chain to our
8 Acting Executive Director of Operations Raymond
9 Furstenau, who reports to the Commission.

10 The ACMUI does not report directly to
11 MSEB, however within this branch resides the Medical
12 Radiation Safety Team which helps to support the day-
13 to-day activities of the committee.

14 During the presentation of the bylaws of
15 2012 the ACMUI recommended to have an annual review
16 of its reporting structure. At that time the ACMUI
17 was presented with the option to continue to report
18 to NMSS or to report directly to the Commission. The
19 Subcommittee report provided in 2012 stated that the
20 working relationship between the NRC and the ACMUI
21 remained excellent and the reporting structure
22 through the NRC staff continued to function
23 effectively.

24 The Subcommittee and ACMUI agreed at that
25 time that the associated logistics with directing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 report to the Commission such as more frequent
2 meetings did not and does not justify any change in
3 the ACMUI's reporting structure.

4 The ACMUI currently holds two meetings
5 each year: one in the spring, typically March-April,
6 and one in the fall, typically September-October.
7 The ACMUI also meets via teleconference approximately
8 two to three times between these meetings and on an
9 as-needed basis.

10 At this time, I'll turn it over to Dr.
11 Jadvar and the ACMUI for discussion on whether the
12 Committee is satisfied with the current reporting
13 structure, what's working and recommendations on how
14 to improve.

15 Dr. Jadvar?

16 CHAIR JADVAR: Thank you, Lillian.

17 So, you heard the question. Are you
18 satisfied with the reporting structure that was just
19 presented to us or do you think it can be improved in
20 some way? Any questions/comments on that basis?

21 Dr. Harvey?

22 MEMBER HARVEY: I'm very satisfied.
23 Thank you.

24 CHAIR JADVAR: Any other comments?

25 (No audible response.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 CHAIR JADVAR: Looks like everybody's
2 pretty satisfied with the current structure. Thank
3 you so much for that presentation.

4 All right. Moving onto Item No. 12,
5 which is open forum. And I think Dr. Celimar
6 Rodriguez is going to present some material on that.

7 DR. VALENTIN-RODRIGUEZ: Thank you, Dr.
8 Jadvar. This is Celimar. I don't know if you all
9 had any items you wanted to discuss now, but I have
10 a few subcommittees here that I'd like to take -- to
11 present to the ACMUI to either reestablish or
12 establish new subcommittees to look at three items.

13 The first one is the ACMUI Bylaws
14 Subcommittee. The NRC staff believes that it would
15 be in the best interest of the ACMUI to take a look
16 at their bylaws and update them, specifically
17 regarding the conflicts of interest section to expand
18 on what the responsibilities of each member should be
19 with regards to any potential conflicts of interest.

20 We'd be interested in the Committee to do
21 a report sometime in the fall of this year and we
22 propose the following members: Dr. Wolkov as chair,
23 Rebecca Allen, Michael O'Hara, and Richard Green.

24 Any questions or any comments on that?

25 CHAIR JADVAR: Thank you. I think that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 a very useful subcommittee and charge. And so, we
2 have Dr. Wolkov is going to be the chair?

3 DR. VALENTIN-RODRIGUEZ: Well, that our
4 proposal, but --

5 CHAIR JADVAR: That's your proposal?

6 DR. VALENTIN-RODRIGUEZ: -- open to --

7 CHAIR JADVAR: Dr. Wolkov, do you accept?

8 (No audible response.)

9 CHAIR JADVAR: Thank you so much.

10 And Ms. Allen who's not here today, and
11 Dr. O'Hara, and Richard Green, right?

12 (No audible response.)

13 CHAIR JADVAR: All right. I think that's
14 quite good.

15 DR. VALENTIN-RODRIGUEZ: Okay.

16 CHAIR JADVAR: Thank you.

17 DR. VALENTIN-RODRIGUEZ: Thank you. The
18 next subcommittee. This would be a new subcommittee.
19 Back in the fall of last year you all received a
20 presentation from NRC staff regarding an effort to
21 update the regulations in 10 CFR 30.35 that deal with
22 financial assurance for Category 1 and Category 2
23 material. The staff is ready to provide that draft
24 proposed rule to the ACMUI for review considering
25 that there are certain Category 1 and 2 sources that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 are used by medical licensees.

2 So therefore, the NRC staff is requesting
3 that the ACMUI review and comment on that proposed
4 rule. And that would be for a teleconference within
5 90 days, so we're looking at probably late-summer
6 2024. Our recommendations for a subcommittee include
7 Mr. Richard Green as chair, Dr. Richard Harvey, Dr.
8 Harvey Wolkov, and Mr. Zoubir Ouhib.

9 CHAIR JADVAR: All right. You heard.
10 So, we are charged to review this proposal and comment
11 on it with a teleconference sometime in this summer.

12 Mr. Green, you accept to be the chair?

13 MEMBER GREEN: I do.

14 CHAIR JADVAR: Okay. Thank you.

15 And then we have Dr. Harvey, Dr. Wolkov,
16 and Zoubir Ouhib to participate. I hope everybody's
17 agreed to that.

18 MEMBER HARVEY: Pleasure to.

19 CHAIR JADVAR: Okay. Thank you so much.

20 Very good. Thank you, Celimar.

21 DR. VALENTIN-RODRIGUEZ: Thank you. And
22 the last subcommittee would be the reestablishment of
23 the Interventional Radiologists Subcommittee. In its
24 final report the ACMUI looked into whether it needed
25 to update its membership to include an interventional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 radiologist representative. And at that time because
2 an update to the membership would require a document
3 or a policy paper to the Commission, the ACMUI
4 recommended to include an interventional radiologist
5 as a non-voting medical consultant to the ACMUI for
6 a trial period and to reassess at that time.

7 So therefore, consistent with the ACMUI
8 recommendations we're asking that the ACMUI reassess
9 whether they'd like to propose to the Commission a
10 change in the membership of the ACMUI to include an
11 interventional radiologist.

12 So, for this subcommittee we are proposed
13 Dr. Einstein as chair, Dr. Jadvar as a member, Dr.
14 Folkert, and Ms. Rebecca Allen. I'm open to any other
15 suggestions, Dr. Jadvar, if you want to add a fifth
16 member.

17 CHAIR JADVAR: All right. Great. Well,
18 I personally believe that participation of Dr. Angle
19 has been extremely useful and helpful to all of us.
20 Thank you for your service.

21 And I'll be happy to participate in this
22 subcommittee. And Dr. Einstein is not here, but I'm
23 sure -- I'm not sure, but I feel that he will agree
24 to chairing this. And we have Ms. Allen and Dr.
25 Folkert. Is there anybody else who want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 participate?

2 MEMBER HARVEY: Richard Harvey. I'd be
3 open to it if needed.

4 CHAIR JADVAR: Okay. Thank you so much.
5 Thank you, Celimar.

6 DR. VALENTIN-RODRIGUEZ: Thank you, Dr.
7 Jadvar. And that's it. That was more than enough
8 for me.

9 CHAIR JADVAR: All right. But this is
10 open forum, so just like this morning if there's
11 anything that comes to your mind you want to discuss,
12 this is the time to do it, please.

13 (No audible response.)

14 CHAIR JADVAR: No items?

15 (No audible response.)

16 CHAIR JADVAR: Okay. Very good. So, we
17 are moving onto the last item on the agenda for today,
18 administrative closing. This is also given by Ms.
19 Lillian Armstead.

20 MS. ARMSTEAD: So, this year for the fall
21 conference we're looking at the months of September,
22 October, and November. The dates you select will be
23 provided to the staff and the Office of the Secretary
24 and hopefully they will be able to align with one of
25 your proposed dates for the meeting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Here are the dates: For the month of
2 September, we have -- tentative dates are the 9th and
3 the 10th. And as you can see, they're surrounding
4 national meetings and holidays. For the month of
5 October, we have tentative dates for the 7th and the
6 8th. And again, there is a list of holidays and
7 meetings. And for the month of November, we have
8 tentative dates for the 4th and the 4th. And also,
9 national meetings and holiday.

10 So, at this time can the ACMUI make a
11 selection?

12 CHAIR JADVAR: All right. Did we already
13 vote on any of these? Do you have anything on that?

14 MS. ARMSTEAD: Yes, the most popular date
15 was the November timeline.

16 CHAIR JADVAR: Okay. September is the
17 certain not good for me, so that -- I know that. But
18 I'm personally open to October or November.

19 And anybody else want to comment what
20 their preferences are?

21 MEMBER HARVEY: Richard Harvey. I would
22 prefer October, but I will certainly participate
23 whenever it's decided.

24 CHAIR JADVAR: Anybody else? Dr.
25 Folkert?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 MEMBER FOLKERT: I would prefer November,
2 but October also works. September would be very
3 difficult.

4 CHAIR JADVAR: Yes. Anybody else on this
5 side?

6 (No audible response.)

7 CHAIR JADVAR: All right. So --

8 DR. FAIR: Hi, it's Joanna. Sorry. I
9 just want to say for --

10 CHAIR JADVAR: Hi, Joanna.

11 DR. FAIR: -- hi -- that week is Balloon
12 Fiesta in Albuquerque and so traveling in and out of
13 Albuquerque is very difficult. So that's my only
14 preference for not October. It's just really hard to
15 get here and there.

16 CHAIR JADVAR: So, you prefer November?

17 DR. FAIR: That's correct.

18 CHAIR JADVAR: Okay. And Zoubir? Are
19 you on still?

20 MEMBER OUHIB: Yes, I am.

21 CHAIR JADVAR: Zoubir?

22 MEMBER OUHIB: Yes, I am.

23 CHAIR JADVAR: Okay. What is your
24 preference?

25 MEMBER OUHIB: It's whatever work for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 everybody. October or November will be fine. Thank
2 you.

3 CHAIR JADVAR: Okay. So, should we
4 consider November then?

5 DR. ANGLE: I have a conflict that date,
6 but -- November. Sorry. Dr. Angle speaking.

7 CHAIR JADVAR: Joanna, you're not able at
8 all to come in October?

9 DR. FAIR: I can. It is that the air
10 travel is very challenging during that time to and
11 from Albuquerque, but it -- will make it work.

12 CHAIR JADVAR: Okay. Is it because you
13 are following --

14 DR. FAIR: Everybody in the United States
15 is there during that time.

16 (Laughter.)

17 CHAIR JADVAR: All right. So, seems to
18 me October may be good for almost everybody, right?
19 Except Joanna will have some challenge.

20 You said October is no good?

21 And, John, you're okay October?

22 DR. ANGLE: I can make October work.
23 Thank you.

24 CHAIR JADVAR: Okay. All right. I think
25 you have -- with the compromise October is good?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Yes, Dr. Harvey?

2 MEMBER HARVEY: Richard Harvey. I'd make
3 the motion for the October dates for the next fall
4 meeting.

5 CHAIR JADVAR: Okay. Any seconds?

6 PARTICIPANT: I second.

7 CHAIR JADVAR: All in favor, say aye?

8 (Chorus of aye.)

9 CHAIR JADVAR: Any opposed?

10 (No audible response.)

11 CHAIR JADVAR: Any abstention?

12 (No audible response.)

13 CHAIR JADVAR: All right. So, let's have
14 our meeting for the fall in the October dates, which
15 was I think 7 and 8. Monday, Tuesday.

16 MS. ARMSTEAD: That's correct, Dr.
17 Jadvar.

18 CHAIR JADVAR: Yes. Thank you.

19 Is there anymore of the administrative
20 closing items?

21 MS. ARMSTEAD: That's it.

22 CHAIR JADVAR: That's it?

23 MS. ARMSTEAD: Yes.

24 CHAIR JADVAR: All right. So that's
25 actually at the end of our agenda and we are done for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 the day's activity. The meeting is adjourned. Thank
2 you so much, everyone, for participating.

3 (Whereupon the above-entitled matter
4 went off the record at 4:13 p.m.)