

# **Official Transcript of Proceedings**

## **NUCLEAR REGULATORY COMMISSION**

Title:                   Advisory Committee on the  
                              Medical Uses of Isotopes

Docket Number:       (not applicable)

Location:  
                  Rockville, Maryland

Date:                    Thursday, May 11, 1995

Work Order No.:       NRC-214

Pages 1-372

**1323 Rhode Island Avenue, N.W.**  
**Washington, D.C. 20005**  
**(202) 234-4433**

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

UNITED STATES OF AMERICA  
NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON MEDICAL USES OF ISOTOPES  
(ACMUI)

+ + + + +

THURSDAY, MAY 11, 1995

+ + + + +

ROCKVILLE, MARYLAND

+ + + + +

The Advisory Committee met at the Nuclear  
Regulatory Commission, Two White Flint North, 11565 Rockville  
Pike, Room T2B3, at 8:22 a.m., Barry A. Siegel, Chairman,  
presiding.

MEMBERS PRESENT:

- BARRY A. SIEGEL, M.D., Chairman
- DANIEL S. BERMAN, M.D., Member
- DANIEL F. FLYNN, M.D., Member
- JOHN GRAHAM, Member
- WIL B. NELP, M.D., Member
- ROBERT M. QUILLEN, Member
- JUDITH ANNE STITT, M.D., Member
- DENNIS SWANSON, M.S., BCNP, Member
- LOUIS WAGNER, Ph.D, Member
- DAVID WOODBURY, M.D., Member

1 ACMUI STAFF PRESENT:

2 TORRE TAYLOR

3

4 ALSO PRESENT:

5 LARRY W. CAMPER

6 JOSEPHINE M. PICCONE

7 IVAN A. BREZOVICH

8 JEFF WILLIAMSON

9 JACK ROE

10 JANET SCHLUETER

11 DONNA-BETH HOWE

12 ROBERT AYRES

13 DONALD COOL

14 JIM SMITH

15 PATRICIA HOLAHAN

16 MARJORIE ROTHSCHILD

17 JOHN CORDES

18 KATHY SEIFERT

19 MARK ROTMAN

20 JERRY JOHNSON

21

22

23

24

25

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

**AGENDA**

**DIRECTOR'S COMMENTS**

Mr. Camper . . . . . 4

Dr. Cool . . . . . 8

**BRACHYTHERAPY ISSUES AND RELATED APPROACH TO RESOLVE  
(BRACHYTHERAPY ISSUES PAPER)**

Presenter: Patricia Holahan, Ph.D. . . . . 23

Invited Participants: Ivan A. Brezovich, Ph.D. . . . . 25

Jeff Williamson, Ph.D. . . . . 34

**NATIONAL PERFORMANCE REVIEW PHASE II**

Presenter: Jack Roe . . . . . 141

**DISCUSSION OF PROSTATE IMPLANT PROCEDURES (1-125 SEEDS)**

Presenter: Daniel F. Flynn, M.D. . . . . 157

**TRAINING AND EXPERIENCE OF AUTHORIZED USERS TO ALLOW  
EXEMPTIONS TO SUBPART J**

Presenter: Janet Schlueter, B.S. . . . . 240

**DISCUSSION OF PETITION TO REVIEW RADIOPHARMACY RULE**

Presenter: John Cordes . . . . . 301

**DISCUSSION OF GUIDANCE DOCUMENTS FOR RADIOPHARMACY RULE**

Presenter: Donna-Beth Howe, Ph.D. . . . . 305

**DISCUSSION OF ADDITIONAL INFORMATION FROM NIST ON SR-90**

**CALIBRATION ERRORS FOR EYE APPLICATORS**

Presenter: Robert Ayres, Ph.D. . . . . 364

P-R-O-C-E-E-D-I-N-G-S

1

2

8:03 a.m.

3

MR. CAMPER: Good morning, ladies and gentlemen.

4

I am pleased to welcome you to Rockville and to the NRC

5

Headquarters for this public meeting of our Advisory Committee

6

on the Medical Uses of Isotopes.

7

I'm Larry Camper. I am the Chief of the Medical,

8

Academic and Commercial Use Safety Branch and the designated

9

federal official for this Advisory Committee meeting.

10

This is an announced meeting of the Advisory

11

Committee and is being held in accordance with the rules and

12

regulations of the General Services Administration and the

13

Nuclear Regulatory Commission. This meeting was announced in

14

*The Federal Register* on April 19, 1995 and that notice stated

15

that the meeting will begin at 8 a.m. and we're about four

16

minutes late.

17

The function of the Advisory Committee is to

18

advise the NRC staff on issues and questions that arise in the

19

medical use of byproduct and material. The Committee provides

20

counsel to the staff but does not determine or direct the

21

actual decisions. The NRC solicits the opinions of counsel

22

and values the opinions of this Committee very much.

23

The staff requests that the Committee reach a

24

consensus, if possible, on the various issues that will be

25

discussed today but also values stated minority or dissenting

1 opinions, and we ask you would clearly articulate those  
2 dissenting opinions as we discuss the specific agenda items.

3           The agenda is full and I would request that you  
4 make your comments specifically germane to the topic under  
5 discussion and make them as succinct as possible so we can  
6 conduct as much business as possible.

7           As part of the preparation for this meeting, I  
8 have reviewed the agenda for members' financial and employment  
9 interest. I have not identified any conflicts from that  
10 review based on the very general nature of the discussion that  
11 we're having at this time. I don't see anything that involves  
12 any specific institution where there might be a conflict nor  
13 am I aware that any of you have raised any of the items that  
14 are on the agenda as part of a petition for rule making so, to  
15 the best of my knowledge, there are no conflicts.

16           However, should any member of the Committee  
17 during our discussions become aware of a potential conflict of  
18 interest with regard to a topic under discussion, you are  
19 obligated to inform the Chairman or myself and recuse yourself  
20 from discussion of that particular topic as a Committee  
21 member.

22           I would like to take this opportunity to  
23 introduce the Committee members with us today. Starting on my  
24 left we have Doctor David Woodbury from the FDA. We have Mr.  
25 Dennis Swanson, our radio pharmacist representative. We have

1 Doctor Judith Stitt, a radiation oncologist and therapist. We  
2 have Mr. Bob Quillen from the state of Colorado. We have  
3 Doctor Josie Piccone who is the section leader for the Medical  
4 and Academic Section. Of course, we have our esteemed  
5 Chairman, Doctor Barry Siegel. We have Doctor Wil Nelp who is  
6 representing our research interest on the committee. We have  
7 Mr. John Graham who is a management specialist in health care  
8 administration and we have Doctor Daniel Flynn who is a  
9 radiation therapy oncologist.

10 In addition to the members of the Committee, we  
11 have with us today two invited guests. We have Doctor Ivan  
12 Brezovich who is with the University of Alabama at Birmingham.  
13 Doctor Brezovich is behind us. We also have Doctor Jeffrey  
14 Williamson with the Maryland -- Institute of Radiology. These  
15 gentlemen are invited speakers today. They are practicing  
16 therapy physicists and, since our first agenda item deals  
17 with brachytherapy issues, we wanted to get the perspective of  
18 a practicing therapy physicist not representing any particular  
19 organization, not functioning as a Committee member, but  
20 giving us their practical, day-to-day observations, and we  
21 think that will be of tremendous benefit to us.

22 I'd also like to take this opportunity to  
23 introduce a couple of other members of the NRC staff in the  
24 audience and also to announce a couple of changes recently in  
25 key management positions within our agency. We recently

1 underwent a substantial change. Doctor Carl Paperiello, who  
2 was previously the Division Director for the Division of  
3 Industrial and Medical Nuclear Safety, became the Office  
4 Director for Nuclear Material Safety and Safeguards. I don't  
5 know if Doctor Paperiello is here.

6           We have Doctor Donald Cool who's back behind us  
7 in the first row. Doctor Cool became the Division Director of  
8 IMNS. I assumed responsibility as the Chief for the Medical,  
9 Academic and Commercial Use Safety Branch replacing Doctor  
10 John Glenn, who is now Branch Chief with the Office of  
11 Research, but I assure you that John is here in spirit. He  
12 indicated that to me. He hates missing this and all the fun,  
13 but he will be with us tomorrow to make one of the major  
14 presentations on the rule makings.

15           And the other significant change involves Doctor  
16 Piccone. Josie Piccone assumed responsibility as the Section  
17 Leader for the Medical and Academic Section.

18           So with those introductions, I want to make one  
19 or two administrative comments and point out that we do have  
20 restrooms nearby. They are just down the hallway. There's  
21 also a vending room down the hallway that's available for  
22 snacks and the like for any members of the public. We do have  
23 some coffee available but that unfortunately is restricted to  
24 use by the Committee members. Members of the public can find  
25 a cafeteria in the first floor of the adjacent building.

1           So with those opening comments, what I'd like to  
2 do is next ask Doctor Cool to make a few comments. You'll  
3 notice on the agenda that we had added a new item and we call  
4 it Director's Comments and this was added to afford either the  
5 Office Director or the Division Director an opportunity to  
6 share with you some philosophical or big picture concerns  
7 that they might have from their perspective and sort of set  
8 the stage for things that are on their mind that you can bear  
9 in your deliberations today.

10           DR. COOL: Thank you, Larry. Barry, members of  
11 the Committee. It's good to be here. This is a slightly  
12 different setting from which I am used to addressing this  
13 particular committee. I'm not whether it was poetic justice,  
14 malice of forethought or exactly what it was that resulted in  
15 the Executive Director deciding that the guy who had been  
16 responsible for the past six years or so for writing all of  
17 the rules should now be put in the position of having to try  
18 to implement them. Nevertheless, that's what happened.

19           I am pleased to be here today. I extend to you a  
20 welcome from Doctor Paperiello who is now the Office Director.  
21 Larry has already gone through and given you all of the  
22 management changes. It was not quite as it might have  
23 appeared to be taking all the names, putting them in a basket,  
24 shaking it, tossing it up in the air, and seeing who fell out  
25 where. There was quite a bit of thought and effort put into

1 this. I am really pleased with the team that I have with  
2 Josie moving over to the Medical Section, Larry moving up to  
3 be the Branch Chief in that area.

4           We are faced with a lot of challenges over the  
5 next couple of years and I want to talk just for a few minutes  
6 about some of the things that I see, some of the activities  
7 that I believe are going to impinge either very directly or at  
8 least tangentially on the medical program, on this activities  
9 which this division and office need to face over the next  
10 couple of years. There are a number of them.

11           Obviously, we are coming to a point in time where  
12 we need to try and do something with all the experience that  
13 we've gained with Part 35 since it was revised in 1987. We  
14 need to do something with the fact that there are a number of  
15 new modalities, a number of things that have changed in the  
16 whole approach to health care, the various kinds of new  
17 interations, new specialties, new activities and how to deal  
18 with those within the regulatory structure.

19           There are other things external to this agency  
20 which includes the review by the National Academy of Sciences  
21 that we've all been following with great interest, the efforts  
22 on the part of the current administration to streamline  
23 government, the National Performance Review and the follow-on  
24 activities there which have a significant impact and will have  
25 an impact on the way that we do business and our own internal

1 efforts that we're going to be talking about a little bit  
2 later tomorrow to try and re-engineer the whole process of how  
3 we go about doing licensing. With the Chairman's permission,  
4 I'm going to take just a couple of minutes and outline a  
5 little bit of what's going on in each one of those.

6           When the revision of Part 35 was done in 1987,  
7 there were a lot of requirements that were put in place. It  
8 was an effort deliberately aimed at trying to get into the  
9 regulation those things which at the time were in various  
10 places and various guidance documents, particularly in some of  
11 the diagnostic use of some of the areas. I think we've come  
12 to understand that there are both gaps in that structure and  
13 areas where, in retrospect, we may have been just a little bit  
14 overboard with the kinds of requirements that were put in  
15 place in order to accomplish a particular purpose.

16           Since the time of that revision, it has not been  
17 a static rule, as you're all sort of acutely aware. Some of  
18 the changes have been rather controversial. The Quality  
19 Management Rule, the MisAdministration Rule. Some, maybe  
20 rightly so, have called those things unnecessary, burdensome,  
21 but it's perhaps only with hindsight the actual effect of any  
22 regulation can be understood. Over the last few weeks, Doctor  
23 Paperiello and I have been taking a look at some of the  
24 misadministration data, trying to get ready for discussions  
25 with the Chairman, the EDO and various areas.

1           Misadministrations for a number of years averaged  
2 something on the order of 25 to 30. It was relatively steady.  
3 Obviously, there's some variation any time you're trying to  
4 apply statistics to relatively small numbers of essentially  
5 independent events, but for each six month period you'd have  
6 15, 20, something like that. It would vary around a little  
7 bit but it was relatively steady over the time that we have  
8 some reasonable data on. Very interestingly enough, so far in  
9 1995 through the beginning of May we have exactly two in that  
10 six month period coming up with a little over a month left.

11           Now obviously, it's way too early to put any  
12 credence on a particular set of numbers. This might be some  
13 sort of statistical variation. On the other hand, it might  
14 also be an indication that, lo and behold, all of the things  
15 that we attempted to do to try and promote quality, to try and  
16 get the active participation of authorized user physicians in  
17 at each stage in the process, in fact, had at least some of  
18 the effect that we desired for it to have over the course of  
19 time and so we look at the programs, as we look at the  
20 revisions -- here I have to preach to myself as much as anyone  
21 else -- let's not throw out the baby in the accomplishments  
22 along with the bath water of trying to smooth out pieces of  
23 regulations.

24           On the second front is modality such as the high  
25 dose rate brachytherapy have virtually no regulatory structure

1 in the existing regulations. At this point, there's a lot of  
2 things in various guidance documents, most of that coming  
3 about as the result of the misadministration incident up in  
4 Pennsylvania several years ago and the Medical Management Plan  
5 was an outgrowth of that, a whole series of guidance  
6 activities trying to put together some sort of structure in  
7 the interim use for that.

8           Most of the rest of this morning is going to be  
9 devoted to discussions of where we go with that particular  
10 arena. How do we go about trying to put together some sort of  
11 regulatory structure that can be in the regulation so that it  
12 can be a solid program which has a long-term basis and not a  
13 program which continually evolves in guidance documents. It's  
14 one of the things that I came to really appreciate while I was  
15 in the Office of Research, was just how much we as a staff,  
16 rightly or wrongly, tend to try to do things by sort of the  
17 easiest method because we have this little impingement from  
18 this side or this little impingement from the other side and  
19 it results in you doing what nearly amounts to a Brownian  
20 motion random walk, having to stand back and say, Are we aimed  
21 in the right direction? Are we focused on the right sorts of  
22 things?

23           In terms of the Medical Management Plan, by the  
24 end of this year we'll probably be 80 percent or better  
25 accomplished. All the short-term actions will pretty much be

1 done. The remaining actions will be the long-term rulemaking  
2 guidance actions, some of the things coming specifically out  
3 of the brachytherapy area, a number of things related to the  
4 revision of Part 35. There are a number of issues that still  
5 have to be addressed there one way or another. Training  
6 experience has been raised in a number of settings, needs to  
7 be looked at.

8           In my view, I think most of those at this point  
9 need to be wrapped into the overall revision of the  
10 regulations. Getting back to the same point I made a little  
11 bit ago. I think at this time we should really start to focus  
12 our efforts on being prepared to address regulation and  
13 medical as a whole. Stand back away from the individual  
14 impinging pieces and say, What needs to be there? Why? Does  
15 it make sense for us to be there?

16           As you're aware, the NRC contracted with the  
17 National Academy of Sciences to take an independent  
18 examination of the regulatory approach for medical uses. Each  
19 of us is keenly interested in the recommendations. They, of  
20 course, have done exactly as they always advocate that they  
21 do. They've told us absolutely nothing up to this point, so  
22 we all sit and we guess and we worry and we wonder and we try  
23 to sort of second guess where they might be going. We'll have  
24 that report by the end of this year. That's the time frame  
25 that the contract was originally laid out. They're still on

1 that track as far as we can determine. All of the indications  
2 are that we will be there.

3 Our revision of Part 35 and the time frame for  
4 that is, in fact, keyed to the availability of that National  
5 Academy study because that will be a key ingredient in going  
6 forward with the rule making process. I think that rule  
7 making process, once we have that paper, needs to be a very  
8 open approach involving all the various folks in the medical  
9 community, all the people out in the public.

10 One of the things that we have tended not to do  
11 very well heretofore is identifying and involving people  
12 outside of the profession in our rule making process in the  
13 medical area. There are other areas of regulation where the  
14 Commission has had a wealth of input from those outside of the  
15 industry or regulatory process, but not this area very much,  
16 and we need to be finding mechanisms to involve them. The  
17 Commission pursued what was called an enhanced participatory  
18 rule making process in the decommissioning criteria. We may  
19 or may not call this particular rule making by that little  
20 particular acronym. That acronym, as with all NRC acronyms,  
21 has now accumulated its own set of baggage.

22 Nevertheless, that kind of approach of having  
23 workshops based on background documentation I think is going  
24 to be a methodology that we'll need to pursue in terms of  
25 trying to get to a rule making if that's what we want it to

1 do. I believe that your recommendations and discussions are  
2 also going to be critical to that process.

3           One of the things I'd like to invite you to try  
4 and do, both during today and over the next few months, is to  
5 consider what pieces of background information, what kind of  
6 documentation, other information, could be best developed in  
7 this time frame by the staff, perhaps by some of you folks, in  
8 order to facilitate those discussions early next year. One of  
9 the things I've found key was that when people began the  
10 discussions that they started from a common beginning point, a  
11 common level of understanding in terms of what the issues  
12 were, what some of the background pieces of information were  
13 so the discussion could move forward and a great deal of time  
14 wasn't spent trying to get everyone up to speed. I really  
15 would hope that you could help us in putting together a good  
16 set of background documents on that area.

17           A totally separate path is the review of the  
18 regulations and agency actions as part of the ongoing National  
19 Performance Review conducted by the Clinton Administration.  
20 NRC, as well as most of the other agencies, are in the process  
21 of examining the regulations and activities to determine if  
22 there are things that could be done better, if there are  
23 things that should be devolved or otherwise states or other  
24 organizations, if there are places where requirements can be  
25 reduced or streamlined or places where regulations aren't

1 needed at all.

2           As I'm sure you're aware, our Chairman, Chairman  
3 Selin, has publicly indicated his desire that the NRC reduce  
4 or perhaps even eliminate some of its role in the medical  
5 areas, at least with regards to some of the protection of the  
6 patient issues. If such an approach were taken to its  
7 ultimate endpoint, changes would be needed in the Atomic  
8 Energy Act in order for some of those sorts of things to be  
9 accomplished. There have been a wide variety of other  
10 variants that have also been discussed which might get NRC  
11 part way out or reduce its role or modify its role in various  
12 aspects. That will be a key piece once again as we start to  
13 consider what kind of revisions might be appropriate for Part  
14 35.

15           Jack Roe, who is leading the NRC staff efforts in  
16 this area is going to be here later this morning, I believe,  
17 on your agenda to discuss the activities of his group and I  
18 believe to seek your input on some of the changes or  
19 modifications that might be appropriate to recommend to the  
20 agency's senior management and on to the Administration.

21           Inside the agency, last year we began a major  
22 effort to try and reexamine the process by which my division  
23 and the regions do licensing, do the process of issuing a  
24 license, everything from how it's submitted to how it's  
25 processed, to how it's sent out and the kind of review and the

1 kind of documentation that's done. We're going to talk a  
2 little bit about that tomorrow. That process basically  
3 involves standing back and saying, What is the as-built  
4 situation? What kind of things are out there somewhere in  
5 industry and other sectors of the federal government and the  
6 states where people are doing things which we might be able to  
7 incorporate into our process in order to have a significant  
8 gain in our efficiency, our ability to do licensing  
9 activities? That obviously will directly impact medical  
10 licenses. That's one of the very large components of the  
11 licenses that we and the states issue.

12           What we discovered was that what we thought was  
13 as nice simple little process, about eight steps of the  
14 process, it comes in, the old fee processing takes place by  
15 somebody, it gets sent over, you do the review, it gets sent,  
16 maybe a deficiency letter is sent out, you send out a license  
17 and you send out a renewal notification. The reality is it  
18 was an enormously complex process, something like 80+ steps  
19 and back and forth and to and fro in the process with nearly  
20 90 days worth of processing time on the average of which only  
21 about two days was actually devoted to anything resembling  
22 real work associated with the review of the process. We hope  
23 to improve that.

24           Once again, this is an area where I'm in hopes we  
25 can gain some ideas from you folks in the private sector in

1 the way that you conduct business to help us improve our  
2 process of doing work. For so long -- it's easy because I'm  
3 an outsider and so I can say all sorts of radical things. I'm  
4 in this little honeymoon period where no one will hit me too  
5 hard. For really too long we have been in a us versus them  
6 kind of process. Headquarters versus regions. NRC versus  
7 licensees. NRC versus states. You just generate a really  
8 nice long list.

9 I'm in hopes that we can move to a little more of  
10 a process which uses the term we where we work together as a  
11 team, where we examine the issues and where we try to take the  
12 big picture approach to things and come to solutions which are  
13 mutually acceptable. I know we will never get to the point  
14 where all of us will in fact be in agreement and have perfect  
15 consensus. That, I think, is probably asking just a bit too  
16 much. But to move in that direction and I look forward to  
17 working with you folks.

18 There are a number of things going on  
19 simultaneously these next two days. We'll unfortunately have  
20 to be popping in and out of here. We provide the  
21 Commissioners this morning with a briefing of our business  
22 process for engineering. I'm just going to sort of chunk out  
23 most of the rest of this morning but I hope to be back and  
24 forth, be available to be part of at least a number of these  
25 discussions over the next two days. You've got a whole lot of

1 things on your agenda that I'm personally interested in as I  
2 get into this process, and I look forward to hearing from you.

3

4 Barry, depending on your agenda and schedule, I'd  
5 be glad to try and answer some general questions for a few  
6 minutes and we can get into specifics later.

7 CHAIRMAN SIEGEL: Does anyone have any questions  
8 right now?

9 Let me just ask one very briefly. Can you  
10 amplify a tiny bit on what you meant by getting other members  
11 of the general public more involved in the medical rule making  
12 process. The sense was that you haven't tried to involve  
13 them, and I'm not sure that's true. I just sense that people  
14 haven't been terribly interested in coming forward to comment  
15 on these issues.

16 DR. COOL: In fact, I think you're exactly right.  
17 My background over the last six years, as most of you are  
18 probably aware, is in the rule making area. Some of the rule  
19 makings have people just flocking to our doors to provide us  
20 their viewpoints, both positive and negative, a lot of it, of  
21 course, engendered by policy statements with three other  
22 acronyms that everybody loved.

23 In the medical area, medical regulation has not  
24 engendered that kind of interest to date and that's exactly  
25 right. There have been some efforts to try and involve some

1 people. They've not been terribly successful for whatever set  
2 of reasons. If that is in fact the way the public wishes it  
3 to be, then we'll move forward with those who wish to be  
4 involved in the process.

5           What I would like to try and do though is to make  
6 sure that we have taken what steps we have available to us to  
7 make sure that if there are people who are interested, people  
8 who have some viewpoints, some ideas, things related to  
9 patient advocacy, some of the things that are not within the  
10 "traditional" -- put that in quotes -- professional societies  
11 and various kinds of professions, that we have at least gone  
12 through a careful effort to try and identify and involve them  
13 in the process.

14           If they choose not to participate, obviously I'm  
15 not going to go out with the handcuffs and drag them to the  
16 table. On the other hand, I want to make sure that we have  
17 availed ourselves of as many opportunities to get their input  
18 as possible because my experience is that the more people who  
19 are involved in the front end of the process, the better off  
20 the product is when we get to the back end and we actually try  
21 to put together a regulation.

22           CHAIRMAN SIEGEL: Thank you. Look forward to  
23 your meeting.

24           The record should show that Dr. Wagner has joined  
25 the committee. Good morning, Lou.

1           Let me add my welcome to that given by Larry and  
2 Don and good morning, everybody. As you see, we've got a  
3 fairly busy agenda. We've got a lot to cover and it'll be  
4 entertaining to see whether we can get through it in the time  
5 that's been allotted. I'd like to reiterate the need for us  
6 to try to generate consensus on the issues but welcome the  
7 opportunity for minority reports and we'll clearly identify  
8 those in the record and in the minutes when they ultimately  
9 come out after the meeting.

10           When people speak, the first time at least,  
11 identify yourself so that the transcriptionist gets your voice  
12 and we'll be able to follow the program the rest of the day.  
13 I think we can probably move on with the agenda after those  
14 few brief comments. My goal to try to make this committee  
15 operate in a nearly paperless fashion when it's not at the  
16 meetings has not worked entirely. I think we need a moment of  
17 silence for the trees. This meeting has a lot of background  
18 paper and it looks like more is coming.

19           With that, let's begin this major morning item  
20 which is the discussion of brachytherapy and where  
21 brachytherapy rule making may be headed. Trish is going to  
22 start off the discussion, give us the big picture. Then  
23 Doctors Brezovich and Williamson are going to make each brief  
24 presentations and will be available to answer questions during  
25 the course of the discussion as we wish to call on them and we

1 will try to work our way through the questions.

2           Let me just make one other comment. I'm not  
3 aware of any members of the general public who asked to  
4 address this Advisory Committee at this meeting yet, and  
5 consequently we don't have to, but as has been our desire in  
6 the past, if there are members of the general public who feel  
7 the need to contribute something to the meeting and if our  
8 agenda allows, the Chair will reserve the right to recognize  
9 those individuals.

10           Trish, go for it.

11           DR. HOLAHAN: Good morning. I'm Patricia Holahan  
12 and I'm in the Medical and Academic Section and I'm speaking  
13 to you today as the Project Manager for the brachytherapy  
14 issues. I believe everybody received a copy of a draft issues  
15 paper that we prepared in preparation for this meeting  
16 basically to give some background of some of the issues that  
17 we wish to cover. This area was discussed at the last two  
18 ACMUI meetings and what we've done is we've tried to put  
19 everything now into one place with some questions. As I  
20 mentioned, it is a draft paper and we look to making any  
21 changes that have been identified at this meeting.

22           As we've mentioned previously, NRC is currently  
23 in the process of reviewing the medical use of byproduct  
24 material for brachytherapy with regards to the adequacy of the  
25 existing regulations, standards and procedures to include the

1 guidance documents that are currently out in the public. As  
2 part of this in the last meeting, the ACMUI had recommended  
3 that NRC proceed with an expedited rule making to address some  
4 of these issues. However, with the National Academy of  
5 Science study being due at the end of this year, we have  
6 decided to hold off until that study comes in, look at that  
7 study and possibly incorporate the rule making into the major  
8 revision of Part 35.

9           However, in the mean time we are still going out  
10 and seeking comments on many of these issues to try and get  
11 some of the issues clarified and identified. We're coming  
12 here obviously to the ACMUI and then we'll be going to some of  
13 the professional societies over the next several months. Jim  
14 Smith has been working with me. He's also in the Medical and  
15 Academic Section and will also be doing a considerable amount  
16 of the work over the next few months.

17           Some of the background, too, is the NRC had  
18 recently issued a policy statement, proposed agency-wide  
19 policy statement on the use of risk assessment. As part of  
20 that, medical devices is included in that policy statement and  
21 if the policy statement becomes final, we'll be using much  
22 more of the risk analysis in terms of future rule makings and  
23 so there's been a workshop conducted last summer looking at,  
24 for example, the HDR and the gamma knife. And so that is also  
25 being considered in some of these efforts that are currently

1 ongoing.

2           What I'd like to do at this point is perhaps  
3 pause and let Doctors Brezovich and Williamson make some  
4 introductory comments. As Larry mentioned earlier, we have  
5 invited, because there are very many issues in here that are  
6 heavily physics-oriented, we invited the participation of two  
7 additional medical physicists, so we've asked them if they  
8 could make a few opening comments and then I'd like to walk  
9 through all the issues.

10           Doctor Brezovich, would you like to start? Do  
11 you need a projector or anything?

12           DR. BREZOVICH: No. The podium.

13           First of all, I would like to thank you very much  
14 for inviting me to this most important meeting. I recognize  
15 it's going to be a great responsibility and certainly a  
16 pleasure and honor. I will therefore try to do my best to  
17 give you an unfiltered view as seen through the eyes of a  
18 medical physicist who has been working for the last 20 years  
19 in the trenches of day-to-day patient care. I will only  
20 address radiation therapy. Because of the limitations,  
21 obviously I can only talk about the major issues. I have  
22 responded in writing and I will give you a copy of that.

23           My greatest concern as the current regulations of  
24 the NRC are written is that they are not recognizing the role  
25 of the medical physicist and the role it is playing and the

1 quality of delivery to the patient. Specifically, as the  
2 rules are written now, the physicist lacks the authority to do  
3 his job because individual jobs are not assigned to him  
4 through the regulatory process.

5 #2, NRC regulations do not put any specific  
6 quality requirements on the education and training of the  
7 medical physicist as they do on authorized use and on the  
8 radiation safety officer. As a result, you have unqualified  
9 people doing some very sensitive work, including, literally  
10 speaking, brain surgery if it's done with radiation.

11 As an example of what can happen if you don't  
12 have the authority to do your job, I want to point to the  
13 accident at Riverside Memorial Hospital which happened a  
14 number of years ago. The root cause of the incident was that  
15 a medical physicist, the work of a medical physicist was  
16 interfered with by the authorized user. Specifically, if you  
17 look at the report, the authorized user requested the medical  
18 physicist use linear paper to graph the output of the  
19 exponentially decaying cobalt source. The confusion which  
20 arose due to this unorthodox way of determining the output  
21 resulted in an ever increasing overdose to patients which  
22 resulted in up to 40 percent of over-exposure.

23 NRC's response to that was to put a patch on the  
24 problem, namely to require that the output of radiation units  
25 be periodically checked. That may have solved this one

1 problem, but it did not eliminate the root of the problem. It  
2 eliminated the symptoms but not the root. Even now medical  
3 physicists have difficulty practicing their profession because  
4 they do not have specific authorization for certain  
5 procedures. Two examples come to my mind.

6           One of them was at night. A medical physicist  
7 was called by the nursing staff to a hospital because it  
8 seemed that the radium ribbons had shifted. The physicist  
9 came to the hospital, verified that this was the case. She  
10 notified the authorized user who felt that they probably  
11 didn't shift and did not come to the hospital and the next  
12 morning it was verified that they had shifted. So the medical  
13 physicist, strictly speaking, would have had to violate  
14 current rules in order to prevent this misadministration from  
15 happening.

16           Another case which comes to mind is a medical  
17 physicist working out the procedures for brain treatments with  
18 iodine sources found that it would be very desirable to do a  
19 dry run before you implant the implants into the patient. By  
20 dry run, I mean treat a plastic phantom head. The brain  
21 surgeon objected to that, feeling that it was unnecessary  
22 waste of time. The medical physicist insisted on it but it  
23 put him in an awkward position. He felt that he was maybe  
24 even endangering his job by insisting on it, again because NRC  
25 procedures do not authorize him to make any specific request.

1 During the dry run, three of the four implants would have  
2 missed the tumor completely because the physicist tracked it  
3 down to there were two different types of frames being used.

4           So again, what the NRC regulations needs to do is  
5 be specific on what the medical physicist can do and should do  
6 so that he can do his job right.

7           The other issue is qualifications. Right now as  
8 the rules are written, it appears as if the physicist's work  
9 was a black and white issue. The physicist does his work.  
10 Right. Everything comes out okay. Or if the physicist does a  
11 poor job, there's a misadministration, time for more rules or  
12 some fines. This is not how medical physics is practiced.  
13 The outcome of radiation treatment depends in a graduated way  
14 on the performance of the medical physicist.

15           For example, in the brain treatment with  
16 radioactive sources, it is the medical physicist's ability to  
17 come up with an implant configuration which does not require  
18 an undue number of bore burr holes which the brain surgeon  
19 doesn't want to do and the ability of the physicist to come  
20 with the configuration which encloses the tumor with the  
21 proper isodose curve. If he doesn't do it, either part of the  
22 tumor sticks out of the radiation field and doesn't get  
23 treated or undesirable structures do get treated.

24           When you look at isodose curves of an isodose  
25 plan which has been prepared by the physicist and you see that

1 the 5,000 rads curves, just as an example, nicely includes a  
2 tumor, most of us are satisfied. In reality, you are kidding  
3 yourself. The treatment plan in computers use algorithms  
4 which are just not that accurate. We are not that  
5 sophisticated yet. So right there you have an ingrained  
6 inaccuracy of several percent.

7           By the time the medical physicist has prepared  
8 or in order to prepare that 5,000 dose line, there were at  
9 least a dozen steps starting with measuring the output of the  
10 radiation unit, measuring beam profiles, depth dose curves,  
11 entering those data into the treatment plan and computer. So  
12 if in each one of those many, many steps there's an inaccuracy  
13 of only one percent which certainly wouldn't cause any major  
14 concern, the cumulative error can be such that you are more  
15 than 10 percent off. So unless you have superb medical  
16 physics services you may end up having a misadministration in  
17 each and every one of your treatments without knowing it.

18           So, therefore, the misadministration which is so  
19 often quoted in NRC regulations loses totally its meaning  
20 unless you have a physicist who has the ability of measuring  
21 the radiation and computing it with this kind of accuracy. To  
22 do that requires superb performance.

23           Therefore, I would highly recommend that NRC  
24 recognizes the importance of the physicist and make specific  
25 requirements for their training equivalent to those of the

1 authorized user that is available. Medical physicists now  
2 have the ability of getting qualified with board certification  
3 by the same specialty board which qualifies the authorized  
4 user, so why not do it? I'm not asking you for anything  
5 special to do this because the American Board of Medical  
6 Specialists lists physicists who are qualified by ABR  
7 certification as medical specialists. They are listed in the  
8 same book in which neurosurgeon, urologists and radiation  
9 oncologist are being recognized. I'm not asking for anything  
10 special.

11           Then finally I want to point out why is it so  
12 important to address this issue right? With the increasing  
13 use of HMOs, you can expect many radiology oncology  
14 departments to be reorganized. It happens all the time. It  
15 was exactly the reorganization of a medical physics procedure  
16 at Riverside which led to the death or injury of 400 people,  
17 so unless NRC intervenes and makes specific duties for  
18 physicists' specific qualifications, they're going to set the  
19 stage for similar incidents to happen many, many times as the  
20 reorganization continues.

21           Thank you.

22           CHAIRMAN SIEGEL: Any questions for Doctor  
23 Brezovich before he leaves right now? If not, we'll catch you  
24 with questions during the discussion.

25           MEMBER NELP: I have a question. Of the people

1 working in the field of medical physics, medical physicists as  
2 you describe, what fraction of them are qualified by the  
3 standards you quoted and what fraction would not be qualified?

4 DR. BREZOVICH: I would say that right now  
5 there's enough qualified physicists available to cover all the  
6 nation, what needs to be done. I would say that probably two  
7 thirds of them, the ones who are in direct practice. That  
8 would be my guess.

9 MEMBER NELP: Most of them are board certified?

10 DR. BREZOVICH: I would say.

11 DR. WILLIAMSON: Jeff Williamson. I think the  
12 market penetration of either American Board of Radiology  
13 certification or American Board of Medical Physics -- there  
14 are two boards in radiation oncology physics -- I say it's  
15 somewhere between half and two thirds.

16 DR. BREZOVICH: Okay.

17 MR. CAMPER: One of the things we're going to be  
18 exploring, Doctor Brezovich, this morning is this question of  
19 the training and experience and qualifications of the  
20 physicist. We have particular concerns about HDR use and in  
21 our regulations, as you know, we currently have qualifications  
22 for teletherapy physicists and we've made some adjustments in  
23 guidance space as it relates to physicists involved with HDR.  
24 So when we talk about that, your perceptions of what is the  
25 appropriate level of training and the types of training

1 specifically.

2           One thing I would ask you to bear in mind is that  
3 in our regulations we do not and can not limit qualifications  
4 to only board certifications. There has to be an or pathway,  
5 and that's because of some constraint of trade considerations.  
6 So it's very important to us. The board certifications, of  
7 course, for us carry a specter of success and accomplishment  
8 and achievement obviously. By the same token, there are other  
9 qualified individuals, well-trained individuals who don't, for  
10 whatever reason, achieve board certification.

11           And so knowing in particular, are the boards  
12 currently addressing the right kinds of things in terms of  
13 HDR? Do you feel that board certification today in the realm  
14 of HDR is an adequate level of training and experience and  
15 documentation of such? And for the or pathway, what types of  
16 things might we specifically focus upon? So when Trish  
17 Holahan goes through that part of the talk, your perceptions  
18 on that would be extremely useful to us.

19           DR. BREZOVICH: Okay. First of all, I want to  
20 point out that the ABR is not the only one. American Board of  
21 Medical Physics would be another one. Also we would certainly  
22 be in favor of recognizing the equivalent Canadian boards.  
23 That is not different at all from what NRC is right now doing  
24 for the authorized user. The authorized user specifically  
25 lists the number but I would certainly be all in favor of

1 doing that for the physicists.

2           As far as HDR is concerned, usually my experience  
3 has been when a really qualified person has been in work for  
4 many, many years. When we get the job like I had, okay, we  
5 are going to do HDR half a year from now. Most of us know it  
6 is a big involvement, a big step. The first thing, as soon as  
7 I knew what would happen, I spent days on the phone trying to  
8 talk to my peers and qualify myself. I evaluated individual  
9 units. I went to places. So basically a person who knows the  
10 responsibility you have. I know that every one of those  
11 patients' life depends on what I do, so I think if you have a  
12 person with this -- and most of them, I would say, do it.  
13 They will on their own do whatever it takes to do the job  
14 right. I would certainly not object that NRC put specific  
15 requirements like that you get shipped to the company where  
16 you start to look at how they are doing it and try to  
17 understand. I would be very much in favor of it and I think  
18 it would help because again, with the HMOs money may be a  
19 problem and if it's required that you get training from the  
20 factory, I think it would be great.

21           CHAIRMAN SIEGEL: Jeff.

22           DR. WILLIAMSON: Well, I would like to thank the  
23 people here at NRC for inviting me here to address you about  
24 the very important issues that have been put before us. As  
25 you can see, I am going to make some critical comments about

1 current NRC regulatory and enforcement practices. I don't  
2 wish this to be construed to imply that I'm opposed to the  
3 involvement of NRC in directing the improvement, in motivating  
4 improvements of quality care in our field. I'm really not at  
5 all. As Doctor Brezovich has very eloquently described, the  
6 whole focus of our profession as medical physicists is to,  
7 with the resources at hand, maximize the quality and efficacy  
8 of the treatment.

9           Well, what I'd like to do is share what are some  
10 widely perceived problems with the current approach that NRC  
11 has taken and then present some positive suggestions. So I'm  
12 going to be a little more general.

13           I think one concern that a lot of people is that  
14 NRC rule making attempts, rule making understood very  
15 generally to include the licensing criteria and the whole  
16 schmear, seems to be catastrophe-drive. That is, possible  
17 error pathways come to the attention of the rule makers  
18 through basically a series of low probability, random events,  
19 occurrences which I believe themselves are defined according  
20 to relatively arbitrary criteria so you're not getting sort of  
21 a balanced view of what the endpoints of true quality  
22 assurance programs are if that's all you look at.

23           Then relatively rigid and inflexible rules are  
24 made by individuals who, by education and lack of clinical  
25 experience, are really not qualified to do. So, as a result,

1 we have -- I'll show on the next slide -- sort of no balance,  
2 no sort of consideration for the relative probability of these  
3 events, their relative importance compared to other things we  
4 have to be concerned with in order to guarantee adequate  
5 treatment to the patient.

6           Finally, this is coupled with an adversarial and  
7 punitive enforcement policy that basically focuses again on  
8 isolated deficiencies and errors, more often than not  
9 paperwork and documentation errors that have really, in a  
10 sense, nothing to do with the adequacy of treatment or the  
11 program. There doesn't seem to be much emphasis on the  
12 overall quality of the institution's program for guaranteeing  
13 good quality therapy.

14           So I guess the question is, is this helping the  
15 quality of treatment or is it hurting it? I would submit that  
16 it is in some ways doing a fair amount of harm by basically  
17 distorting the whole process. I think we're in a situation  
18 now where most institutions under NRC rule have to have two  
19 quality assurance programs.

20           First of all, there's the real quality assurance  
21 program that's developed by the professionals involved in  
22 order to guarantee not only protection of the patient from  
23 catastrophic errors but overall quality of treatment, and it's  
24 looked at as a much broader perspective. It's a coherent  
25 system in the ideal situation that's thought out

1   perspectively, looking not only at the errors that have  
2   happened, i.e., the horses that have escaped from the barn  
3   already, but sort of reviewing the whole system of treatment  
4   planning and delivery in an effort to identify the critical  
5   decision points and build in checks to guarantee or optimize  
6   success at least.

7                   So we look at things, for example, the adequacy  
8   of the treatment. Have we used the best applicator of those  
9   available to realize the clinician's intent. In addition to  
10  making sure the prescribed dwell positions in HDR are  
11  accurately delivered, we asked the question, gee, are those  
12  dwell positions in the right place? Are they consistent with  
13  all available imaging information you have in order to  
14  identify the location of the tumor? So this is how we work.

15                   The for show system that NRC has  
16  developed through, I think, what is a random, rather haphazard  
17  way of looking at the process seems to be motivated by  
18  exaggerated concerns like the one out of 100,000 chance that  
19  the tipica source is going to detach and stay in the patient,  
20  that someone in the middle of the night is going to come and  
21  steal the remote afterloader, that some thoughtless technician  
22  or therapist is going to treat the patient simultaneously with  
23  the LINAC and the high dose rate. Certainly we don't want  
24  these things to happen but they really detract from our  
25  attention and focus on the things that are important. It's

1 simply unbalanced.

2           I'll point out some other things. One very  
3 important issue that seems to be neglected is the staffing and  
4 the credentialing of that staff. Now just is there a  
5 physicist there but given overall the duties of that physicist  
6 in the institution, is there enough physicist FTE to take care  
7 of technologically sophisticated modalities such as HDR?

8           What are some positive things that could be done?

9 I'm very pleased to hear that you're looking at the whole  
10 process with an attempt to try and come up with something  
11 that's more realistic. Well, as Doctor Brezovich has talked  
12 about, recognizing, I think, the role of the radiation  
13 oncology physicist is a very good start. He very eloquently  
14 explained what our role is.

15           I'd like to point out one other area that we're  
16 actively involved in as a national community or professional  
17 community and that is development of professional standards of  
18 technical practice through groups such as the AAPM, ACR,  
19 American Brachytherapy Society, ASTRO and NCRP even has some  
20 relationship. These are groups of experts who have both the  
21 technical background and enough involvement with the sort of  
22 clinical problems that I think we're in a very good position  
23 to try and define a coherent, broad-based system that looks at  
24 all of the endpoints necessary to assure quality, not simply  
25 the sort of arbitrarily defined catastrophic ones NRC has

1 traditionally looked at.

2           I'll mention one other thing. I think probably  
3 the single most helpful thing you could do to improve  
4 radiation oncology technical quality of practice would be to  
5 look into the issue of staffing guidelines. Number of  
6 physicists related to patient load, number of treatment units  
7 in the institution, and their sophistication. I think  
8 compared to other developed countries in the world this is an  
9 area where implementation of standards is highly variable and  
10 in some cases so bad that it wouldn't even be tolerated in  
11 many third world countries the way, in the worst cases,  
12 therapy has been practiced in the last 10 years.

13           I just show you some of the practice standards  
14 that AAPM has recently issued, other ones that we're involved  
15 with which the last two I'll bring to your attention.  
16 Brachytherapy code of practice and HDR safety are going to  
17 basically generate very detailed QA protocol recommendations.  
18 So I'd like to issue, just not only personally but in behalf  
19 of my profession, an invitation for NRC to participate in the  
20 development of these standards with the community instead of  
21 going it alone and sort of using the catastrophe-driven  
22 approach that seems to have characterized past behavior.

23           I'd also suggest reviewing enforcement  
24 strategies. As I say, right now I think institutions with  
25 well-functioning quality assurance programs and high volume of

1 patients that detect the errors are basically singled out for  
2 punishment for these isolated failures despite having an  
3 overall good quality assurance program. I don't think I have  
4 time to go into examples.

5 I'd suggest rethinking this strategy, not  
6 punishing isolated compliance failures, but rating the  
7 licensee on overall program quality, staffing levels and  
8 qualification, whether they have in place procedures to  
9 implement the standards of practice as developed by groups  
10 such as AAPM and ACR and then an overall score to sort of rate  
11 the compliance of the institution in implementing these  
12 programs. I think also a little flexibility in accepting  
13 practices that may appear different but lead to basically the  
14 same end would be well-advised.

15 Finally, I'd suggest looking at the reporting  
16 criteria that you use for defining catastrophes which is the  
17 input of the current rule making system. I'd say with regard  
18 to administration there are a couple of approaches that could  
19 be taken. I would recommend that you change the meaning of  
20 the concept from serious technical error that may have some  
21 potential negative consequences to the patient to a serious  
22 technical error which has a well-defined non-zero probability  
23 of having negative consequences to the patient in terms of  
24 increased cost of treatment, complications or increased  
25 recurrence rate.

1           I think certainly the misadministrations that  
2 have been alleged in our institution, none of them has  
3 resulted in any kind of patient injury or even epidemiological  
4 risk really. So I'd suggest if you're going to have a  
5 criterion that involves some implications for the physician-  
6 patient relationship, define it more realistically.

7           A second thing you could do if you are interested  
8 in technical errors for their sake as indicators of possible  
9 inadequacies of the program, then make a criterion which is  
10 purely technical to identify those errors that you'd like to  
11 see without interfering or having implications for the  
12 clinical management of the patient. So I'd suggest really  
13 taking a good look at that.

14           In fact, a detailed proposal has been submitted  
15 to you, which I was involved in drafting, by the Radiation  
16 Committee of the AAPM and a similar proposal, I believe,  
17 through ASTRO and ACR.

18           I'd like to thank you for giving me an  
19 opportunity to give some input into the process.

20           CHAIRMAN SIEGEL: Thanks, Jeff.

21           Larry, do you have a question?

22           MR. CAMPER: Thank you, Doctor Williamson. You  
23 made a lot of very interesting comments and we thank you for  
24 those. Amongst the things you said, although many of them  
25 were important, I was struck by one and if I were in the

1 regulating community, I would be concerned about this as  
2 well. It's this question of the qualifications of individuals  
3 who create the regulations that you have to live with on a  
4 day-to-day basis. I guess what I really want to do is take a  
5 moment or two to address that, not so much to defend the NRC  
6 but more to elevate your level of comfort because again, I  
7 think it's a genuine concern that those who regulate us have  
8 some idea of what they're doing.

9           On our staff we do have a number of individuals,  
10 graduate level physicists who, in their careers, have  
11 practiced in the therapy arena, but we do recognize, of  
12 course, that the world of regulation on a day-to-day basis is  
13 not the same as being in the hospital clinical environment  
14 dealing with patients, so it's important, it's crucial that we  
15 get out and get the kind of interaction that you're talking  
16 about.

17           What I want you to be aware of -- I don't know if  
18 you are or not -- in addition to this committee, we have  
19 several meetings, participations in upcoming professional  
20 society meetings which we intend to take the very things we're  
21 going to discuss with the Committee today and solicit input  
22 from the practitioners and I'm very happy to say that recently  
23 we were invited by the AAPN to participate in a task group  
24 that's been created to develop standards, industry standards,  
25 particularly with regard to HDR. I think that's a perfect

1 example of the kind of thing that you're getting at.

2 I said before on record and I would only  
3 reiterate again that the best that can happen from our  
4 perspective is that industry would develop standards. We  
5 could work with you to do that and then embrace those  
6 standards in our regulation. That is the best way to go. We  
7 don't want to do it on our own. We certainly don't want to do  
8 it in the absence of participation by you, the practitioners.  
9 So I hope that, in sharing these comments with you, it  
10 elevates your comfort level a bit but we are sensitive to your  
11 concern.

12 DR. WILLIAMSON: Well, I certainly didn't mean to  
13 impute the educational credentials of the professional NRC  
14 staff. I'm well aware that, more than most federal agencies,  
15 graduate degrees in health physics, reactor engineering and  
16 all kinds of very complicated technical specialties are well-  
17 represented.

18 I do want to point out though that there is a  
19 sort of a critical additional potential that a medical  
20 physicist has and that is basically clinical experience. It's  
21 sort of like expecting sort of a general practitioner or  
22 neurosurgeon to be able to write detailed practice standards  
23 for radiation oncology clinical practice without having gone  
24 through a residency. It's sort of hard to know what all the  
25 issues are. Someone can tell you what all the issues are but

1 it's sort of difficult to get across. What is sort of the  
2 balance and relative importance of the different issues? How  
3 in a really model program from our perspective, maybe not  
4 yours, do we balance the concerns for non-catastrophic  
5 maintenance of patient quality versus focusing on  
6 catastrophic? These are sort of big questions because there  
7 aren't infinite resources to staff all of these things. We  
8 can't focus everything on avoidance of low probability  
9 catastrophic events. It's that kind of a perspective that  
10 clinical practice can give you.

11 CHAIRMAN SIEGEL: Dan.

12 MEMBER FLYNN: I had a brief question, since you  
13 have that slide up. Since you've highlighted it in yellow,  
14 misadministration might be redefined as greater than 20  
15 percent of the total dose or a total being emphasized. With  
16 cobalt telepathy going by the wayside-- by the year 2000,  
17 there'll probably be fewer than 100 machines. We're closer to  
18 2,500 machines or more of linear accelerators that the NRC  
19 doesn't regulate. I want to understand your intent. Do you  
20 intend to say that the NRC should be taking into account  
21 errors generated from linear accelerators which they do not  
22 regulate when a misadministration is reported for  
23 brachytherapy when a patient is being treated by combined  
24 external beam with a linear accelerator and brachytherapy? Is  
25 that what your intent is?

1 DR. WILLIAMSON: Well, I think so. I mean you've  
2 identified a lot of possible implications. The idea here  
3 developed in the ASTRO Physics Committee and in the AAPM is to  
4 try and come up with a criterion that captures more closely  
5 errors in dose delivery that have a significant chance of  
6 really having some implications for outcome, clinical outcome  
7 in terms of the treatment.

8 The way we proceed is one has to look at the  
9 entire course of therapy and that a 20 percent or 30 percent  
10 error in a single fraction, provided it's caught in time and  
11 adjusted or compensated for by adjusting the prescription for  
12 subsequent treatments, be they other brachytherapy procedures  
13 or LINAC-based external beam therapy, there may not be a  
14 patient injury, so it was an attempt to come up with sort of a  
15 more realistic definition that would try and capture those  
16 events where there is sort of a serious interest or need to  
17 involve the patient and perhaps have regulatory agencies  
18 oversee that that has been done.

19 So yes, that was the intent was to sort of  
20 include all relevant therapy in the determination of whether  
21 the event is a misadministration.

22 CHAIRMAN SIEGEL: Just in addition to that, I  
23 think as we work through this later this morning, we should  
24 continue to try to focus on the issue of what events the NRC  
25 needs to be aware of because they wish to evaluate systematic

1 problems out there in the world as technical problems and try  
2 to figure out ways to help the community do a better job  
3 versus what events the NRC needs to deal with in its perceived  
4 responsibility to make sure patients are being adequately  
5 protected and then result in the sort of criminal outcome  
6 events that sometimes are associated with misadministrations.  
7 We've talked before about the disconnect between that need to  
8 know, and which we all completely agree with, and the fact  
9 that sometimes there's punitive outcomes that simply don't  
10 make any sense given the fact that there's been no injury  
11 involved. So we should keep that in mind.

12           Another sort of general comment because I'm  
13 hearing something both from Jeff and from Doctor Brezovich  
14 that I want us as a committee to keep in mind as we talk  
15 specifics. One is to what extent we want to go along with  
16 recommending that the role of the medical physicist as part of  
17 the team be codified. Do we want to protect medical  
18 physicists' jobs per se by way of NRC regulations? That may  
19 be good. It may not be. But I think in general this  
20 committee, at least over the last several years, has been  
21 urging the NRC to back off from protecting the roles of  
22 certain medical specialists by way of regulations and letting  
23 the market place do a better job of filtering that out by  
24 itself and letting professional standards work out it. I  
25 think we want to keep that in mind as we talk about the

1 medical physicist role.

2 I was even a little bit more troubled by the  
3 staffing issue and I was curious to know. If you push  
4 staffing as part of a federal regulation, there's two things  
5 that can happen. One is you can get the staff. The other is  
6 you can just drop the brachytherapy program as you look at it  
7 and say, Gee, in order to do this it's going to cost too much.  
8 Let's just forget it and we won't offer the service.

9 So medicine is re-engineering right now far later  
10 than occurred in most of the rest of corporate America. If we  
11 get too much federal regulation while re-engineering is going  
12 on, we may find ourselves out of work and not necessarily  
13 better staffed.

14 Doctor Wagner.

15 MEMBER WAGNER: I just wanted to commend Ivan and  
16 Jeff for some excellent comments this morning and I'd like to  
17 request Jeff, could you possibly get at least me a copy of  
18 your slides, please?

19 CHAIRMAN SIEGEL: Did you bring paper copy with  
20 you?

21 DR. WILLIAMSON: Yes, I brought a paper copy.

22 CHAIRMAN SIEGEL: Maybe we can get those xeroxed.  
23 If you give them to Torre, we can get copies made sometime  
24 later for distribution.

25 Judy, do you have a comment?

1           MEMBER STITT: Yes, I did. This is Judith Stitt.  
2 It's a response to the last comment that you made. Doctor  
3 Williamson and I are both part of Task Force 56, the  
4 brachytherapy code of practice and, in fact, the introduction  
5 to 56 has a large section that deals with staffing and sort of  
6 the pluses and the minuses. I don't think this needs to be  
7 something that's regulated through the federal governments.  
8 The hospitals, their administration and the clinical practice  
9 groups are making some very straightforward comments about  
10 what you need to consider if you're trying to develop and  
11 maintain a program.

12           CHAIRMAN SIEGEL: And that's fine. Once it  
13 becomes part of a federal regulation though, then you've got  
14 something that constrains you because the federal regulations  
15 can not evolve as rapidly as we re-engineer and figure out  
16 more clever ways to solve the problem with fewer resources.

17           MEMBER STITT: That's what I was trying to say.

18           CHAIRMAN SIEGEL: Good.

19           John, you want to comment on that?

20           MEMBER GRAHAM: One brief comment. Back to some  
21 of the earlier remarks that even alluded to HMO development  
22 and re-engineering and health care and the potential negative  
23 impact that that has. There's simply in all of the management  
24 literature and most of the overall tracking of quality of care  
25 and mortality and morbidity data is not an indication that as

1 we become more efficient, as we identify ways to maximize the  
2 use of those trained staff, that patient care is being  
3 damaged. If anything, it would appear to be a corollary that  
4 the quality of care goes up as the cost comes down and as we  
5 work together in a team to identify that best patient care.

6           So the whole concept of trying to regulate at a  
7 federal level staffing requirements in a field that is  
8 changing as rapidly as this one just doesn't seem to be  
9 consistent with the way that medicine in the United States has  
10 developed and in a system where I think the rest of the world  
11 still recognizes that it is the best in the world.

12           CHAIRMAN SIEGEL: Good.

13           Doctor Brezovich, you had a comment?

14           DR. BREZOVICH: Yes. I just wanted to comment on  
15 your comments and concern maybe that the physicists are trying  
16 to protect their turf. Well, there's always this possibility  
17 when you request certain standards but I do want to point out  
18 that NRC at the present time is requiring the authorized user  
19 to meet certain standards. So you could say we already are  
20 protecting the turf, namely the radiation oncologist.

21           In that regard, I want to point out the chain is  
22 as strong as its weakest link. So what good does it do to  
23 have the most accurate dose prescription if we can't deliver,  
24 if the patient won't benefit from it? If you consider the  
25 possibility of somewhat lowering the standards, at least

1 easing up on them, for financial reasons which I totally  
2 agree, then I think we should use the material which we have  
3 to make the chain, to make each link of equal strength. So if  
4 you lower the standards on the physicist, maybe we should also  
5 not be quite as stringent on the radiation oncologist and  
6 thereby get the best possible outcome for the given amount of  
7 money.

8           In that regard, I want to point out that I think  
9 in Sweden -- I have not yet fully researched it-- the gamma  
10 knife in Sweden I think is used by neurosurgeon without the  
11 benefit of radiation oncology, so that would be down your  
12 line.

13           CHAIRMAN SIEGEL: Jeff.

14           DR. WILLIAMSON: I would like to make a comment,  
15 too, about the suggestion that there's an issue of self  
16 interest. Of course there is, but I would like to point out,  
17 we did not invite NRC to come in and regulate quality of  
18 radiation therapy delivery. That's their sort of announced  
19 goal. I simply want to support what Doctor Brezovich says.  
20 You can't make a sailboat without a sail. Technologically  
21 sophisticated therapy involving stereotactic radiation and HDR  
22 therapy simply goes beyond the level of technical expertise  
23 shared by radiation therapists and technologists and radiation  
24 oncologist in this kind of therapy. If it is either going to  
25 be done safely, basically, it's sort of a critical and

1 essential role of the medical physicist, so you can't have  
2 quality therapy, I think, at least in this domain, without  
3 some involvement of the physicist.

4           CHAIRMAN SIEGEL: Jeff, you don't have to  
5 convince me. I completely agree with you and I'm only  
6 reflecting on my own experience related to the way  
7 credentialing is done for physicians and the notion that  
8 simply codifying it in the federal regulations is just a nice  
9 comfortable way to do it and it'll protect the jobs and it'll  
10 make sure everything is okay isn't necessarily the only way to  
11 get where you want to be.

12           I think if the radiation oncologist and the  
13 medical physicists of the world agreed that this simply had to  
14 be a team effort and that that was the right way to do it --  
15 and I suspect the people around the table pretty much agree  
16 with that -- then there may not be a need for it to be rigidly  
17 defined in federal regulations that this is the only way to  
18 skin the cat and I just want us to keep that in mind as we  
19 work through the questions.

20           Trish.

21           DR. HOLAHAN: Well, I'm going to try and talk  
22 while I'm flipping slides. Jim Smith -- I don't know if you  
23 all know him -- is going to be helping me, as well.

24           A couple of comments that I would like to follow  
25 up on based on comments that both Doctors Brezovich and

1 Williamson made is that the use of industry standards is  
2 something that we're very interested in and we addressed this  
3 at the last meeting is that we are trying to determine the  
4 availability of industry standards that do exist. I know the  
5 AAPM, ACR and ASTRO all do have a number of different  
6 documents out currently.

7         Some of the other issues include the role of the medical  
8 physicist and things like that. We're going to sort of walk  
9 through some of these.

10                 One other point I would like to make is that in  
11 the issues paper and as I'm talking there may be some  
12 discussion of the policy and guidance directive for licensing  
13 of remote afterload loaders as having requirements in it.  
14 They are not requirements as regulations but through the  
15 licensing process there are things that license applicants are  
16 being asked to commit to and so when I use the term  
17 requirements, I don't mean in terms of a regulation and I just  
18 wanted to make sure I clarified that in case I did use that  
19 term. But it's more a recommendation and licensees can  
20 propose an alternative to what's in the guidance.

21                 The way that I've outlined this is I've broken  
22 the paper down into three different topics. One that applies  
23 to all brachytherapy, then the next topic is remote  
24 afterloading brachytherapy specifically and the third topic is  
25 manual brachytherapy. Now the only issue that I have

1 specifically under manual brachytherapy is prostate implants  
2 and I think Doctor Flynn will address that more and I'll hold  
3 those questions back until perhaps his discussion. I've  
4 already talked with him about that.

5 (Slide change)

6 DR. HOLAHAN: Because of the number of issues,  
7 we're going to try and do this with two projectors. I hope  
8 that I don't get too confusing.

9 The first issue, and we discussed this briefly  
10 again last November, is the use of sources for brachytherapy.  
11 Currently there is very specific listings in 35-400 for  
12 specific isotopes for how they may be used and the form in  
13 which they may be used. What NRC has proposed doing is  
14 deleting the specific listing and making it a more general  
15 requirement because, in addition to having these requirements  
16 in the regulation, all sources must have a sealed source and  
17 device review and, therefore, the particular use is listed in  
18 the source certification sheet.

19 So NRC is considering removing the listing and  
20 adding basically a general requirement that states either  
21 there must be a certificate of registration issued by NRC or  
22 an agreement state and be manufactured and distributed pursuant  
23 to Part 32 regulations for manufacture and distribution of  
24 sources. The question is -- again, I recognize this was  
25 discussed earlier at the last meeting

1 -- is should NRC pursue this approach in terms of the listing  
2 of sources for brachytherapy uses?

3 CHAIRMAN SIEGEL: Can I ask a question, something  
4 that struck me as I was reading the document. When a  
5 certificate of registration is issued, does that certificate  
6 indicate the specific use of the source?

7 MEMBER STITT: Yes, it does. It indicates  
8 interstitial, intraluminal. It does specify the specific use.  
9 That's basically what the testing is done for.

10 CHAIRMAN SIEGEL: So the restriction to use a  
11 particular source for a particular application would be by way  
12 of its labeling rather than by way of Part 35.

13 MEMBER STITT: Correct. It would be whatever is  
14 listed in the source certification. Currently now if a  
15 manufacturer goes in and requests a change to their source  
16 certification sheet for an additional use, a licensee would  
17 then have to come in and ask for an exemption to 35-400 if  
18 it's not stated in that or it would require a change in the  
19 regulations.

20 CHAIRMAN SIEGEL: Okay. So the process would  
21 become more efficient by doing that. A manufacturer can  
22 change the package label, if you will, the package insert for  
23 a source -- I'm thinking in FDA terminology right now  
24 -- without you having to change the language in Part 35 to  
25 allow licensees to be able to do that. They wouldn't need

1 licensing amendments and you wouldn't have to change Part 35.  
2 But the restriction to not use a source for an off-label  
3 indication would still be there. Is that correct?

4 MEMBER STITT: Yes. They could not use it for a  
5 use that is not specified.

6 CHAIRMAN SIEGEL: Since I don't practice  
7 brachytherapy, I just want to make sure. Judy and Dan, is  
8 that the way it ought to be?

9 MEMBER FLYNN: I believe so. I don't think you  
10 should use a strontium applicator for skin cancer as was done  
11 in Pennsylvania. I think that's reasonable.

12 MEMBER STITT: I think it makes the clinician's  
13 life easier. I think it makes your life easier and, as an  
14 institution who would be reviewing the sources and their uses,  
15 you would try to make it as broad as -- you might be using  
16 something for interstitial and might later want to be using it  
17 for intraluminal and as long as that's a reasonable  
18 indication, it's how you'd prepare the paperwork for you. I  
19 think it makes a lot of sense. It simplifies many things. So  
20 my answer to one and two was yes and yes.

21 CHAIRMAN SIEGEL: But if the source is only  
22 certified for interstitial and you want to use it for  
23 intraluminal, then you still won't be able to do it unless you  
24 do a license amendment or unless the manufacturer does the  
25 paperwork for you. What I'm concerned about is the potential

1 for an orphan application of a source that you want to do in a  
2 relative hurry because you've got a patient and you see a  
3 perceived need. You don't have time to file a license  
4 amendment and you can't recruit a manufacturer to get the  
5 source recertified for that purpose for you. It doesn't make  
6 any difference what I do for a living whether or not you have  
7 the same flexibility with sources that I have with drugs, and  
8 that you have with drugs, but I'm just wondering whether the  
9 practice warrants, practice needs warrant that level of  
10 flexibility.

11 MEMBER STITT: Let me ask Jeff. Is that a highly  
12 unlikely circumstance? Our sources are a little different  
13 than yours are obviously.

14 DR. WILLIAMSON: Yes. I think our categories of  
15 use are very general. I mean interstitial covers a vast range  
16 of procedures. I guess I would like to ask. Under the  
17 current procedure, if we contemplate a use, for example,  
18 that's not listed in the original device registration -- say,  
19 for example, some cesium tube the vendor forgot to say, you  
20 can do quality assurance with it or you can do animal  
21 experiments with it -- and we wanted to do that. Could we do  
22 that under the current process and would the new process make  
23 it any easier if we can't?

24 DR. HOLAHAN: Well, first of all, you're at a  
25 broad scope facility and so you have a certain amount more

1 flexibility than a specific licensee. Now, in terms of the  
2 Part 35, that's only for human use. So if you're looking for  
3 non-human use --

4 MR. CAMPER: Let me add to that. Currently, a  
5 licensee or a manufacturer can seek approval of a source for  
6 some purpose other than which it is currently registered.  
7 There's criteria in Part 32 that has to be met. If the  
8 licensee can satisfy that criteria, they can pursue the  
9 approval process currently. Interestingly enough, the reason  
10 why we want to change the language is there is a perception  
11 that the NRC is the entity that's being restricted in terms of  
12 denying the capacity to use these devices for other purposes  
13 than, say, for example, interstitial or what have you for a  
14 particular source. In fact, as Barry has pointed out, it's  
15 what the source cert says.

16 So we believe it's more clear to the industry  
17 from our perspective as regulators, you may use the device for  
18 whatever purpose has been approved and it's irrespective of  
19 whether it was obtained by a manufacturer or by a licensee who  
20 submitted the appropriate material to satisfy the requirements  
21 of Part 32. Interestingly enough, over the past few years,  
22 we've had a few requests that have come in from licensees to  
23 use certain things and in almost every case in our  
24 deliberations with them, we found that they were unable to get  
25 the manufacturer to pursue the adjustment. I don't know if

1 that's just purely cost consideration, volume or what have  
2 you, and it poses a problem for them. But yes, a channel does  
3 exist.

4 DR. WILLIAMSON: I think this is reducing a two  
5 step process, revision of the device registration, plus a  
6 license amendment on the part of the user to a one step  
7 process, mainly the revision of the device registration, and  
8 that's not changing.

9 CHAIRMAN SIEGEL: And I'm still asking one more  
10 time, I just want to make sure we're clear. Does this  
11 committee think it should be a no step process, namely that an  
12 unapproved use of a registered device should be something that  
13 authorized users and medical physicists should be able to do  
14 on their own recognizance? I'm not saying that I want that.  
15 I'm just wanting to make sure we've addressed the question.  
16 Dan and Judy.

17 MEMBER FLYNN: I think you can keep it broad.  
18 Interstitial in some sources could be interstitial and  
19 intraluminal.

20 CHAIRMAN SIEGEL: Right, they could be but they  
21 only will be if the manufacturer took the time to register  
22 them that way. Registering, I presume you would require some  
23 data for registering a source for a purpose. You just don't  
24 do it because you write the words down. And that means that  
25 the manufacturer has to spend the money to register the source

1 and there's always the risk that there would be some orphan  
2 application for a source that a manufacturer will say, the  
3 market is too small for me to expend the effort to get that  
4 documentation into the NRC, therefore, I'm simply going to  
5 leave it out of the label and that means that you won't be  
6 able to use that source for that purpose unless you gather the  
7 data and you file a license amendment. And it's okay if it's  
8 a non-issue or if it's not going to come up.

9 I can tell you, if that were the way drugs were  
10 handled, it would be a disaster and the FDA, at least until  
11 very recently, has quite clearly recognized that the package  
12 insert does not limit the physician's ability to use a drug  
13 for a purpose that isn't in that insert. And the only  
14 question I'm asking is whether that's appropriate in this  
15 practice, whether sources should be limited to interstitial,  
16 intercavitary, intraluminal, pick your term, or whether you  
17 want it broader than that.

18 MEMBER NELP: Do you practice that way? Do you  
19 sort of have impromptu revisions of treatment plans where you  
20 think, in this case, I would use this source for this because  
21 it might be more beneficial in this particular case?

22 MEMBER STITT: The run of the mill brachytherapy  
23 is really quite straightforward as to which source you're  
24 using and what application and it has a lot do with how the  
25 sources are made, whether they're small and thin, can be used

1 for interstitial, or bigger and bulkier and have to be used  
2 for intercavitary.

3           The physicists are over there jumping up and down  
4 and I can see them.

5           MEMBER NELP: We in the nuclear medicine end,  
6 like Barry said, we can take a drug that we do tumor imaging  
7 with, it's not approved for that but it may be useful for  
8 that. We found that out and we just go ahead and use it, but  
9 apparently it doesn't seem to be a problem in your practice  
10 domain.

11           MEMBER STITT: Certainly for the bulk, probably  
12 90 something percent or even more of what clinicians would  
13 want to do, there's a pretty well recognized use of a  
14 particular source. As I said, it has a lot to do with its  
15 energy, how it decays and the physical form that you can get  
16 it in. Our practice for isotope work is different than  
17 nuclear medicine.

18           MEMBER FLYNN: I think the drug work is another  
19 good example because we're talking about a very small number  
20 of radioactive isotopes that we're using for a very small  
21 number of uses with a number of manufacturers you could  
22 probably count on one hand. I mean I don't think the  
23 manufacturer is going to neglect to put that information.  
24 You're talking about a very few suppliers of these isotopes.

25           CHAIRMAN SIEGEL: It's no skin off my back.

1 Ivan.

2 DR. BREZOVICH: I certainly agree with Doctor  
3 Siegel's concerns, namely, you could have a need for an orphan  
4 application. By the time you get through any kind of a  
5 regulatory process, the patient has no longer benefitted from  
6 the treatment. Maybe we should make an exception which says  
7 in individual cases any source can be used for any use, maybe  
8 after consultation with a physicist. The reason why I think  
9 the physicist may come in, I know it may sound again as turf  
10 protection, but I think there's a legitimate concern if you  
11 have, for example, an iodine source and those are encapsulated  
12 in very fragile capsules so if that is being interstitial in a  
13 way that it bursts open and the iodine is a thyroid seeker,  
14 you could really have major damage. But I still that an  
15 individual case should be allowed to do it. Maybe after  
16 you've done it, you should simply report to the NRC what you  
17 have done and if you want to do it routinely, you should then  
18 get the amendment.

19 CHAIRMAN SIEGEL: Larry.

20 MR. CAMPER: Let me point out that the Part 32  
21 criteria -- I don't have a copy of Part 32 in front of me  
22 unfortunately, but it focuses upon, not so much what the  
23 clinician wants to use the source for, that's almost  
24 secondary, if you will. It does more to do with the design of  
25 the source. For example, if the source is on some type of rod

1 that will be bent to place the source, it has to do with the  
2 tensile strength of that particular applicator. It has to do  
3 with the dosimetry of the source in a specific body part or a  
4 specific mechanism such as interstitial. But clinical utility  
5 is almost secondary in that process. It's really about the  
6 source itself.

7 CHAIRMAN SIEGEL: Jeff.

8 DR. WILLIAMSON: I just would like to give you an  
9 example of where our institution got in trouble with the  
10 existing regulation or had a problem. We were forced to trash  
11 \$60,000 worth of cesium 137 after loading Heyman capsules  
12 because the vendor wrote in the device registration that they  
13 could only be used in the Microselectron LDR Remote  
14 Afterloading System. There was no technical or safety reason  
15 why those sources couldn't have been used for manual  
16 afterloading after we abandoned the use of those devices.  
17 They were unwilling to cooperate in changing that device  
18 registration.

19 CHAIRMAN SIEGEL: Jeff, could you have gotten a  
20 license amendment to allow you to use those sources for  
21 another purpose? Did we explore that?

22 DR. WILLIAMSON: We were granted authority to use  
23 them only as an emergency measure if the remote afterloader  
24 broke and we needed them to complete the treatment of the  
25 patient, but my understanding was that we were kind of barking

1 up the wrong tree with the amendment process. We needed the  
2 device registration revised.

3 CHAIRMAN SIEGEL: Bob and Dennis.

4 MEMBER QUILLEN: Bob Quillen. I'd just like to  
5 agree with what Larry said about the device registration.  
6 It's about the safety of the device, manufacturing of the  
7 device. It's not really about the use of the device.

8 MR. CAMPER: Yes. If you look just for a moment,  
9 bear with me. I know regulations can be boring to listen to  
10 as well as to read, but maybe it's some value to us all.  
11 32.210 is the part and it basically, for example, says "The  
12 request for review of a sealed source or a device must include  
13 sufficient information about the design, manufacturer,  
14 prototype testing, quality control program, labeling, proposed  
15 uses and leak testing and for a device, the request must also  
16 include sufficient information about installation, service and  
17 maintenance, operating and safety instructions, and its  
18 potential hazards to provide reasonable assurance that the  
19 radiation safety properties of the device are adequate of  
20 protect public health and safety."

21 MEMBER NEMP: It does say proposed use.

22 MEMBER QUILLEN: Yes, but that's really secondary  
23 to the review of these sources. We've done those kinds of  
24 reviews and the use is just sort of a secondary issue.

25 CHAIRMAN SIEGEL: What role does FDA have in this

1 process? Do they evaluate clinical uses of the sources?

2 DR. HOLAHAN: I don't know. Larry, can you  
3 answer that?

4 MR. CAMPER: It's going to undergo a device  
5 approval by the FDA but there again, the FDA focus is not so  
6 much about clinical use as it is about the device and how it  
7 is manufactured.

8 CHAIRMAN SIEGEL: Dennis.

9 MEMBER SWANSON: I guess the arguments I'm  
10 hearing would seem to support the concept of not limiting it  
11 to the registration provided -- I'm getting some mixed  
12 messages. Does the NRC look at uses? You're saying they  
13 don't but is there the risk that they will limit it to the  
14 specific uses in the registration? Then I think you're losing  
15 the flexibility to practice medicine again.

16 MR. CAMPER: Well, currently that's what happens  
17 for these specific sources for these specific purposes and  
18 there's a historical basis because those are the sources that  
19 have been approved for those uses, of course.

20 MEMBER SWANSON: I understand that.

21 MR. CAMPER: What we would do is we would have  
22 language, as Trish is pointing out, that you may use a device  
23 for which a registration certificate has been filed for the  
24 purposes authorized by that registration. It would not allow  
25 use of that source or device for something that had not

1 undergone review and approval.

2 CHAIRMAN SIEGEL: but I'm hearing a different consensus  
3 than you are from the radiation oncologists at the table who  
4 are saying they can live with this language. And I'm  
5 concerned that it might be going abridged too far to make it  
6 wide open. So, we need closure on this one.

7 MEMBER SWANSON: The point, I guess, I was trying  
8 to make, I just heard that you lost \$60,000 odd because  
9 basically you couldn't use this device because of restrictions  
10 in the product registration. Am I correct? And that's not a  
11 concern to anybody else? I would think it would be a concern.

12 CHAIRMAN SIEGEL: Dan, Judy, Bob?

13 MEMBER QUILLEN: One of the issues here is what  
14 the manufacturer wants this source to be used and how it wants  
15 the source to be used. And in some cases they want to limit  
16 their liability for the use of the source.

17 MEMBER STITT: Yes, I mean I'm sort of caught  
18 here because I'm thinking of generic cesium, generic radium  
19 tubes, generic iridium, and then you've given a very good  
20 example of what you got caught in, and I think what you caught  
21 in is just exactly what you're referring to, Bob.

22 So, if you have cesium tubes and it states that  
23 you can use these cesium tubes for intercavitary or  
24 interlumina work, the way I understand what we're discussing  
25 here is that the NRC can't tell me which lumina or which

1 cavity those are restricted to. And so as we're discussing  
2 this I don't have a problem, yet your specific is a very good  
3 example of how you could get caught. But I think that comes  
4 back to the manufacturer and their protection of themselves.

5 DR. WILLIAMSON: Yes, I just wanted to point out  
6 that sometimes the restrictions on use are more restrictive  
7 than just these very general categories of implant.

8 CHAIRMAN SIEGEL: But then I guess rather than  
9 mess with this approach, it's better to have professional  
10 societies talk to the manufacturers and say, "Try to make your  
11 language a little bit less restrictive insofar as liability  
12 issues allow you to do so."

13 Okay.

14 MEMBER FLYNN: There should be a way to remove  
15 the manufacturer's liability if you're going to use the  
16 device. They used this radioactive source outside the  
17 manufacturer's device in another device or in another instance  
18 where they may not be the same.

19 CHAIRMAN SIEGEL: We have major changes in tort  
20 law necessary before we can remove liability just as easily as  
21 that. And Congress is working on it, but they're not there  
22 yet.

23 Okay. So I think the answer is, a consensus is  
24 yes, which is where we started. But I wanted to make sure we  
25 at least explored that issue and had aired it.

1           Continue.

2           DR. HOLAHAN:   Okay.   Well, that was the simple  
3 issue

4           MEMBER STITT:   Yes, that's what worries me.

5           DR. HOLAHAN:   The next issue under this first  
6 topic is training and experience.   And first of all, and we've  
7 sort of heard some very elegant introductions over here, in  
8 terms of currently the only requirements for physicist's  
9 training within NRC regulations and Part 35 is for a  
10 teletherapy physicist.   And these training and experience  
11 requirements basically did come in as following the Riverside  
12 incident.   They were incorporated into the regulations.   And  
13 there are two pathways is the -- currently it's the American  
14 Board of Radiology's certification.   I do appreciate what was  
15 said earlier about the American Board of Medical Physics.   But  
16 what is in the current regulations is ABR certification, but  
17 there is also an alternate pathway which includes clinical  
18 experience as a teletherapy physicist.

19           Now, in the policy and guidance directive for  
20 licensing of remote after loaders, there is indications in  
21 there that the licensing must provide the name of an  
22 authorized medical physicist using the same qualifications or  
23 referring to the qualifications in 35.961, which does not have  
24 any specific training in remote, after load or brachytherapy.

25           So, the question I guess to be posed is, first of

1 all, should NRC create a separate category of brachytherapy  
2 physicists or should NRC consider deleting the teletherapy  
3 physicists and making a general medical physicist category,  
4 and then have specific training and experience requirements  
5 under a broader category of medical physicists? So, if we  
6 deal with that question first and --

7           CHAIRMAN SIEGEL: Yes, let me just address one  
8 part of that and wonder whether you would at least for a  
9 transition period under all the teletherapy units have gone  
10 away want to do something like you've done with radionuclide  
11 therapy where you have 35.930 that's all encompassing, but  
12 then you also have cancer of thyroid carcinoma alone and  
13 hyperthyroidism alone. And I'm wondering whether you might  
14 want to aim towards a broad medical physicists category but  
15 still allow a teletherapy or a brachytherapy only while people  
16 have more restricted practices at the present time?

17           DR. HOLAHAN: Now, would that come in to say more  
18 in terms of the actual criteria under the or category as to  
19 what would be acceptable alternate criteria to board  
20 certification.

21           CHAIRMAN SIEGEL: I think so.

22           DR. HOLAHAN: And I'm assuming here that board  
23 certification would encompass teletherapy and brachytherapy.

24           CHAIRMAN SIEGEL: Right. Just as it does with--

25           DR. HOLAHAN: Correct me if I'm wrong, please.

1           CHAIRMAN SIEGEL: Just as it does with 35.930 and  
2 32 and 34 ABNM certification captures the whole thing, but you  
3 drop to the or category if you want to do just Graves disease  
4 or you want to do just thyroid carcinoma. So, I mean, I think  
5 I would recommend that you not drop out the subcategories yet  
6 is my sense, but I'm also willing to hear what other people  
7 vote or think, obviously.

8           Judy?

9           MEMBER NELP: Does this describe a brachytherapy  
10 physicist as well as a teletherapy physicist if you just  
11 change the title?

12          DR. HOLAHAN: Well, except here in the alternate  
13 criteria it requires specific clinical experience with  
14 teletherapy physics.

15          MEMBER NELP: It could be teletherapy and/or --  
16 change a few words if that's close to what the physics people  
17 perceive themselves to be. Just add teletherapy and/or  
18 brachytherapy and continue with that definition.

19          MEMBER STITT: Well, I've got some biased  
20 opinions on this matter. I thought it was a very simple  
21 issue. I just had a single word as far as my response.

22          The NRC created the teletherapy physicist and the  
23 question is should they create a brachytherapy physicist?  
24 There is no such thing as a teletherapy physicist. You're a  
25 medical physicist or you're not and so my answer is no, they

1 should not create a specific category.

2           There is a broad category of medical physicist.  
3 There's no such thing as a teletherapy radiation oncologist  
4 except possibly -- well, actually that doesn't even exist in  
5 regulatory language.

6           So, I'm just saying that we have professional  
7 credentials or standards, they're very specific, and I won't  
8 speak for the AAAPM, but I know that there's some heated  
9 discussion by the physics community in this regard.

10           DR. HOLAHAN: I guess I just wanted to address  
11 that if I could quickly. I think the broader question is, is  
12 rather than creating a category of medical physicists should  
13 NRC have training and experience criteria for a medical  
14 physicist? I think rather than trying to talk about creating  
15 a new section --

16           MEMBER STITT: Well, and that's why I brought  
17 them up as separate because it does talk about a teletherapy  
18 physicist, and that's an NRC phonomania, that is not a --  
19 that's where that phrase has come from. So training and  
20 experience is one issue, and I think we have to be very  
21 careful about making up these artificial sort of categories  
22 that don't exist for physicists or for radiation oncologists  
23 or diagnostic equivalents.

24           MEMBER FLYNN: In most of the small programs, not  
25 the big programs like Mallinckrodt, but in the small programs

1 the physicist has teletherapy duties and brachytherapy duties.  
2 So, I agree. I mean, I don't see how you can break it out  
3 separately.

4           Maybe I'm bias in thinking of that person as a  
5 radiation oncology physicist as distinct from, let's say,  
6 someone from nuclear -- whose trained in nuclear medicine  
7 physicists and has a lot of training and experience in nuclear  
8 medicine physics and maybe thrown or cast into the role of  
9 being a radiation oncology physicist for whatever reason and  
10 not having the experience in brachytherapy physics and  
11 teletherapy physics, and that's my only concern. I think of  
12 it in terms of a radiation oncology physicist. Would you  
13 agree with that or not?

14           CHAIRMAN SIEGEL: Let's see, Jeff?

15           DR. WILLIAMSON: Yes, I would agree with the  
16 concept of a radiation oncology physicist as opposed to  
17 specialized teletherapy and brachytherapy physicist. I mean, I  
18 just would -- I'd like to underscore a point of Dr.  
19 Brezovich's, and that's that we're not like factory workers  
20 that are trained to do one task repetitively. One of our  
21 major roles in the clinical practice is to be able to respond  
22 to the novel and the unexpected, and as a result we have, you  
23 know, graduate level education and credentialing process very  
24 similar to that of physicians in order to sort of build up  
25 that base of scientific expertise and judgment to do that.

1 So, I think that no more than you require an authorized user  
2 to have specific clinical training in HDR, I would suggest  
3 that you not impose additional requirements on the physicist  
4 beyond board certification, specifically in radiation oncology  
5 physics as Dr. Flynn has suggested.

6 CHAIRMAN SIEGEL: But board certification alone  
7 won't do the job from a regulatory point of view because not  
8 everybody chooses to become board certified and the Federal  
9 Government cannot require that that's the only way you can get  
10 these credentials, because otherwise it's restraint of trade.

11 MEMBER NELP: Well, that's you do what you've  
12 done there.

13 CHAIRMAN SIEGEL: No, but Jeff seemed to imply  
14 that was the only route.

15 DR. WILLIAMSON: Can I clarify. No, I'm not  
16 opposing that you have a Part B. I think it's sort of  
17 reasonable, just as you do for physicians, radiation  
18 authorized users and you now do for teletherapy physicists to  
19 basically reiterate some alternative credentials which are  
20 very similar, I should think, to the eligibility criteria for  
21 sitting for the boards. It's basically very similar to that.  
22 It says you should have a master's degree or Ph.D. in an  
23 appropriate area and X number of years of experience working  
24 under such-and-so depending upon the level of your degree.

25 CHAIRMAN SIEGEL: Okay.

1 Ivan?

2 DR. BREZOVICH: I do want to bring out some  
3 concerns about Part B, namely there are now programs where you  
4 can get a master's program in physics very easily because  
5 that's the way to attract students. I mean, physics programs  
6 are badly hurting for students and therefore what they do is  
7 they lower the standards to whatever it takes to get their  
8 classes full. There's no generally recognized credentials for  
9 somebody to be called a master's. If three physicists get  
10 together or two, they can start a master's program with  
11 students, and they'll go down, down, down until you get the  
12 students.

13 So, while in the medical doctor, the requirement  
14 of a medical doctor there's at least some kind of a general  
15 consensus that a medical school has to meet certain criteria.

16 So Part B now, it might be regulatory not  
17 possible to eliminate it totally, but maybe we can add that it  
18 must happen at an accredited schools, otherwise it becomes  
19 meaningless.

20 CHAIRMAN SIEGEL: That probably also is restraint  
21 of trade, too, my guess. You can use those kinds of  
22 approaches to get deemed status and thereby bypass some of the  
23 regulatory requirements, but it's not clear that you can  
24 exclude people who don't meet those various tests from  
25 participating in the process.

1                   You can go to medical school in Grenada and you  
2 can jump through some hoops and get to practice in the United  
3 States even though you went to an accredited medical school.  
4 So there are ways to achieve these things.

5                   I'm not sure that it would be easy for the NRC to  
6 do that.

7                   DR. HOLAHAN: The other point in the alternate is  
8 that it does also require a full year of full time training in  
9 the specific field and also under supervision.

10                  CHAIRMAN SIEGEL: Right.

11                  DR. HOLAHAN: So there is some aspect that you do  
12 have to have some experience in the --

13                  CHAIRMAN SIEGEL: Bob and then John.

14                  MEMBER QUILLEN: My comment falls under your  
15 comment you just made about training in the specific field.  
16 And I don't see this in the alternative, and I'll give you an  
17 example. In our state we have no teletherapy units left, but  
18 we do have HDR and we have gamma knife. And if you wanted to  
19 be a gamma knife physicist, you could become a gamma knife  
20 physicist under this criteria without ever have seen one  
21 because you were in an institution where they didn't have one,  
22 you got all the other kinds of training, let's say, but you  
23 had no experience in that.

24                  So one of my concerns is that you're talking  
25 about this alternative approach here, you need to clearly say

1 that you have applicable training.

2 DR. HOLAHAN: Well, that ties into my second  
3 question that says what is an acceptable alternate criteria to  
4 the board certification process?

5 MEMBER NELP: Well, what's wrong with what you  
6 have up there now if you just changed the title training for  
7 radiation oncology physicist and whenever you say teletherapy,  
8 just change it to that and you'd have a very complete  
9 definition?

10 CHAIRMAN SIEGEL: Well, the problem is what Bob  
11 just point out.

12 MEMBER NELP: You'd have the or.

13 CHAIRMAN SIEGEL: In the case of A the assumption  
14 is is that the American Board of Radiology will have made  
15 assurances to the NRC that it's training programs include  
16 training in teletherapy, in this case which will be linear  
17 accelerators rather than with cobalt units, brachytherapy,  
18 gamma knife and all the other things that come into play. The  
19 problem with B, though, is that if you just change B to  
20 radiation oncology physicist it's conceivable that someone  
21 could have been trained only in the use of the gamma knife  
22 during a year and have had no training whatsoever in  
23 brachytherapy.

24 MEMBER NELP: That doesn't depict the integrity  
25 of the field of medicine. You're not going to hire someone or

1 you're not going -- this person also has to have training and  
2 experience. I mean, the NRC can't expect to cover every  
3 considerable or every conceivable situation in a broad  
4 sweeping term. I mean, the integrity of the field is, you  
5 know, is responsible for what goes on, not the NRC.

6 DR. HOLAHAN: We do get requests, though, from  
7 people that do not have experience in the field that they  
8 wanted, either for example gamma knife or for teletherapy or  
9 even for brachytherapy that have had no brachytherapy  
10 experience. So we do see that already.

11 CHAIRMAN SIEGEL: We see that also.

12 MEMBER NELP: You say they must have  
13 brachytherapy experience. If you'd change teletherapy, you'd  
14 have that in section B, as I see. That's all I'm saying.

15 DR. HOLAHAN: So you're agreeing that it should  
16 be the applicable therapy experience for -- okay.

17 MR. CAMPER: Well, perhaps you could continue  
18 that modification slightly by putting in some additional  
19 qualifying language where it says a year of full time working  
20 experience under the supervision of a radiation oncology  
21 physicist at a medical institution including the modalities  
22 requested for approval, or something that affect.

23 CHAIRMAN SIEGEL: That's fine. And that would do  
24 it.

25 MEMBER NELP: Now isn't there more than one board

1 that certifies physicists and you're only referring to one  
2 board here. You should put the other board in, I think.

3 MR. CAMPER: Well, there's a process, though, for  
4 that. I don't recall exactly, because the American College of  
5 Medical Physicists came to us recently and sought approval, I  
6 think, for teletherapy physicists and perhaps radiation safety  
7 officer. And we had discussed that with the committee  
8 previously and the committee, in fact, is the ones who  
9 ultimately approved the request by the board. And then that  
10 certifying body will be added to when we revise the language  
11 in the part. But the process is that if a board for either  
12 physicians or physicists chooses to be added to our  
13 regulations for recognition, then they go through a process of  
14 submitting a request to us for that; we review it, we see if  
15 it appears to meet the criteria which has been established  
16 previously in our reviews in extensive interactions over the  
17 years with the American Board of Radiology. And then we  
18 ultimately bring it to this committee and ask that you endorse  
19 it or not. Then, of course, it becomes added to the  
20 regulations.

21 So, if there are others that haven't gone through  
22 that process yet, they could do so.

23 CHAIRMAN SIEGEL: Dennis?

24 MEMBER SWANSON: One quick question, how does the  
25 Part B training experience requirements correlate with the

1 training experience requirements of the authorized user  
2 physician? Does it parallel it? It probably should. It can't  
3 be more?

4 MR. CAMPER: Well, it is certainly similar to the  
5 therapy categories. Obviously, it's substantially more than  
6 the diagnostic categories. But, yes, I would say that for the  
7 therapy uses in 35.600, for example, it's very similar.

8 I think that the physicians have a little bit  
9 longer. I think it's three years for theirs, but it's very  
10 close.

11 CHAIRMAN SIEGEL: Lou?

12 MEMBER WAGNER: I'd like to just ask the other  
13 physicists, the therapy physicists over there a question  
14 regarding this. In brachytherapy physics it seems to me that  
15 the physicist would have to have specialized training in  
16 brachytherapy physics. Obviously at some of the larger  
17 institutions there's a responsibility that any physicist would  
18 know that if they don't have training, they have to go get the  
19 training. That's quite clear.

20 I think some of the concern is that at some of  
21 the smaller places, private practices or other areas that  
22 might be doing some kinds of therapy would hire physicists who  
23 might not have the training and the physicists might not get  
24 the adequate training. And I think that is what the concern  
25 is, and that's the potential. What are your thoughts on those

1 areas if you get outside the larger institutions and  
2 university based institutions?

3 CHAIRMAN SIEGEL: Jeff?

4 DR. WILLIAMSON: Well, I think maybe the  
5 suggestion that the alternative experience requirement  
6 includes some exposure to brachytherapy or the modality, might  
7 not be a bad one. One has to be sort of careful. I mean, how  
8 many institutions in this country could one go to have a two  
9 year fellowship in brachytherapy physics? There's probably  
10 maybe four or five, and I, you know, there just aren't  
11 programs to support a very narrow specialized and extensive  
12 training experience like --

13 MEMBER WAGNER: But if that's the case, if that's  
14 the case, is it then appropriate to release physicists that  
15 don't have that training into the area without the specified  
16 training? Is it adequate in that case or is the fact that we  
17 just have so few a restriction we're going to have to live  
18 with?

19 I don't think that you've asked -- you've  
20 directed yourself at the point. The point is, is would the  
21 physicists be adequately trained without that?

22 DR. WILLIAMSON: Would the physicists be  
23 adequately trained without some direct exposure of some kind  
24 to brachytherapy I guess is the question.

25 MEMBER WAGNER: Right.

1 DR. WILLIAMSON: Well, I think it would be kind  
2 of difficult to get through the board certification process  
3 unless you had some exposure to the clinical practice. I'd  
4 put it that way. It would be very difficult. I think one  
5 could maybe learn it on one's own.

6 CHAIRMAN SIEGEL: We're agreeing with you.

7 So the consensus as I hear it here in answer to  
8 the first question is that what NRC ought to do is not create  
9 a category called brachytherapy physicist and should in fact  
10 delete the category called teletherapy physicist and call it  
11 radiation oncology physicist, if that's the language we like.

12 MEMBER STITT: I think that's artificial, too. I  
13 think medical physicist is the correct term both from board  
14 certification and from training. There are certain  
15 subdivisions within that, but then you've got some very  
16 specific things in Part B. And I think that the teletherapy  
17 ought to be deleted, brachytherapy shouldn't be instituted,  
18 but you can very specific in both Parts A and Parts B and that  
19 should cover both the institutions where you've got folks that  
20 do nothing but brachytherapy physics and institutions where  
21 they're doing diagnostic as well as therapy physics.

22 MEMBER WAGNER: The only problem I have with  
23 medical physicist is that also includes diagnostic physicists.

24 MEMBER STITT: That's right. And that's a common  
25 practice in the community hospitals across the country.

1           CHAIRMAN SIEGEL:  But there's nothing -- you can  
2 be a medical physicist who does diagnostic physics and still  
3 meet the NRC requirements to be something more specific.  And,  
4 I mean, it doesn't make any difference what's in a name.  And  
5 does there --

6           MEMBER NELP:  You have to have that list of--

7           CHAIRMAN SIEGEL:  Is there a strong feeling about  
8 whether the NRC regulations ought to say medical physicist or  
9 diagnostic -- I mean radiation oncology physicist?

10          MEMBER NELP:  You say medical physicist and he  
11 has to have those criteria, that's fine.

12          CHAIRMAN SIEGEL:  Whose the one who suggested the  
13 term?  Was Da the one who suggested?

14          MEMBER FLYNN:  I suggested it originally and Jeff  
15 endorsed it.

16          MEMBER NELP:  And I endorsed it.  I'm taking back  
17 my endorsement.

18          MEMBER FLYNN:  I withdraw my suggestion then.

19          CHAIRMAN SIEGEL:  All right.  So call it medical  
20 physicist and then the alternate criteria should include  
21 sufficient language to make it clear that you've got to have  
22 applicable experience for what you propose you want to do.

23                   Continue.

24          DR. HOLAHAN:  Okay.  So on the training and  
25 experience issue is currently in section 35.410 there are

1 special requirements for radiation safety instructions to  
2 personnel carrying for patients undergoing implant therapy,  
3 which includes size and appearance of sources, safe handling  
4 and shielding, procedures for notification of RSO and  
5 emergency. In addition to these requirements is policy and  
6 guidance directive on licensing of remote after loads;  
7 specifies training for ancillary nursing personnel carrying  
8 for patients undergoing LDR therapy in patient rooms.

9           And, again, this is something that is done  
10 through licensing guidance. Now, the issue of training of  
11 nurses and things has come up in the past and we have had  
12 several incidents involving in which the nurses have not  
13 received sufficient training to be able to respond in the case  
14 of a source becoming dislodged, you know, how to handle either  
15 the source or the patient.

16           And so I guess the question is, first of all, are  
17 the current requirements adequate to ensure that all personnel  
18 carrying for patients have received the sufficient training to  
19 minimize personnel exposures both public and occupational.

20           CHAIRMAN SIEGEL: I have no opinion.

21           MEMBER FLYNN: I have a couple of comments, since  
22 this is an area that I've been interested in for like three  
23 years.

24           In the big institutions it doesn't seem to be a  
25 problem with the nursing personnel because the nursing

1 personnel in a big institution see the procedure commonly  
2 performed, become accustom to it and are dealing with  
3 physicians and physicists who are well trained who also doing  
4 it very frequently.

5           The problem seems to me to be in the very small  
6 institution when this low dose rate implant patient is by  
7 themself with the nursing personnel at night, nights and  
8 weekends, and things happen. And so I'm concerned that at  
9 least in the smaller institutions that one hour of training  
10 per year, or whatever the program is requiring of their  
11 nursing personnel for nurses who are on a brachytherapy floor,  
12 is not sufficient. And I've nurses in small hospitals when  
13 I've gone there to give a talk, you know, what would you do if  
14 the patient had -- a brachytherapy patient on a Saturday night  
15 had severe chest pain, had trouble breathing, a whole series  
16 of problems. And there was a great deal of hesitancy as to  
17 what to do.

18           For example, I mean, if I was to interpret what  
19 you say there, procedures for notification of the RSO in an  
20 emergency, that's actually part of Part 35 now. It should be  
21 procedures for notification of the authorized user physician  
22 and the RSO because there have been instances where a problem  
23 has occurred and the nurse has called the radiation safety  
24 officer for a medical condition. And waiting for the  
25 radiation safety officer to return a phone call when she

1 should have called the physician I think is a problem. And I  
2 think if that gets into the training of nurses, that they  
3 don't call the physician for a medical emergency or a medical  
4 problem and they call the RSO first and then the physician, I  
5 have a big problem with that. So I think that there needs to  
6 be more training for the nursing personnel. It doesn't appear  
7 to be necessary in the big institutions, but certainly in the  
8 smaller ones where there have been problems it -- the nurses  
9 are left by themselves and I think it's not fair to the  
10 nursing personnel who have many, many other duties to just  
11 have one hour of training. They could be on vacation during  
12 the time of the year that one hour of training was given. So  
13 I think a lot more has to be done for nursing personnel.

14           CHAIRMAN SIEGEL: But, Dan, but you're missing  
15 the question, I think. You're addressing the question of  
16 whether the training has been provided adequately as opposed  
17 to the question is are the requirements for training  
18 sufficient. The rule says you've got to train people in these  
19 things, it doesn't give you the option to not train them. So  
20 what Trish is really asking is do there need to be more things  
21 in the list of training. And you've suggested one, and you've  
22 suggested it before and we're on record as agreeing with you.  
23 But that's more of an implementation issue than it is a  
24 requirement issue.

25           What the content of the training should be. So

1 do you think the content of the training is currently  
2 adequately as specified in the regulations?

3 MEMBER FLYNN: No.

4 CHAIRMAN SIEGEL: Aside from what you just said,  
5 what else do you want in that list of things?

6 MEMBER FLYNN: For one thing, what the radiation  
7 safety instruction should involve personnel exposures. We  
8 have many instances of nurses who are afraid to go into a room  
9 and patients have problems. So for the nurses to understand  
10 the exposure, exposure rate and other things --

11 CHAIRMAN SIEGEL: That's addressed elsewhere in  
12 the regulations.

13 DR. HOLAHAN: That's also addressed in these Part  
14 19 training that they have to provide them.

15 MEMBER FLYNN: And should the nursing personnel  
16 be trained in the procedures they would follow in terms of  
17 what if a patient has a medical emergency while being a  
18 brachytherapy patient in the hospital?

19 MEMBER NELP: I think that latter is the practice  
20 of medicine between the nursing staff for credentials and her  
21 physicians. And I don't think the NRC wants to get into that  
22 domain at all. I think if you notified instead of the RSO up  
23 there, notified the licensee, that would be the physician in  
24 charge of the case that's ultimately responsible.

25 MEMBER FLYNN: I think the NRC should judge what

1 specific training, but if they could require that there be  
2 policies and procedures developed by the licensee with the  
3 nursing staff as to addressing a range of medical emergencies  
4 that occur in brachytherapy patients.

5 CHAIRMAN SIEGEL: Let's see, I think Judy was  
6 first and then Lou.

7 MEMBER STITT: You know, I think that the  
8 requirements are adequate and, you know, they look very  
9 sufficient. I think what Dan has brought up as an example is  
10 not the requirements per se, the frequency or the clinical  
11 utility or actually just how often do you go through these  
12 procedures. And he's right, the places that do a lot of this,  
13 they're very adept at it. IF you do one or two a year, and  
14 you had an hour of training a while ago, it doesn't count for  
15 much. But when you just look at the material that's listed, I  
16 think those requirements are adequate. It's how it may be  
17 carried out from one place to the other that may be the issue  
18 here.

19 CHAIRMAN SIEGEL: Lou?

20 MEMBER WAGNER: Yes, I think I'd like to have a  
21 little more definition of the issues. In all the cases that  
22 you cite here for examples where there's a place deficient in  
23 its instruction of the nurses or did they just not have the  
24 instruction at all or was there a violation in terms of their  
25 not instructing their nurses?

1 DR. HOLAHAN: There were both cases. And there  
2 were some cases that there was sort of insufficient training,  
3 although they had gone through and shown them. For example, in  
4 one case they had shown them what a ribbon looked like, but  
5 they didn't really explain that the seeds were in the ribbon  
6 because they had a dummy ribbon up on the door, and they had  
7 just -- they taped the ribbon to the patient's abdomen when it  
8 came out of the implant site.

9 There are other cases where there have been  
10 temporary nurses brought in from other areas that have not  
11 received the training. So there are both issues.

12 MEMBER WAGNER: So we got a problem here in that  
13 number one, we don't have to solve the problem because the  
14 institutions didn't abide by the rules in the first place,  
15 that's part of the issue. But now the second issue that  
16 you're pointing out is that although the content of the  
17 instruction appears to be adequate, the effectiveness of the  
18 instruction is inadequate.

19 DR. HOLAHAN: Correct.

20 MEMBER WAGNER: So the issue isn't whether or not  
21 we have to expand on the content, the issue is how do you  
22 expand on the effectiveness of the content?

23 MEMBER NEMP: Well, that's done by inspecting the  
24 facility, isn't it, and getting assurance at the time that  
25 they have a program that's appropriate?

1           I mean, someone either at the state level or NRC  
2 goes in, "Okay, let me see your program for training your  
3 nurses. Does it fulfill these criteria?" They have then the  
4 opportunity to make a judgment that you do or don't have an  
5 adequate training program. And that's about it.

6           MEMBER WAGNER: I usually find that to be  
7 relatively inadequate itself.

8           MEMBER NELP: Well, it may be, but --

9           CHAIRMAN SIEGEL: But that's actually not the  
10 right way to inspect it. Increasingly that's not what you all  
11 are doing. What you're doing is you're going and talking to  
12 the nurses and saying, "Tell me what you do when the following  
13 happens?"

14          MEMBER NELP: Well, yes, that's part of the  
15 inspection.

16          CHAIRMAN SIEGEL: You don't look at the paper  
17 program, because you can write anything in a paper program.

18          MEMBER NELP: OF course.

19          CHAIRMAN SIEGEL: I think the program  
20 effectiveness is being inspected, so I'm still confused here.

21                 We heard that Dan wants to include notify  
22 authorized user in the event of an emergency in addition to  
23 RSO. I'm still not clear I'm hearing the answer to what you  
24 think should be in there about procedures for dealing with  
25 emergencies other than notification, whether that should be

1 part of the training or not.

2 DR. HOLAHAN: I guess maybe the other question  
3 is, is does there need to be something in in terms of what are  
4 the actual procedures for training the nurses and how is that  
5 information relayed, as I know there's generally specifics for  
6 a specific patient; that often rather than the radiation  
7 safety officer coming back in, is it's just relayed from the  
8 head nurse on one shift to the next head nurse, you know, as  
9 the patient goes through.

10 And what are the actual procedures in terms of  
11 the actual training, and maybe that's another question do we  
12 need something in terms of written policies and procedures?

13 MEMBER FLYNN: Well, I've got specific phone  
14 calls about -- and these are specific instances that weren't  
15 reported to NRC because they didn't feel it was a problem.  
16 But a patient has chest pain, severe chest pain with a heart  
17 history, significant chest pain. They don't call the EKG  
18 technician, they don't draw the blood until waiting for one  
19 hour until the authorized user/physician comes in and takes  
20 the sources out.

21 Now, many of these patients are elderly and they  
22 have other medical problems. I think you can't be too  
23 prescriptive, I agree, but I think there should written  
24 policies and procedures on how medical emergencies are  
25 addressed for brachytherapy patients to allow for the safety

1 of the patient while minimizing the exposure to the staff and  
2 personnel. And I think we're going to have a  
3 misadministration in the next year or two, we're going to have  
4 a patient who either dies or -- for a medical reason, not  
5 because the radiation.

6 MEMBER STITT: But that's fine, Dan, as long as  
7 that's not a misadministration. They can die of a heart  
8 attack and we're happy. It's better than dying of a radiation  
9 isotope --

10 MEMBER NELP: You're inferring that the nursing  
11 staff is frightened or hesitant to go into the patient's room  
12 because the patient is radioactive?

13 MEMBER FLYNN: That's correct, and also the EKG -  
14 - once you get the EKG technicians involved and the blood  
15 drawers involved, this was an actual case, by the way. And it  
16 wasn't report, but then you get other people involved and the  
17 nursing personnel don't have enough training to let them know  
18 that, you know, that this is allowable in an emergency  
19 situation. So what they do is they wait until the sources are  
20 removed from that patient.

21 CHAIRMAN SIEGEL: So wouldn't it be sufficient to  
22 change bullet number four up there to be something like  
23 procedures for handling both medical and radiation safety  
24 emergencies, including procedures for notification of the  
25 authorized user and the radiation safety officer? Doesn't

1 that capture the whole thing.

2 MEMBER FLYNN: Yes, I don't want to be too  
3 prescriptive, I just want to be able to make sure it's  
4 covered, that's all.

5 MEMBER WAGNER: Maybe the additional thing there  
6 is what you're trying to point out is the procedures for the  
7 immediate care of a patient in the event of a medical  
8 emergency?

9 MEMBER FLYNN: Yes.

10 MEMBER WAGNER: Because it's the immediate care  
11 of the patient that you're concerned about.

12 MEMBER FLYNN: That's right.

13 MEMBER GRAHAM: Well, it's the clarification I  
14 think of the source because I'll bet in every one of those  
15 hospitals there were nursing policies and procedures that  
16 clearly delineate the responsibility of a nurse to contact the  
17 attending physician in a medical emergency.

18 MEMBER NELP: Period.

19 MEMBER GRAHAM: Period. So I don't think we can  
20 regulate what is a basic element of running a hospital and the  
21 interaction between that medical staff and the nursing staff.

22 CHAIRMAN SIEGEL: Right. And really in this case  
23 remember what this is addressing. This is radiation safety  
24 instruction and it's designed to teach the people who are  
25 involved what they need to do to protect themselves and

1 visitors in order to do their job. And so that's the focus  
2 that has to be there. But I think this expansion into the area  
3 of how to deal with a medical emergency is a reasonable thing  
4 to incorporate in this. Do you agree?

5 MEMBER FLYNN: You know, in the case that I  
6 talked about the nursing staff called the physician, the  
7 physician ordered an EKG and blood work and the nursing staff  
8 would not let the blood drawer nor the EKG technician enter  
9 the room because they weren't controlling personnel.

10 CHAIRMAN SIEGEL: So we agree? Judy, you agree?  
11 Judith, you agree?

12 MEMBER STITT: I have no idea.

13 CHAIRMAN SIEGEL: Okay.

14 CHAIRMAN SIEGEL: Folks, we're way behind  
15 schedule here based on the way this looks. And we need to  
16 buggy here or we're in deep trouble.

17 MEMBER STITT: My comment would be that I think  
18 the requirements are properly written. If you want to modify,  
19 I agree with you, they're there for safety of patient,  
20 visitors, public, etcetera. It sounds like the hospitals are  
21 having a problem with their implementation of their own  
22 program. And you're right, every hospital has something about  
23 interaction of patients, nursing and the medical staff. So I  
24 think we have to be careful not to try to regulate how  
25 institutions are practicing medicine.

1 Yes, I agree with whatever it was you said.

2 MEMBER NELP: I agree with what you agreed with.

3 CHAIRMAN SIEGEL: So we think we've reached a  
4 consensus.

5 MEMBER STITT: There's a question over here, and  
6 it relates to something that's happening tomorrow.

7 MEMBER SWANSON: One quick comment. If you go  
8 back to the brachytherapy module, for example, it includes  
9 training for nursing staff that, in fact, there are 27 items  
10 there and part of those items are exactly the things you're  
11 discussing.

12 CHAIRMAN SIEGEL: Okay.

13 MEMBER SWANSON: That's a reg guide.

14 DR. HOLAHAN: Yes, that's guidance.

15 MR. CAMPER: Guidance, right.

16 CHAIRMAN SIEGEL: Part of the issue here, just to  
17 make sure that all of the committee understand this, is that  
18 there are things now that get written into licenses as part of  
19 the licensing process that are not clearly spelled out in Part  
20 35. In general the goal of putting new Part 35 out eight years  
21 ago or nine years ago was to get all that licensing stuff into  
22 the regulations and make it uniform, and that's part of what  
23 this discussion is largely about.

24 Okay. Why don't we continue with these questions  
25 and then we'll try to take our coffee break.

1 MS. TAYLOR: Excuse me. Can you me a consensus  
2 of the committee?

3 CHAIRMAN SIEGEL: The consensus is that the  
4 requirements in 35.410 are in fact adequate with the  
5 modifications needed, the language needs to address medical  
6 emergencies and it needs to address the need to notify the  
7 authorized user as well as the RSO in the event of an  
8 emergency. I think that's what we said.

9 Okay. Next?

10 DR. HOLAHAN: The next question I think is sort  
11 of fairly straightforward is -- maybe I shouldn't say that.  
12 Sorry.

13 CHAIRMAN SIEGEL: Indeed.

14 DR. HOLAHAN: Are the current requirements in  
15 35.410, are they sufficient also then to address low dose rate  
16 remote after loading or do we also need to include perhaps the  
17 use of a survey meter in there, which is what's currently in  
18 the licensing guidance?

19 CHAIRMAN SIEGEL: Lost me. Where is that  
20 question?

21 DR. HOLAHAN: Middle question. Should the  
22 licensing requirements for training of ancillary nursing  
23 personnel in the policy and guidance, which is --

24 CHAIRMAN SIEGEL: Oh, I see.

25 DR. HOLAHAN: I apologize. Does there need to be

1 anything additional added for nursing personnel handling  
2 patients with remote after loaders? It's maybe a more basic  
3 question.

4 CHAIRMAN SIEGEL: Dan, Judy, Lou, Jeff, Ivan?

5 MEMBER STITT: Ask Jeff.

6 CHAIRMAN SIEGEL: Jeff?

7 DR. WILLIAMSON: I think basically the  
8 requirements that you have written up there could be slightly  
9 generalized. Size and appearance of the sources, you know, and  
10 associated treatment delivery devices, which I think the  
11 implication would be they're taught how to do those operations  
12 they're supposed to do.

13 Regarding a survey instrument, I would disagree  
14 that for most remote after loading institutions, that's  
15 necessary at all because the handling of emergency procedures  
16 and finding lost sources and so on is not the responsibility  
17 of the nurses, I think, in most institutions. There are on  
18 call personnel, usually the radiation oncology physicist who  
19 does that and the time scale I think is viewed in the  
20 community as, you know, a half hour to an hour response time  
21 is adequate. So I wouldn't want to put more restrictive in  
22 there.

23 Pulse dose rate would maybe be the only exception  
24 where one would have to have more rigorous technical  
25 requirements or qualifications.

1 DR. HOLAHAN: Okay. And we're going to address  
2 that pulse dose rate separately later.

3 CHAIRMAN SIEGEL: Maybe.

4 DR. HOLAHAN: I hope. Maybe I'll jump -- I may  
5 move through some of these.

6 CHAIRMAN SIEGEL: So I'm still not sure I've got  
7 the clear answer to this.

8 MEMBER NELP: Why would we change it?

9 MEMBER STITT: I think no is the answer.

10 CHAIRMAN SIEGEL: Okay. No. All right. All  
11 right.

12 DR. HOLAHAN: Okay. And then the last question  
13 on this issue was whether or not NRC needed to consider  
14 adopting specific training and experience requirements for  
15 dosimetrists and technologists, which are not currently in the  
16 regulations. And I know you address it very briefly at the  
17 beginning, but we've discussed it in the past.

18 CHAIRMAN SIEGEL: Well, that's a big issue,  
19 right? I mean, that's not a ten second issue.

20 DR. HOLAHAN: Yes. Currently the regulations do  
21 not, and it's always being placed in the responsibility of the  
22 authorized user to ensure that people working under their  
23 supervision have received adequate training and experience. I  
24 think the question has come as brachytherapy becomes more  
25 evolved, the dosimetrists have a larger role obviously working

1 with the physicist.

2 MEMBER NELP: What's the difference between the  
3 dosimetrist and the physicist that we were talking about?  
4 Don't the physicists do the dosimetry?

5 DR. BREZOVICH: I would say the relation between  
6 the physicist and the dosimetrists is similar to that of a  
7 physician and a nurse. I mean, the physicist basically trains  
8 the dosimetrist and tells him in terms of telling them the  
9 basics of physics, tells him how to use a computer to do those  
10 sophisticated calculations. If there's any problem with the  
11 computer or if they don't know how to do it, they come back to  
12 the physicist.

13 MEMBER NELP: Does the dosimetrist operate under  
14 the supervision of the physicist?

15 DR. BREZOVICH: That's correct. Absolutely.

16 MEMBER NELP: And so the physicist is his boss,  
17 so to speak.

18 DR. BREZOVICH: Absolutely.

19 MEMBER NELP: And assumes the responsibility for  
20 his actions?

21 DR. BREZOVICH: Yes.

22 CHAIRMAN SIEGEL: Yes, I think that it's very  
23 much similar to the way nuclear medicine technologists would  
24 act under the supervision of a nuclear medicine physician. I  
25 think that we would be wise to say that for right now we're

1 not prepared to answer this question until the time we're  
2 ready to discuss major paradigm shifts in how you evaluate  
3 training and experience both for professionals and ancillary  
4 personnel involved in all medical practice.

5 I think to take this big a jump with a very short  
6 discussion would be a mistake. Does the committee agree?

7 MEMBER NELP: I agree, yes.

8 CHAIRMAN SIEGEL: Okay. And therefore we're  
9 going to take a big jump to the little boys and little girls  
10 room and take a break.

11 (Whereupon, a recess at 10:16 a.m. until 10:27  
12 a.m.)

13 CHAIRMAN SIEGEL: We need to try to reconvene  
14 folks. Can you all take your seats? Okay, we are back on the  
15 record. Are you ready for us at that end of the room? Good.  
16 We need to cruise.

17 DR. HOLAHAN: Okay, while everybody was out I  
18 went through issues 3 through 7, so I hope you all appreciated  
19 the discussion on those. I thought what I would do is I would  
20 put those aside for now and maybe work on some of the ones  
21 that are a little more controversial.

22 CHAIRMAN SIEGEL: I actually have a sense that  
23 some of the time that we've allotted for other things in the  
24 meeting will be more ample than we need. And if we later in  
25 the meeting have to revisit some of this, then that's what

1 we'll do.

2 DR. HOLAHAN: Okay.

3 CHAIRMAN SIEGEL: Because this is important stuff  
4 which is why we're discussing it at the length we're  
5 discussing it.

6 DR. HOLAHAN: Yes, and it has been very helpful,  
7 you know, so.

8 Okay, what I'd like to do is move on to some of  
9 the definitions. And I know at the last meeting we had some  
10 discussions that there's some concern with some of the  
11 definitions that we have as to being either somewhat awkward  
12 to use or additional information whether it needs to be in  
13 there or not be in there.

14 This is first of all the definition for written  
15 directive. And, Jim, if you can put up the first question.  
16 First of all for HDR, basically all that's required is the  
17 isotope treatment site and total dose. And the issue of  
18 fractionated HDRs has come up before, do we need to have a  
19 dose per fraction? What additional information should be in  
20 this definition or is it sufficient as it is?

21 CHAIRMAN SIEGEL: I would defer to the experts.

22 MEMBER STITT: Dan, you start because I'm still -  
23 - this bothered me. I mean I don't have --

24 MEMBER FLYNN: Well, Judith has done about 20 to  
25 100 times more HDRs than I have, but since she asked me to

1 start. The one that bothers me is the total dose. Is it  
2 easier that we look at a prescription? Now, sometimes a  
3 prescription can be for one fraction and sometimes the patient  
4 will come back because of an incomplete tumor response to the  
5 one fraction to get a subsequent fraction with a second  
6 prescription as opposed to a prescription that says (x) dose  
7 times five twice a week for two and a half weeks. So I don't  
8 know if one always knows that the total dose is going to be.

9 DR. HOLAHAN: Well, I think in terms of your  
10 first response, I think where NRC's perception has been, that  
11 would be two written directives.

12 MEMBER FLYNN: Okay.

13 DR. HOLAHAN: If you're saying that the patient  
14 goes, has one treatment and then comes back at a later time  
15 because of their insufficient response. So it would be the  
16 total dose in terms of that treatment.

17 Now, it could also be that you could say five  
18 fractions per total dose of.

19 MEMBER FLYNN: Just so you know that it's my  
20 understanding that some of the authorized user radiation calls  
21 your physicians writing their prescriptions. Sometimes they  
22 write them as a per fraction basis and sometimes they write  
23 them as 500 times six, 500 centigray times six. And are you  
24 looking at the written directive then as the 500 times six as  
25 the total dose for that prescription?

1 DR. HOLAHAN: Total 3,000.

2 MEMBER FLYNN: As opposed to -- and you will look  
3 at it differently if a physician is writing it fraction by  
4 fraction as he decides how far to go or writes it for that one  
5 treatment for that day. He writes a prescription for that day  
6 only.

7 CHAIRMAN SIEGEL: But maybe you're getting the  
8 cart before the horse. One issue will determine how a  
9 misadministration gets defined.

10 DR. HOLAHAN: Correct.

11 CHAIRMAN SIEGEL: The other determines what's a  
12 practical relevant approach to writing these prescriptions.  
13 And maybe if we could, for the moment, put aside the impact on  
14 the definition of a misadministration and rather address  
15 what's practical, how do you want to write HDR prescriptions.  
16 Do you want to write a prescription that says the patient is  
17 going to come and be treated three times over the course of  
18 the next six weeks, and that's my plan, and have that be  
19 really the directions you're giving to the people who work for  
20 you? Or do you want to write three written directives and  
21 have a treatment plan recorded separately in the patient's  
22 medical record, but that it doesn't obligate you to NRC  
23 related activities because it was a written directive? That I  
24 think is really the question or part of the question.

25 DR. HOLAHAN: Well, yes. And actually that also

1 gets into, if you could maybe put the next slide up underneath  
2 that one please, Jim, as in terms of a treatment plan is, you  
3 know, talking to many members of the community. They've  
4 indicated that really they develop the treatment plan and  
5 then they go and write a written directive to sort of fit on  
6 our C definition, but all the information is on the treatment  
7 plan. Can the treatment plan actually be the written  
8 directive, if that's signed by the authorized user?

9           MEMBER STITT: Barry, I don't disagree, but the  
10 problem is that many people do practice in the fashion to try  
11 to avoid a circumstance that puts them into the definition of  
12 a misadministration. And written directive is not a medical  
13 term, it's an NRC regulatory term. And we do doses and we  
14 give treatments, and we don't do written directives except  
15 that when you come back and put something on paper so it looks  
16 right to the NRC. This issue has to do with also issue 4  
17 which is fraction of brachytherapy. They're all related.

18           And in general I try to be a broad spectrum  
19 person, and I think that's probably the best way to try to do  
20 regulations. But I'm having trouble and I'm a clinician that  
21 does lots of this day in and day out, and I have trouble  
22 trying to look at it both from a clinical aspect as well as  
23 from the regulatory aspect.

24           For example, if you look at teletherapy, and I  
25 was trying to say can we do HDR somewhat like teletherapy

1 because actually the dose rate for high dose brachytherapy is  
2 the similar sort of dose rate for cobalt unit single  
3 fractions. But for teletherapy all of the biology that we  
4 know about tells you that you should use five to seven  
5 fractions a week. In this country we tend to do five  
6 fractions Monday through Friday.

7           But in brachytherapy that same constraint really  
8 doesn't hold. You can do one fraction a week, but if you  
9 write your prescription to say you're going to do 600  
10 centigray in five fractions and then you do five fractions  
11 over five weeks but decide to change that to five fractions  
12 over four weeks, in theory that could get you into regulatory  
13 problems depending on how you wrote it or didn't write it.

14           So I'm having trouble justifying what we do  
15 clinically and trying to stay out of regulatory problems. So  
16 I'm having trouble doing what you're saying that we shouldn't  
17 do. There are two separate issues.

18           CHAIRMAN SIEGEL: Okay.

19           MEMBER STITT: While in theory they are, but your  
20 theory can get you into a lot of trouble fractionation-wise.  
21 If you say I'm going to give a total dose of 2,000 centigray,  
22 you might like to do it 500 plus 500. And let's say you give  
23 600 one time as long as you, you know, you can still not enter  
24 into misadministration realm as long as you have then given  
25 your second fraction of 400. So there's a lot of ways to

1 fudge this and I haven't been able to come up with something.

2           In fact I don't have any specific answers to the  
3 first issues that we looked at, and these, the written  
4 directive business and the fractionated brachytherapy leave me  
5 with a lot of difficulties. How's that for non statement?

6           CHAIRMAN SIEGEL: I agree. So what you're saying  
7 is that current NRC requirements are potentially or in fact  
8 distorting the way you go about creating the records for  
9 treating these patients?

10           MEMBER STITT: Yes, particularly we were really  
11 focusing on high dose brachytherapy because for low dose  
12 brachytherapy there is so much art to it and then for high  
13 dose rate you have a tremendous amount of computerized  
14 information available before you do anything, and so you can  
15 predetermine to a much greater extent what you're going to be  
16 doing with high dose rate than you did with low dose rate.

17           In one of these sections, you can probably find  
18 it Trisha, you talk about how low dose rate is actually done,  
19 and that's a good description of how it's done. You have an  
20 idea where you want to be heading, and then you get some  
21 treatment planning and then you make some modifications and  
22 then you actually do it, and then at some point before you  
23 finish you have to have that written directive completed,  
24 right?

25           DR. HOLAHAN: Yes.

1           MEMBER STITT: And that's not the case for high  
2 dose rate. So I'm having trouble trying to correlate how we  
3 practice in high dose rate and relating it to teletherapy,  
4 which might be a good example, and I don't think it's going to  
5 work in relating it to what we've done for years which is a  
6 low dose rate, and that doesn't work easily either. So  
7 anybody got any--

8           DR. HOLAHAN: The other point you raise about  
9 teletherapy, and let me just ask you, you had indicated, you  
10 know, if you say that you're going to do it in four weeks as  
11 opposed to five weeks, well currently in the definition there  
12 is no, unlike teletherapy where you have to specify the  
13 overall treatment period --

14           MEMBER STITT: Right.

15           DR. HOLAHAN: -- there is nothing like that  
16 currently in the definition. So you could just say I'm going  
17 to give 2,000 rads and then decide you want to do four. And I  
18 mean that's a question is, is should it be specified?

19           MEMBER STITT: Well, for teletherapy I would say  
20 yes. Now, that's the way it's written. For brachytherapy I'm  
21 less inclined to say yes because you're commonly combining it  
22 with external beam and there's a lot of ways in which you  
23 would combine it that if you start putting that particular end  
24 point on it, that is the total length of time, you've gotten  
25 yourself confined into a narrower space and likely to get into

1 regulatory problems, not into clinical problems, but into  
2 regulatory problems.

3 MEMBER NELP: Well, how in the day to day  
4 practice then what do you consider to be a misadministration  
5 or an adverse therapy event? How do you say gosh, we really  
6 screwed this one up, we gave too much or we gave too little,  
7 or so forth, how do you really define that under the setting  
8 that you've been discussing?

9 MEMBER STITT: Well, how you would define that  
10 clinically is different than how you would define that by  
11 regulation. We know what the regulation --

12 MEMBER NELP: Well, the regulation should speak  
13 to the real world is what I'm trying to get at.

14 MEMBER STITT: Well, we go around and around and  
15 around about that quite a bit. And Jeff and the physics  
16 community suggestion that the misadministration be related to  
17 a level of clinical outcome, we've talked about that at other  
18 meetings, but I think that's a theoretic discussion, it's not  
19 one that we're going to be able to solve at this time. And it  
20 doesn't help with issue 8 or with issue 4.

21 MR. CAMPER: Just a comment on that. You're  
22 right, Judy, we did just as recently as during the American  
23 brachytherapy Society meeting in December in Florida.

24 The misadministration concept, you know, the term  
25 is -- in the mind to some, and I understand that. But it's

1 purpose was to, you know, to get at errors in the delivery  
2 process between what the physician wanted to be delivered and  
3 what in fact was delivered, then have it reported for  
4 awareness, possible information dissemination, etcetera,  
5 etcetera.

6           Now, there's no question that the advent of the  
7 quality management rule, in some cases when there is  
8 programmatic problems with the quality management program that  
9 can be identified and a reactive inspection following a  
10 misadministration theory in some cases or some enforcement  
11 activities. There's no question about that. But this theory  
12 was to be a threshold well below harm in which things could be  
13 identified, reported and corrections actions taken.

14           And you're right, we've gone around and around a  
15 few times about what that threshold is. Now, the threshold  
16 you currently have today, we developed during the quality  
17 management rule. We did have extensive interactions with the  
18 community including the American College of Radiology, AAPM  
19 and so forth and so on. And there was a lot of lively debate  
20 as you might expect about whether these thresholds are the  
21 right ones. And we still debate that of course. So that was  
22 at least the goal behind the threshold for misadministration.

23           Let me point out something else too with regards  
24 to treatment side and the problem that we find. And this  
25 treatment side I think we've explored with you before and it

1 really is problematic. You get into this question of  
2 licensees being confused. Now, the idea of a fractionation,  
3 if you look today into the regulations unlike teletherapy you'll  
4 find that there is a requirement specifically in the written  
5 directive for teletherapy that you identify a fractionated  
6 dose in the written directive.

7           In HDR that doesn't, it's not the same. And  
8 frankly in all candor the reason for that is in 1990, 1991  
9 when we wrote the quality management rule, we weren't aware  
10 that fractionated HDR was emerging as a technology. If we  
11 were writing it today we probably would have addressed  
12 fractionated HDR.

13           Now, then you get into the question of what's the  
14 right threshold. You might recall that we had a discussion  
15 with you a meeting or two ago when we were preparing a generic  
16 letter and we were discussing what the right threshold. And  
17 it was a lively discussion. And I think generally, if we  
18 pursue this fractionated HDR reporting, we're probably  
19 settling in around 30 percent, at least in our thinking.

20           Now, this is a practical problem because for  
21 licensees who had a problem or a mistake, an error, whatever  
22 you want to call it, in a fractionated HDR, in some cases  
23 they're reporting them to us because it's not clear to them  
24 whether they should or should not be reporting. So that's an  
25 issue from a practical standpoint that we're trying to deal

1 with.

2 But treatment plan is interesting in the written  
3 directive. And I found Judy's comments, her introduction  
4 comments to this, were interesting in a sense that we use a  
5 treatment plan and then we go back and we create a written  
6 directive to satisfy this Agency's requirements.

7 Well, from our perspective you don't have to do  
8 it that way. I understand why you do do it that way, but  
9 here's what the real problem is. In some cases a person, an  
10 institution, will have a written directive, let's say for  
11 example this says right lung (x) number of rads. If you look  
12 at a treatment plan though and you intend to have an HDR  
13 source dwell in nine or ten different positions of a specific  
14 amount for a specified period of time, and in the course of  
15 that procedure the dwell position is determined to have been  
16 off. Now, we find ourselves along with our colleagues in the  
17 Office of General Counsel having to wrestle with does that  
18 constitute a misadministration because the level of  
19 specificity detail and a treatment plan is far greater than  
20 that which is required in a written directive. And the  
21 question is, should it be?

22 Now, I recognize there is a tendency to want to  
23 obviously not put anything more into a written directive than  
24 one has to because of the regulatory implications, and I  
25 understand that. But it does plant as a practical problem for

1 us as regulators and for the regulated community.

2           MEMBER STITT: Well, in response to that, I mean  
3 the broader the better. Friday I was treating a patient. My  
4 prescription for external beam with a linear accelerator, and  
5 it's important to how we practice medicine because this is a  
6 small part, a very small part of it, and you don't regulate  
7 accelerators. But I wrote a prescription to treat the right  
8 lung to a certain dose. And then I do, you know, treatment  
9 planning different size and shapes of field, various blocks,  
10 but it says right lung. Well, I'm not going to be treating  
11 the whole right lung. But, boy, if it's a written directive  
12 and if it involves an isotope, if it says right lung, but then  
13 under some other sub definitions you've gotten some fraction,  
14 you know, of a dwell position here or there, we're saying that  
15 if it's too restrictive probably anything that was done could  
16 be interpreted as a misadministration.

17           And I think that we have to look at brachytherapy  
18 in the overall practice of radiation oncology because it is a  
19 part of a whole and shouldn't be separated out with too many  
20 subcategorizations that become so tiny that they don't make  
21 sense in a clinical setting.

22           And that, you know, is why I continue to have  
23 problems with how broad should the definitions be for written  
24 directive? How do we handle fractionation? How do we handle  
25 total time? And I don't have a specific answer, and I'm not

1 sure that we can come up with it right now. I think there are  
2 lot of people who need to be involved. I'd like to hear the  
3 physics community report on that.

4 DR. BREZOVICH: Yes, I think from the physics  
5 point of view, the most important thing is before we deliver  
6 the treatment we want to make sure that we know what the  
7 physician wants to be delivered. And that's all that the  
8 written directive should really do for us. So, for example,  
9 if the physician at the beginning of a treatment course does  
10 not show if he's going to give ten or 12 treatments because  
11 that will depend on the reaction of the patient. He may put a  
12 wavy line after ten treatments which means after ten  
13 treatments ask the physician do you want to continue or not.  
14 So that means it's totally unambiguous for the delivery of the  
15 treatment that we know what the authorized user wants. And I  
16 that's the spirit of the law.

17 CHAIRMAN SIEGEL: Lou?

18 MEMBER WAGNER: The biggest trouble I have with  
19 all this is that the written directive is apparently written  
20 for the NRC in order to be something against which they can  
21 judge whether or not there is a misadministration. I don't  
22 see that it has a real medical value.

23 And the difficulty here is that that really is  
24 tying the hands of the physicians and the practitioners to try  
25 to conform to something and cause anxiety to conform to

1 something wherein they know that this prescription and  
2 treatment not only will be written once, but might be changed  
3 in mid course for various clinical reasons.

4           So I have a lot of difficulty with the idea of  
5 this written directive being independent of treatment, but  
6 then I don't want the NRC going to the treatment and then  
7 defining that in such a restrictive way that that becomes a  
8 very difficult burden on the physicians either. The practice  
9 of medicine here is what's imperative and the written  
10 directive seems to me to be a very difficult issue for  
11 regulatory reasons. But I really question its importance in  
12 terms of medical practice.

13           MR. CAMPER: Well, let me clarify something for  
14 you. The written directive is a regulatory creation, that's  
15 correct. We specifically avoided the term "prescription" when  
16 it was developed because prescription itself at that time was  
17 undergoing some review by the appropriate organizations, and  
18 prescription has a certain meaning throughout the health care  
19 industry.

20           But the written directive was created not for the  
21 purposes of identifying misadministration, but rather for the  
22 purposes of insuring from a regulatory perspective that in  
23 fact a written document did exist that contained certain  
24 specified information as a minimal requirement because in some  
25 cases we had observed instances and had problems where

1 literally the amount of prescribed radiation that the  
2 therapist wanted administered was not written down.

3           There was verbal communication going on and/or  
4 upon questioning the physician would say yes, I know what I  
5 want and that's in my mind. But that's where it was, there  
6 was literally no written directive.

7           So it wasn't for the purposes of trying to  
8 identify misadministration, it was really for the purposes of  
9 insuring that something is in place prior to the  
10 administration signed by the authorized user.

11           CHAIRMAN SIEGEL: And as I've said before, and I  
12 think most of us agreed, all the quality management really  
13 needed to be was something that said the instructions of the  
14 authorized user should be recorded in writing before the  
15 treatment commences, period, end of discussion. Not link it  
16 to this misadministration reporting stuff and patient  
17 notification and all these other things because that's what's  
18 now creating -- we're doing exactly what people do when  
19 they're faced with an obstacle, we're figuring work-arounds.

20           And people are finding ways to write written  
21 directives that will minimize their liability for NRC action  
22 and not interfere with their ability to practice medicine.  
23 And that's a waste of everybody's time. It's not useful for  
24 anyone.

25           So I mean I would really encourage that the

1 fundamental issue is to reinvestigate the link between a  
2 quality management program, the written directive, and  
3 misadministration notification, patient notification, etcetera  
4 because that's really where the problem is.

5           We all agree that we think it's appropriate. I  
6 think we all agree that we think it's appropriate that when  
7 patients are being treated that the physician record what he  
8 has in mind in writing as a way of clearly specifying the type  
9 of treatment to be performed rather than just accepting  
10 emergency circumstances, picking up the phone and saying do  
11 what I told you, which is bound to lead to errors because of  
12 miscommunication. Written communication seems to work best.  
13 And we agree with that. It's this other stuff that's creating  
14 the problem.

15           Dr. Williamson?

16           DR. WILLIAMSON: Yes, I really agree with what  
17 Dr. Siegel has said. I think all the comments illustrate that  
18 there's a great deal of variability in clinical practice as to  
19 what the term written prescription means, and what things  
20 might or might not be included in it. You know, there just  
21 simply are a lot of variations in the way people practice  
22 radiation oncology.

23           But the issue seems to be how can this be decided  
24 here without sort of visiting the sort of essential regulatory  
25 issue which is not what is the written directive, but what are

1 the consequences of not following it exactly. And so I think,  
2 you know, it depends on how misadministration is defined and  
3 what sort of the enforcement attitude is towards it. I mean  
4 that's sort of the central problem.

5 MEMBER FLYNN: I agree with you also. But I  
6 disagree in one aspect.

7 DR. WILLIAMSON: Please?

8 MEMBER FLYNN: For HDR, 9301 bulletin, requires  
9 that the physician be physically present at the consult, be  
10 within audible voice range. That's why I didn't see a  
11 problem. I know Judith disagrees and Jeffrey disagrees. I  
12 didn't see a problem whereby the authorized user physician  
13 would for each fraction of brachytherapy sign his or her name  
14 because he's there supervising the treatment anyway.

15 My problem is that if one writes 500 times ten  
16 HDR treatments, and you go by some threshold like 20 percent  
17 or 30 percent of the total dose being different from what was  
18 prescribed as being a misadministration, you could give more  
19 than 100 percent, you could be more than 100 percent off given  
20 double or more of the dose when an error is made. Yet because  
21 you're in the context of ten other treatments or nine other  
22 treatments, it's not codified as being a problem.

23 I didn't think it was extra work for the  
24 physician since they're physically present at the console to  
25 sign their name to that fraction because that problem with the

1 fraction, that that be reported. Just when low dose rate  
2 brachytherapy they treat with two fractions oftentimes,  
3 sometimes three, usually two, and the prescription is written  
4 for each low dose rate fraction.

5 I realize there are more HDR fractions, but I  
6 didn't think it was imposing more on the physician who has to  
7 be physically present there supervising the treatment. Maybe  
8 if you were to adopt fractional differences, you have to make  
9 it a higher percentage like 30 percent or whatever.

10 But that's my major problem, is you can give a  
11 very high fraction in a complication or a possible  
12 complication could be associated with a very high fraction as  
13 opposed to the overall number of fractions being less than,  
14 and still the overall number of fractions, the dose, could be  
15 less than 20 percent different than what was prescribed.

16 CHAIRMAN SIEGEL: We didn't answer your question,  
17 did we? I tell you I really think that it's time to go back  
18 and look at some fundamental philosophy again and really  
19 evaluate what the goals are. I mean "every defect is a  
20 treasure," if I can partially quote Deming. But I think we've  
21 created a situation here in which defects are not treasures.  
22 Defects are things that haunt you.

23 And rather than the NRC being able to gather  
24 information as part of its appropriate governmental  
25 responsibility to be a central clearinghouse for problems and

1 then have the big picture and try to get the word out to help  
2 people avoid those problems in the future, we've created a  
3 situation where the problems has such severe consequences,  
4 reporting the problems have such severe consequences on the  
5 people practicing that they're trying to do a work-around.  
6 And that's just the wrong spirit of what you really wanted to  
7 have in mind.

8           So I think it would be a mistake for us to jump  
9 and tell you how to change the written directive for any  
10 specific type of brachytherapy right now until we look more  
11 carefully at fundamental issues. Which I presume, based on  
12 Don's comment earlier, that one of the things you look at as  
13 part of a big part 35 redo is the fundamental philosophy  
14 underlying this.

15           MR. CAMPER: Right, that's true, Barry.

16           CHAIRMAN SIEGEL: If there is a temporary fix  
17 that you perceive you need to stay in business now, rather  
18 than have this big group try to work through the temporary  
19 fix, it might be more prudent to consider having an expert  
20 subcommittee come and sit down with you for all of a day to  
21 really work through some of these issues, and then maybe at  
22 the next meeting the committee as a whole can help sign off on  
23 some of the specifics.

24           MR. CAMPER: Yes, that's a point well made. Let  
25 me sort of just quickly tell you where we are here. I mean we

1 at one point, and I think Trish made this comment in her  
2 opening remarks, we're headed toward a separate stand-alone  
3 rule making in brachytherapy. We recently revisited that  
4 decision and decided to pursue the brachytherapy issue as part  
5 of a major revision to part 35 that will follow the NAS  
6 report.

7           Now, unless some compelling reason arises during  
8 these deliberations with this committee or over the next few  
9 months as we meet with various societies, that's our plan, but  
10 what we're really doing now and the reason we decided to keep  
11 the brachytherapy issues paper and initiative alive is that  
12 clearly, as demonstrated this morning, these issues are  
13 extremely complex. So the more that we can learn through  
14 these interactions and then ultimately move into subcommittee  
15 meetings with the right kinds of organizations, perhaps even a  
16 subcommittee of this committee and so forth, we'll do that.  
17 But due to the complexity we thought that we would gather all  
18 the information that we could along the way.

19           But you're certainly right, I mean the big  
20 picture needs to be looked at in terms of are the thresholds  
21 right? Is the concept of a misadministration right? And all  
22 those big picture issues.

23           CHAIRMAN SIEGEL: Okay.

24           DR. HOLAHAN: Okay, I think that sort of ties in  
25 with all the definitions then. So I'm going to move on

1 through the definitions and go on to topic 2.

2           The next thing that I know, we've already  
3 discussed training and experience, but this gets more into  
4 some of the specifics related to primarily high dose rate  
5 remote after-loading. And it gets both into physician and  
6 physicist training.

7           Currently 35.940 does not require specific HDR  
8 training for a physician authorized user doing HDR. And I  
9 guess the bottom line question is, should NRC include any  
10 specific requirements of having experience prior to being  
11 listed as an authorized user for HDR?

12           MEMBER STITT: I always talk too much. Go ahead.

13           MEMBER FLYNN: Well, the major training occurs  
14 during residency, after residency in terms of brachytherapy in  
15 general. A lot of times the brachytherapy training has to do  
16 with knowing when to use it. And putting in catheters is the  
17 same whether it's low dose rate or high dose rate in many  
18 cases, putting in tubes in cavities.

19           There are some unique aspects of HDR that come  
20 into play. Anyone who is going to get into HDR, that would  
21 automatically be part of the learning process. I think  
22 understanding fraction size and understanding the biological  
23 equivalence of a high dose rate fraction of 500 centigray is  
24 not the same as a low dose rate fraction of 500 centigray.  
25 But that's very basic and that's incorporated in the residency

1 training even if the resident doesn't actually do it him or  
2 herself.

3           So I don't have a good -- I think Judith is  
4 working in this area, aren't you, in terms of what sorts of  
5 training you would recommend?

6           MEMBER STITT: I'm working with the American  
7 brachytherapy Society. We're going to have the first school  
8 for -- the School of brachytherapy will have its first session  
9 this December, and I'm running the GYN training school. So,  
10 if that's what you mean, yes is the answer to that.

11           Trish, let me answer a question with a question,  
12 what other specific requirements for authorized users does the  
13 NRC have in its regulations?

14           DR. HOLAHAN: Okay. Well, we have board  
15 certification now, recognizing too some of the older board  
16 certifications did not specifically include -- or some of the  
17 board certification from some of the --

18           MEMBER STITT: Is it like what we talked about  
19 earlier for the physicist, but it's for the --

20           DR. HOLAHAN: -- for physicians --

21           MEMBER STITT: -- right, that's what I had  
22 referred to.

23           DR. HOLAHAN: -- yes, and I don't have part 35 in  
24 front of me to look at the or category specifically, I'm  
25 sorry.

1                   CHAIRMAN SIEGEL: The or category other than  
2 board certification is classroom training, supervised work  
3 experience, and supervised work experience includes a variety  
4 of things, and then three years of supervised clinical  
5 experience that includes one year in a formal training program  
6 approved by the RRC for radiology or several other  
7 organizations. And that includes examining individuals and  
8 reviewing their case histories to determine their suitability  
9 for brachytherapy treatments and any limitations or contra  
10 indications, and selecting the proper brachytherapy sources  
11 and dose and methods of administration, and calculating the  
12 dose and post administration follow-up. Those re pretty  
13 broad.

14                   MEMBER STITT: Right.

15                   CHAIRMAN SIEGEL: And one could make the argument  
16 that since the current licensing approach is literally to  
17 require the physician present to be able to intervene in the  
18 event of problems during an HDR treatment that the or category  
19 should include direct experience with HDR. And I'm assuming  
20 that if you're going to continue to allow ABR certification to  
21 be the basis for doing HDR, that you're going to want some  
22 assurances from the ABR and indirectly from the Residency  
23 Review Committee for Radiology that the training programs  
24 include this.

25                   MEMBER FLYNN: Well, it's the Residency Committee

1 for Radiation Oncology which I'm on, and we just adopted the  
2 standards. And if a facility has HDR equipment, they're  
3 required to provide the resident staff with the didactic  
4 lectures and the biology and physics background and the  
5 training for that.

6 CHAIRMAN SIEGEL: The current approach, it seems  
7 clear that we're basically saying that people who are  
8 proposing to do something ought to be able to demonstrate that  
9 they've had some training and experience in it, and therefore  
10 are likely to be competent in doing that.

11 Since HDR is obviously a problem area where some  
12 serious problems has occurred, to say otherwise for HDR would  
13 be inconsistent with the current approach. And so I would  
14 say go for it given that this is what you currently do in the  
15 way of training and experience.

16 If we look at a big paradigm shift at some time  
17 in the future, this should be re-examined along with  
18 everything else.

19 Do you concur?

20 MEMBER STITT: I agree. And I'm on the Standards  
21 Committee for the American College of Radiology. That's news.  
22 So we sort of have a lot of bases covered here amongst the  
23 different groups. And I think that HDR could be more  
24 specifically addressed than what we have there, but singled  
25 out so that that does allow some very specific questions to be

1 directed at an individual.

2 CHAIRMAN SIEGEL: So barring other comments, the  
3 answer to the first question is yes.

4 MEMBER STITT: Okay.

5 DR. HOLAHAN: All right. The other one is sort  
6 of more a follow-up of what we discussed earlier in terms, we  
7 talked about the training and experience requirements for a  
8 medical physicist. Currently in licensing guidance licensees  
9 are required to have a medical physicist if they are doing HDR  
10 brachytherapy, but there's nothing in the requirements that  
11 says you need to have a physicist.

12 I guess the question is, should licensees doing  
13 HDR have an authorized physicist on staff?

14 MS. PICCONE: Should that requirement be in the  
15 regulations?

16 DR. HOLAHAN: Yes, yes.

17 MS. PICCONE: We already require it of licensees  
18 through the licencing process.

19 DR. HOLAHAN: Through licensing process, yes, I  
20 apologize. So should we incorporate that into the  
21 regulations?

22 CHAIRMAN SIEGEL: You used the word "on staff,"  
23 did you mean that word?

24 DR. HOLAHAN: No, I meant should there be an  
25 authorized physicist listed on the license, if the licensee is

1 doing HDR physics,( i.e. I mean it could be a consultant  
2 physicist.) I think, was that your question?

3 CHAIRMAN SIEGEL: Yes.

4 DR. HOLAHAN: Okay.

5 CHAIRMAN SIEGEL: Well, the first way to address  
6 this question is, is there consensus that a authorized user  
7 physician and a physicist should be present for HDR  
8 brachytherapy as is currently required as part of licensing?

9 If you agree that that's appropriate, that that's  
10 the standard of care, then it's appropriate to move it --  
11 isn't that what you're requiring?

12 DR. HOLAHAN: It requires the authorized user and  
13 medical physicist or RSO.

14 CHAIRMAN SIEGEL: Okay.

15 DR. HOLAHAN: So the RSO --

16 CHAIRMAN SIEGEL: So are you proposing a change?

17 DR. HOLAHAN: -- may not be medical physicist.

18 CHAIRMAN SIEGEL: Correct. And refresh my  
19 memory, how did you resolve from a licensing point of vie the  
20 issue where the authorized user and the RSO are the same  
21 person?

22 DR. HOLAHAN: Currently --

23 CHAIRMAN SIEGEL: And so you would license them  
24 to do HDR brachytherapy with only one person present?

25 DR. HOLAHAN: That's correct.

1 CHAIRMAN SIEGEL: Okay.

2 Lou?

3 MEMBER WAGNER: Would you please explain to me  
4 what advantage there is since you're already requiring this of  
5 licensees, what advantage is there of doing it differently now  
6 by moving it on to regulation?

7 DR. HOLAHAN: Because we're --

8 MR. CAMPER: Well, I'll certainly explain it just  
9 real quick. The reason for that is following the incident in  
10 Indiana, Pennsylvania in 1992, we substantially, significantly  
11 I would say, upgraded our requirements and licensing space for  
12 HDRs. If one looks today in part 35 you will not find a  
13 separate section for HDR. And arguably I think that there  
14 should be in view of the complexity of the technology. But it  
15 fits under the category of brachytherapy.

16 Now, when we, if one looks today at the number of  
17 conditions and the nature of the conditions, and we'll touch  
18 on this a little more later, that we impose upon an HDR  
19 licensee, the thing that I'm concerned about and we're  
20 concerned about as an agency, if we're challenged as to  
21 whether or not we believe there is a public health and safety  
22 problem today with our regulation of HDR, the answer is no,  
23 because we cover it through licensed conditions.

24 However, please understand that those licensed  
25 conditions have never been subjected to due process. They've

1 never undergone public scrutiny and comment. In the  
2 regulatory arena it would undergo such scrutiny. And our  
3 question for you is, should we move from licensing space into  
4 the regulations and the sunlight affect that it has upon it?

5 CHAIRMAN SIEGEL: Bob?

6 MEMBER QUILLEN: From agreement state point of  
7 view, one, a criteria like this is in a regulation, then there  
8 is the compatibility status attached to it as to whether the  
9 agreement states have to adopt this in their regulations.  
10 When it is done through a procedural point of view, the  
11 agreement states have an option as to what they want to do.  
12 So it becomes a question as to whether this should be a  
13 uniform practice throughout the entire licensing community.

14 MEMBER WAGNER: Now, that's a good reason.

15 CHAIRMAN SIEGEL: Bob, I can't tell if you're for  
16 or against. Because I read that comment either way. Would  
17 you be willing to commit yourself?

18 Well, I mean my personal answer, and we'll see  
19 what the rest think, is that I really agree that having this  
20 done by the proper administrative procedures is a clearer way  
21 to make sure that you've had the broadest input possible. And  
22 that you have to do due diligence in terms of regulatory  
23 analysis and all that other stuff. And I say, go in that  
24 direction. Do you agree?

25 DR. HOLAHAN: And if we do, are you saying to

1 have a physicist on the license?

2 CHAIRMAN SIEGEL: It could be physicist or a  
3 radiation safety officer. Now, let's see --

4 DR. HOLAHAN: A radiation safety officer may not  
5 necessarily be therapy.

6 CHAIRMAN SIEGEL: Well, you're already requiring  
7 a physicist to issue a license for HDR, right?

8 DR. HOLAHAN: Through licensing space, right.

9 CHAIRMAN SIEGEL: Well, then if you're requiring  
10 it through licensing space, you ought to take it to the public  
11 and find out whether the public wants it to be done in  
12 regulatory space.

13 DR. HOLAHAN: Okay.

14 CHAIRMAN SIEGEL: That's what I think.

15 DR. HOLAHAN: I guess the question was, does the  
16 ACMUI agree with --

17 CHAIRMAN SIEGEL: I do, but I don't do this for a  
18 living. I'd just be curious to hear Dr. Williamson's and Dr.  
19 Brezovich's comment on this and then we'll make the consensus  
20 decision.

21 DR. WILLIAMSON: Well, I guess I would like to  
22 answer the question with a question too. What does it mean to  
23 be on the license? I think, you know, maybe a little clearer  
24 delineation of the role of the medical physicist in the  
25 process of treatment delivery might be helpful, or some

1 consensus what it's for. I mean you can have someone on a  
2 license and they're 2,000 miles away, what good is that?

3 CHAIRMAN SIEGEL: No, but I think that's going to  
4 end up, this recasting of the teletherapy physicist as the  
5 medical physicist implies that there is now going to be a more  
6 central role for the physicist in the whole process of  
7 radiation oncology, and so lots of things are going to get  
8 adjusted in the process.

9 Correct, Trish?

10 DR. HOLAHAN: Correct. And what it is is, for  
11 example with the teletherapy physicist, we don't tell the  
12 licensees how much the teletherapy physicist has to be  
13 physically present, but there are certain things that the  
14 teletherapy physicist must do. And it would be the same type  
15 of thing, that there are certain, for example some of the QA  
16 checks and controls, you know, would be the physicist.

17 DR. WILLIAMSON: Okay, I guess that's what I was  
18 asking is sort of what things you had in mind.

19 The other comment I'd like to make is I do not  
20 think it's helpful to put the radiation safety officer as  
21 either being the person to help solve technical emergencies  
22 with the machine or do more technically oriented things with  
23 the device such as quality assurance. A radiation safety  
24 officer in general, you know, is responsible for health  
25 physics in the institution. At least that's as I understand.

1 They have no technical expertise. I mean how are they going  
2 to --

3 CHAIRMAN SIEGEL: They could.

4 DR. WILLIAMSON: -- solve a device emergency?

5 CHAIRMAN SIEGEL: I mean, Jeff, they could. You  
6 could be the radiation safety officer at Washington  
7 University.

8 DR. WILLIAMSON: That's correct, but I'm also a  
9 radiation oncology physicist. It's by virtue of that role  
10 that I have the expertise to manage the emergency, so I would  
11 give some thought to -- and that would resolve the problem of,  
12 you know, only a physician being available during a technical  
13 emergency or other device malfunction.

14 MEMBER FLYNN: I agree with you a hundred  
15 percent. And when I saw the draft of 9203 and 9301, I  
16 disagree that RSO be there. It should be a physician and a  
17 physicist. The RSO should be even listed on that as being a  
18 substitute for the physicist in my opinion.

19 DR. BREZOVICH: My comment, since you asked me to  
20 do so, absolutely agrees with that. And I'm going to be just  
21 specific to give you an example why the physicist may really  
22 indeed be necessary, and that--

23 CHAIRMAN SIEGEL: How about if I just say we  
24 believe you.

25 DR. BREZOVICH: Okay.

1           CHAIRMAN SIEGEL:  Because I think that there is  
2 general consensus on that point.

3           Dennis, do you have a comment?

4           I didn't mean to cut you off, Ivan.

5           DR. BREZOVICH:  No, that's fine.  You did what I  
6 want, thanks.

7           CHAIRMAN SIEGEL:  You're welcome.

8           MEMBER SWANSON:  I guess I have a question about  
9 what are the implications of requiring a physicist on the  
10 license.  Are you saying that the authorized user physician  
11 doesn't possess certain bodies of knowledge that thereby  
12 requires the medical physicist to be there?  And if so, that's  
13 a disconnect from who is responsible for the overall care of  
14 the patient, which is the physician, okay, and you can't  
15 delegate that responsibility to the medical physicist.

16          DR. BREZOVICH:  May I comment on that?

17          CHAIRMAN SIEGEL:  Sure.

18          DR. BREZOVICH:  Okay, if you have -- now, I can  
19 come up with the example that I wanted to come up with in the  
20 first place.  What can happen is if the patient has a coughing  
21 spasm during a bronchial treatment and suddenly the treatment  
22 gets interrupted halfway in between.  From a radiation safety  
23 officer's point of view, the problem is solved and the  
24 radiation source is back in the safe container.  We are out of  
25 the emergency.

1           From a physicist point of view, now the emergency  
2 begins because what you have to now try to find out, how much  
3 radiation did the patient at this time obtain, how can I come  
4 up with a treatment plan with substitutes for the missed  
5 radiation so the patient still at the end of it gets what he  
6 wanted to get. And that's why we need the physicist.

7           MEMBER SWANSON: The point I'm trying to make  
8 though is, should not the authorized user physician also have  
9 the skills to be able to make those calculations?

10          DR. BREZOVICH: No. I mean this is not how it's  
11 practiced. I mean in order to be a real qualified physicist  
12 you need a advanced degree in physics plus board  
13 certification. And there's a specific degree for this  
14 specification. So there's no way that it would be reasonable  
15 to expect the authorized user to go through three years of  
16 extra physics training and take board certification in physics  
17 just to be able to handle this one situation.

18          MEMBER NEMP: I think there is an advantage to  
19 just having one person responsible for the program. Like in  
20 my shop I'm responsible for my medical physics and the people  
21 who do all the technical work and do a lot of administration.  
22 And it's my job to see that they do their job. And I'm the  
23 licensee, and I would think  
24 that having a single person being the licensee is -- it's  
25 implied that the medical physicist is part of his team and the

1 medical physicist is responsible to a licensee for his  
2 performance.

3 DR. HOLAHAN: At a medical institution though,  
4 the licensee is the management. It is not the authorized  
5 user. He is listed on the license, or she.

6 MEMBER FLYNN: But in answer to Dennis' question,  
7 there have been misadministration and problems whereby the  
8 physicist being there to address the equipment and the failure  
9 of equipment while the physician is addressing the patient  
10 that the physicist wasn't there a much more serious incidence  
11 would have occurred. And there's a number of incidents I can  
12 tell you about, but --

13 MEMBER SWANSON: I don't have problems about the  
14 good practice of having a medical physicist there. What I  
15 have problems with is what you're saying by requiring a  
16 medical physicist on your license, are you implying that  
17 there's a body of knowledge that the authorized user doesn't  
18 have?

19 DR. BREZOVICH: Yes.

20 MEMBER SWANSON: And then there's a disconnect.  
21 Because in reality the medical physicist, although they may  
22 make calculations, et cetera, they are not responsible for the  
23 patient care. Period. They answer to the physician, in this  
24 case, the authorized user. The authorized user is responsible  
25 for the patient care.

1           So, like I said, there's sort of a disconnect  
2 from the reality of who's responsible for the patient care  
3 ultimately, I think.

4           MEMBER GRAHAM:   Wouldn't the disconnect occur  
5 only if it was to exclude the licensed authorized user and  
6 leave just the medical physicist?  I don't hear that being  
7 proposed.

8           MEMBER SWANSON:  Then I don't have a problem with  
9 that either but why are you requiring that individual on a  
10 license?  And I guess I could go back and say the thing about-  
11 -

12          MEMBER GRAHAM:  There's a unique knowledge  
13 they're bringing to the table as part of a team.  And I  
14 thought we were -- So, we're just sending this up to bear the  
15 bright light of day.

16          CHAIRMAN SIEGEL:  Do either of the radiation  
17 oncologists at the table think that they would like to  
18 practice HDR brachytherapy without benefit of physicists?

19          MEMBER FLYNN:  Not unless I had a good lawyer.

20          MEMBER STITT:  Yes, and have a good physicist and  
21 a good lawyer.

22          MEMBER NELP:  May I ask, what, in a medical  
23 license when you issue a license for the use, medical use of  
24 these materials, do you have a precedent now where you list  
25 more than one individual on the license other than the --

1 DR. HOLAHAN: We list the authorized users for a  
2 limited specific license.

3 MEMBER NELP: The authorized users are usually  
4 the -- in fact, it's a medicine or the physicians, right?

5 DR. HOLAHAN: Currently. That's all that is --  
6 yes, and then we --

7 MEMBER NELP: But you don't currently list  
8 anybody else in the authorized user --

9 CHAIRMAN SIEGEL: Authorized nuclear pharmacists.

10 MEMBER SWANSON: But not required by the license?  
11 Not required by the license.

12 CHAIRMAN SIEGEL: That's correct. But that's  
13 because the NRC's made a judgment that we've agreed with that  
14 the activities that could be performed by an authorized  
15 nuclear pharmacist could also be performed directly by the  
16 authorized user or by individuals working under the  
17 supervision of an authorized user.

18 In this case, the radiation oncologists are  
19 saying that they think a step further is required. And I  
20 personally think I agree with them. So --

21 Lou?

22 MEMBER WAGNER: I just want to make one comment.  
23 That I emphatically endorse the comments of the two  
24 physicists, two guest physicists. But also would like to  
25 emphasize that the important point that was made is that the

1 medical physicist is there for the additional patient care and  
2 that an RSO, by specifically by its definition, is there for  
3 the occupational safety and health of other individuals. But  
4 it's not directly related to the patient and that's the  
5 difference here for the medical physicist.

6 MR. CAMPER: We're going to need to stop for now  
7 and move to the next topic because Jack Roe is here.

8 CHAIRMAN SIEGEL: We're going to figure out a way  
9 to make some time to keep doing some of this stuff. Or at  
10 least devise a strategy for helping to deal with these  
11 questions. Because it's obvious this is important stuff that  
12 we're interested in.

13 MR. CAMPER: As we're making this change, in  
14 answer to Doctor Nelp's question. There are several instances  
15 in which we do identify several authorized users by a  
16 particular specialty or expertise as is demonstrated through  
17 their training and experience. We do designate a teletherapy  
18 physicist. And of course in the HDR space, we are now  
19 identifying HDR related physicists.

20 But the whole question, of course, is the one  
21 that was put out and the idea of putting it into the  
22 regulations, having it undergo due diligence, and so forth.

23 CHAIRMAN SIEGEL: Mr. Roe, welcome.

24 DR. ROE: Good morning. I hope my voice is loud  
25 enough. If it's not, I'll bring the microphone over.

1           Good morning. Is that acceptable?

2           I'd like to take the opportunity today to  
3 introduce myself and put my briefing in context. I'm Dr. Jack  
4 Roe. I normally work in the Office of Nuclear Reactor  
5 Regulation as a director for the projects organization  
6 regulating nuclear power plants in Regions 3 and 4 of our  
7 country. I'm on a special assignment to the Office of the  
8 Executive Director to carry out the direction that we've  
9 gotten from the commission and the Administration on the  
10 national performance review.

11           In your package you should have the slides that  
12 I'm going to generally use as an outline for the briefing.  
13 I'm going to try to be short in the brief because I understand  
14 that you are pressed for time today.

15           Overall, in the background of the national  
16 performance review, as we well know, is this particular  
17 activity is a government-wide activity that has the  
18 sponsorship and the leadership of the President and  
19 specifically is being carried out day-to-day observation by  
20 the Vice President.

21           In the background, we have received several  
22 directives and documents that we have used to guide our review  
23 in the activities. And in phase 2 of the national performance  
24 review, there are two central focuses. The first one is a  
25 focus on the commission's regulations. The second focus is on

1 the commission's functions. The background there that you  
2 will see, there's three entries. Basically those are  
3 documents received from the Administration that talked about  
4 the general approach. The most specific one was the March 4th  
5 memorandum from the President that provided the directive that  
6 indicated what he desired to have done by the Administration's  
7 agencies and departments, and when he wanted the results.

8           The next slide will basically talk about current  
9 and future NRC activities. When I wrote this in preparation  
10 for a meeting, it was a little while ago and some of these  
11 were yet to occur. And now they have transpired. The first  
12 aspect, first focus that we had was on regulations review. We  
13 wanted a broad range of individuals in the NRC and outside the  
14 NRC involved in that particular review. First of all, we  
15 wanted to utilize the expertise that was in each and every one  
16 of our offices and regions. Those people are closest to the  
17 regulations. They understand some of the technical issues  
18 better than people that are outside. For example, this  
19 particular area, brachytherapy I have learned a great deal in  
20 a short period of time because I was never touched by it  
21 before in the regulation of reactors.

22           We involved not only the headquarters offices but  
23 we involved our regional people to get what has been called by  
24 the Administration the front line regulators to find out, the  
25 people who actually do the licensing in the field, if that's

1 the area that's done, and the inspections give us feedback to  
2 the process.

3           We also used a semi-independent steering group.  
4 And I will use the term semi-independent because the steering  
5 group was drawn from the offices. As far as a management  
6 approach, we tried to take the steering group members and mix  
7 them. We tried not to take those people who focused on  
8 reactor regulation to be those people who day-to-day work in  
9 reactor regulation but a mixture. So that we got a fresh set  
10 of eyes looking at the regulations and somewhat of a  
11 questioning attitude about some of the regulations. We also  
12 did not work those groups so that they were all outside the  
13 area so that they did not have the opportunity to get some  
14 technical input into the review.

15           We looked at the regulations from the perspective  
16 of are they obsolete? Are they burdensome, prescriptive, and  
17 overlapping? Some of those obviously have judgment. The  
18 obsolete ones are straight forward and we found some.

19           We wanted to build on existing initiatives.  
20 There are quite a few initiatives that have already gone  
21 forth, as you know. And the area of nuclear reactors we have  
22 a had a multi-year regulatory reform. And also in materials  
23 there is going on now some detail reviews. I think as a  
24 matter of a fact, Dr. Paperiello is briefing the commission on  
25 the business process re-engineering from the materials program

1 probably as we speak now.

2           We requested that input be given to us in the  
3 middle of April and we have already briefed the ACRS, the  
4 ACNW, and our committee for the review of generic requirements  
5 declined to be briefed on this.

6           Tomorrow our paper is due to the commission. It  
7 essentially is approximately a 90 page paper that outlines the  
8 activities that we carried out. Has two letters to the  
9 President of the United States. One, the first letter to the  
10 President, is at his staff's request, a table that indicates  
11 what regulations we reviewed, which ones are going to have  
12 reinvention. And reinvention is a term they use to mean there  
13 will be further action. And a discussion of what time frame  
14 that will occur.

15           We owe that first response to the President the  
16 first of June. We owe a second response to the President on  
17 June 15th where he has asked for a summary of the regulations.  
18 He does not want the multi-page tables but I think he wants  
19 basically a numerical approach towards it so that he can take  
20 throughout the whole administration and report to the American  
21 people what the impact is going to be.

22           He also wants to have us discuss rewarding  
23 results instead of basically a compliance approach and  
24 penalizing people. He wants more of a partnership with our  
25 licensees.

1           He also wanted us to address our creation of  
2 grassroots partnerships with our clients, in this case the  
3 regulated entities. And lastly, he asked to report on how we  
4 plan to go about negotiating with the licensees instead of  
5 dictating and getting into more negotiated rulemaking  
6 sessions.

7           Somewhat in parallel to those activities because  
8 of the due date, we have been directed by the commission in a  
9 staff requirements memorandum in the spirit and keeping of the  
10 national performance review to carry out a functions review of  
11 the NRC. In this functions review we developed a flow chart  
12 and also a questionnaire. We took the opportunity to obtain  
13 from the very top of the NRC the views about our functions,  
14 which functions should be carried out by the federal  
15 government and which functions could be carried out by others,  
16 more pointedly, by the states.

17           We carried out these interviews with all the  
18 office directors and their senior staffs, and all the regional  
19 administrators and their senior staffs. This was conducted by  
20 members of the steering committee with various compositions  
21 depending upon who we were talking to and at what time. We  
22 did this in accordance with the study plan that we provided to  
23 the commission.

24           Our focus was on that federal function and where  
25 for the future the NRC could rely upon others. And I think

1 that well known is that's an approach the federal government  
2 is to give to others those functions that are not necessarily  
3 to be carried out by the federal government.

4           We plan to brief the ACRS and ANCW. If it's  
5 appropriate, there will probably be some pre-decisional  
6 information in there, sensitive information, based on our  
7 reviews to date of activities that the commission will have to  
8 decide basically on a policy standpoint.

9           We owe it to the commission, a paper, by the  
10 first of July and I think because of the change of the  
11 commission, we will probably have that report in the middle of  
12 June.

13           We took a look, then, in this review at  
14 efficiencies. We asked ourselves how can what we do most  
15 frequently be done with less resources and still get the same  
16 product. We wanted to build on the current initiatives we  
17 have in place such as the business process re-engineering and  
18 materials area and some initiatives we have in the reactor  
19 area.

20           We are identifying activities. We have now come  
21 with almost 20 recommendations for future action. Those  
22 recommendations have been reviewed and briefed to the  
23 executive director and now have been discussed with the  
24 relevant office directors and regional administrators. That  
25 particular discussions are ongoing.

1           CHAIRMAN SIEGEL: Do any of those involved the  
2 medical program?

3           MR. ROE: Yes, they do. Specifically, there are  
4 two aspects of our functional review that address the medical  
5 program. Our view is that we should look broadly at expanding  
6 the agreement state program and that we should carefully  
7 evaluate the regulations of Part 35 with respect to the use of  
8 medicine.

9           We, at the beginning of this issued a press  
10 release and invited comments from various parties, and have  
11 briefed various parties. With respect to our functions, we  
12 have asked people what should be retained, what should be  
13 eliminated, what should be modified, specifically what should  
14 be given to others.

15           Again, we've asked the question of those  
16 functions which overlap with other regulatory bodies, is the  
17 overlap useful? Surprisingly enough in a few circumstances,  
18 we were told yes. Not in every circumstance would you think  
19 that that question would be yes. In a few circumstances, we  
20 were told not only is the overlap useful, but they want the  
21 NRC to retain their regulations because they find them more  
22 stable. We're a little bit surprised but we will take that  
23 one. We asked if they should be eliminated and also who  
24 should have the lead.

25           The second focus is on the regulations. We've

1 asked outside parties if they're overly burdensome, out of  
2 date, of marginal value to safety, too prescriptive,  
3 overlapping with other agencies, basically the whole gamut of  
4 questions. And we asked how should they be changed and what  
5 are the top priorities for change. We received two  
6 distinctive responses. First, from the reactor community the  
7 response was, the regulations are in fairly good shape and  
8 those that we find of concern to us the NRC has under review  
9 and has processes to lessen the burden. And I think that  
10 response is because we have been working with that community  
11 for several years on regulatory reform.

12           The second focus was basically from the group  
13 similar to your expertise is in the medical area. Of eight  
14 letters we received, one-quarter of them were associated with  
15 Part 35, one regulation. And we received letters from the  
16 American College of Medical Physics signed by Dr. Feller and  
17 Dr. Rogers, and one from the American College of Radiology  
18 signed by Gary Price.

19           Basically that concludes the overview of my  
20 brief. I'd be glad to answer any questions that you have  
21 about our national performance review.

22           CHAIRMAN SIEGEL: What preliminary conclusions  
23 have you come to with sort of which federal agency from your  
24 perspective should have primary, the lead, responsibility for  
25 radiation standard setting? Have you focused on that issue?

1           MR. ROE: We focused on the relationship with  
2 environmental protection agency and the NRC. In conformance  
3 with the direction from the national performance review, those  
4 agencies that statutorily have the lead are to look at the  
5 overlap. So we have had -- I've had some discussions with the  
6 EPA. Our focus right now is to see if the -- if it's useful  
7 to seek any legislation or whether it's more appropriate to  
8 continue to work out the issues between us. And right now our  
9 view is that probably the most useful thing for the NRC to do  
10 is to work out with the EPA those issues. And that seeking  
11 legislation may be a utilization of resources that is not as  
12 productive as working currently with the EPA.

13           But, the EPA will also report to the President  
14 and they will have the responsibility to address it.

15           MEMBER QUILLEN: When will your reviews or  
16 documents be made public?

17           MR. ROE: They'll be made public on May the 24th.  
18 We're going to brief the commission about our report to the  
19 President both on the first and the 15th. That information  
20 basically will be presented. The reports themselves are  
21 normally considered government entity to government entity  
22 reports and I think are at the discretion of the commission  
23 whether or not in consultation with OMB that they release the  
24 actual documents, the reports to the President.

25           But a great deal of the information, I would say

1 all the substance, will be presented to the commission on the  
2 24th with respect to those two letters. The first letter is  
3 really the one of most focus. Originally the President asked  
4 for all the information on the first of June. We did not see  
5 from the NRC's perspective a difficulty but large agencies  
6 such as the Department of Defense, the Department of Treasury,  
7 who have a multitude of agencies, a multitude of areas, found  
8 that that was very difficult to put together in the short  
9 period of time that they were given. So the President gave  
10 two more weeks for the other areas that talked about the areas  
11 outside regulation. But he does want the tables on the first  
12 of June.

13                   CHAIRMAN SIEGEL: So there will a shorter  
14 briefing document for that May 24th meeting independent of the  
15 report to the President?

16                   MR. ROE: Yes sir.

17                   CHAIRMAN SIEGEL: And that will be distributed at  
18 that open commission briefing?

19                   MR. ROE: Yes, it will.

20                   CHAIRMAN SIEGEL: Can I ask that the members of  
21 the committee be sent that document?

22                   MR. ROE: Dr. Siegel, what I should said that if  
23 you have not received copies of the two letters I reference, I  
24 will give them to the staff so they can provide them to you.

25                   CHAIRMAN SIEGEL: Well, we hadn't. So all we've

1 gotten are the copies of the slides that you just walked us  
2 through. So we'd love to have as well --

3 MEMBER NELP: Did you examine overlap of interest  
4 in regulations between the FDA and the NRC?

5 MR. ROE: Not specifically, no. We did not -- in  
6 our interviews we did not see an issue. In discussions with  
7 others that did not seem to be a primary issue. If it is an  
8 issue, it would be appropriate that we know about. But it did  
9 not come up. And we sought the interviews from, I said, the  
10 top of the agency, discuss people. I had a meeting with Larry  
11 Camper specifically in preparation for meeting with you to  
12 understand what the role of this particular committee was.  
13 And also asked the people in the field about that. And this  
14 did not come up as an issue that they believe was necessary to  
15 be pursued.

16 CHAIRMAN SIEGEL: John, did you have a question?  
17 Dennis?

18 MEMBER SWANSON: Did you address at all the issue  
19 of the NRC's regulation of limitation to by-product material  
20 versus states regulating accelerator produced material?

21 MR. ROE: Yes, we did. Specifically if you take  
22 a look at our approach toward a desire for the commission to  
23 address an expansion of the agreement state program, we see  
24 that there's a logical follow through for the states to  
25 regulate all types of radioactive materials regardless of

1 where they came from. The risk to the public is the same and  
2 is not relevant from a risk perspective of where they came  
3 from. So, that's our perspective, is that if the states are  
4 carrying out a radiation protection program for other than  
5 atomic energy type materials and the states are satisfied with  
6 the protection of the people, they should be able to expand  
7 that over to those that are by-product material and have the  
8 same satisfaction of the people in the state.

9 CHAIRMAN SIEGEL: Larry and then Bob.

10 MR. CAMPER: On that point, Jack. Did you get  
11 into at all how that might be facilitated given that  
12 participation as an agreement state is a voluntary action on  
13 behalf of the agreement state?

14 MR. ROE: Yes, we did. We specifically have in  
15 the recommendation which will go forward to the commission,  
16 the commission will make their decision is what we consider  
17 some approaches, some initiatives, some incentives, some  
18 procedures, some approaches that would make it, I would say,  
19 more attractive financially for the NRC in the long run. The  
20 short run may not be. But the long run it would be,  
21 especially if we are interested in devolving to the states  
22 that responsibility and authority.

23 We also put in a few novel approaches to  
24 precipitate a little thinking.

25 CHAIRMAN SIEGEL: Bob.

1           MEMBER QUILLEN: I just want to comment that the  
2 Office of State Programs has sent out a letter to the  
3 agreement states notifying them that effective October 1st,  
4 1996, they will be reducing the support to agreement states.  
5 The paradox here is you have one program which is encouraging  
6 agreement states and another program at the same time is  
7 discouraging agreement states. And I've seen already one  
8 letter from an existing agreement state saying if this comes  
9 to pass, that they will likely give their agreement state  
10 status back.

11           MR. ROE: We understand that and that was a  
12 specific point that we briefed the executive director about,  
13 is that it appears that the recent commission decisions are in  
14 a direction that may be counter to what the national  
15 performance review has. And he clearly and sincerely  
16 acknowledges that point and I know it's very high on his  
17 priority to address that particular paradox.

18           CHAIRMAN SIEGEL: Aren't you stuck, though, by  
19 the requirement that you raise your working capital from user  
20 fees?

21           MR. ROE: Yes, we are stuck and that is one of  
22 four legislative proposals we're going to go forward with. We  
23 feel that that is hampering us in several areas. I have found  
24 that of complaints with respect to regulations is it really is  
25 number one. It is -- And I understand why it's number one.

1 We specifically have a long section in our report to the  
2 President about that particular issue. I have found out from  
3 talking to different people, if you talk to reactors, they  
4 feel it's unequitable. If you talk to materials licensees,  
5 inequitable. It's one of those areas where we have been able  
6 to cause concern with all of our constituents.

7           CHAIRMAN SIEGEL: And it is clear that it will  
8 have a big impact on this push to agreement state status in  
9 the materials programs.

10           MR. ROE: Yes. Absolutely.

11           CHAIRMAN SIEGEL: It really will be a major  
12 impediment.

13           MR. ROE: One of the things that I should remark  
14 about is that what we have done is given people  
15 recommendations for further evaluation. And about a year from  
16 now, in July of '96 is what we have to do is basically deliver  
17 the plans. Some of them have earlier time schedules that we  
18 have put in there. The one with respect to agreement states  
19 we have an earlier time schedule because we think that it is a  
20 much more important issue that has to be dealt with. And it  
21 is more of a policy issue to begin with to make a decision  
22 that will give a long term efficiency to the NRC. So we  
23 didn't think we should wait until next year at this time to  
24 receive those particular issues. We thought it should be  
25 brought forward much earlier so that our new commission can

1 address that. Both commissions, basically, can address that  
2 issue.

3 MEMBER SWANSON: One other question. From the  
4 flip side, have you looked at all at international  
5 harmonization?

6 MR. ROE: No, we have not looked at that.  
7 Basically we looked at only domestic and see if there was any  
8 difficulty there. We did discuss briefly about the  
9 relationship of Part 22, international standards. But that--  
10 when we discussed that, there didn't seem to be an issue so we  
11 did not pursue it. But it would be unfair to tell you that we  
12 did much review of it. We asked questions and they said it  
13 was -- that was people were satisfied with it and therefore we  
14 took and factored off into other areas where people were not  
15 satisfied.

16 MEMBER SWANSON: The only reason why I bring that  
17 up is CORAR which is an organization of radiopharmaceutical  
18 manufacturers actually have addressed international  
19 harmonization of radiation regulations as one of their major  
20 concerns at this point in time. So, there does appear to be  
21 some concerns in that area.

22 CHAIRMAN SIEGEL: All right. Thank you very  
23 much. Appreciate it. And we'll look forward to seeing that  
24 report.

25 Dr. Flynn.

1           And Dan, ideally if -- well, we'll see how the  
2 time goes. Depending on how long this takes, maybe we can  
3 loop back to try to address some of Trish's other questions or  
4 we can stop a little sooner for lunch and we'll figure it out.

5           MEMBER FLYNN: This will take shorter than a half  
6 an hour.

7           CHAIRMAN SIEGEL: Good.

8           MEMBER FLYNN: I have copies of the slides being  
9 passed out. There's only about 10 or 11 slides. But I wanted  
10 to talk about this because we started doing prostate implants  
11 ourselves last fall. I did one this week. But also as an NRC  
12 consultant, certain misadministration that came to my  
13 attention and also outside the NRC certain problems came to my  
14 attention. And talking with the experts who have done over a  
15 thousand of these in Seattle, they're also getting phone calls  
16 in that procedure now to treat localized prostate cancer is  
17 becoming popular extremely rapidly. And because as a -- when  
18 you have a procedure whereby only a few major institutions are  
19 doing the procedure, you may not see the problems, especially  
20 when the volumes are low. But as soon as the community picks  
21 up on a procedure and you have the number of cases going up  
22 very rapidly, you may start to see problems.

23                   In the United States now the diagnosis of  
24 prostate cancer is going up extremely rapidly, more than any  
25 other cancer. And the reason why is because of the screening

1 PSA blood test. Perhaps of 1,200,000 new cancers this year,  
2 200,000 or more will be males with prostate cancer. Most of  
3 these cancers will be early cancers because it's being picked  
4 up in a screening test.

5           The number of brachytherapy cases I estimated and  
6 I estimated incorrectly. I thought after talking to some  
7 people that five years ago there were only about 200 cases a  
8 year. And I estimated that it's gone up to more than 3,000 in  
9 five years and growing rapidly. But actually the next slide -  
10 - two more slides -- shows that-- I just got this a couple of  
11 days ago. That the total number of procedures using iodine  
12 and palladium, at least for 1994, is 4,000 cases. Going up  
13 very rapidly.

14           The number of cases potentially suitable, and  
15 this is a guesstimate, is possible half of all the cases which  
16 would be 100,000 cases. That would be sort of like the upper  
17 limit of normal, upper limit theoretically possible. That's  
18 assume the procedure still gets good results and that it's  
19 picked up as rapidly by the remaining urologist and radiation  
20 oncologist who might do the procedure.

21           Realistically though, I estimate in five years  
22 that probably between 10,000 and 20,000 cases a year. If you  
23 realize what brachytherapy numbers are like in the United  
24 States, perhaps NRC estimates 30,000, 50,000, cases a year,  
25 prostate implants in a few years could be the most -- if not

1 this year, could be the most common brachytherapy procedure.

2           So, the typically doses would be for  
3 brachytherapy alone 16,000 rad to the prostate and a small  
4 margin around the prostate in some cases. With palladium,  
5 it's a lower dose. The dose rate with palladium is a little  
6 higher, shorter half life so you're giving the dose a little  
7 faster. In general, the iodine is used for the slower  
8 growing, "slower growing more well differentiated" tumors and  
9 the palladium for the "more rapid growing higher  
10 differentiated" tumors.

11           MEMBER NELP: What are the physical  
12 characteristics of palladium?

13           MEMBER FLYNN: I'm going to defer to the  
14 physicist because I don't have that. The half life of  
15 palladium is about 17 days and of iodine, 60 days.

16           MR. WILLIAMSON: Yes, that's right. Iodine has  
17 an energy, average energy, 28 keV, and palladium a little  
18 lower, 22 keV. So they're both --

19           MEMBER NELP: Is palladium better or --

20           MR. WILLIAMSON: They're essentially X-ray  
21 emitters. It's mostly the photons are from a cascade of  
22 characteristic X-rays arising from electron capture.

23           CHAIRMAN SIEGEL: Dan, are you going to talk  
24 about not misadministration but complications of therapy?

25           MEMBER FLYNN: Misadministration.

1           CHAIRMAN SIEGEL:  Let me then ask you a question.  
2  The complication rate or adverse effect rate of prostate  
3  brachytherapy compared to prostate teletherapy --

4           MEMBER FLYNN:  Is lower.

5           CHAIRMAN SIEGEL:  -- compared to surgery?

6           MEMBER FLYNN:  Is lower.

7           CHAIRMAN SIEGEL:  Is lower.

8           MEMBER FLYNN:  That's why -- that's one of the  
9  reasons -- I'm going to get into that right now.  One of the  
10 reasons why it's getting such popularity so rapidly, being so  
11 rapidly accepted by many urologists and some radiation  
12 oncologists is that the reports that the complication rate is  
13 lower than with either radical prostatectomy or external beam  
14 radiation treatment which are the two primary means of  
15 treatment now.  And also that reports out of Seattle and some  
16 other areas that the PSA blood test, which is a monitor as to  
17 how effective the cancer treatment is, whether you accept that  
18 or not, but many do.  That the PSA is showing better responses  
19 to the prostate implant in most -- in many published reports  
20 than it is to external beam treatment.  Now, that's if you  
21 agree that the PSA is going to translate to 10 and 20 year  
22 survival.

23           Now, the data -- the large number of patients is  
24 only out five years now.  So the critique of that would be the  
25 data is only out to five year survivals.  The five year

1 survivals with this technique look good from published  
2 reports. The PSA and rebiopsy data looks excellent. Will the  
3 data hold up? But it's --

4           CHAIRMAN SIEGEL: Yes, then that's the back end  
5 question. The front end question is what fraction of these  
6 patients being found by PSA need to be treated at all. And I  
7 know that's a very controversial issue that we probably don't  
8 want to talk about here.

9           MEMBER FLYNN: But these patients who are being  
10 screened with elevated PSAs and then biopsied and find they  
11 have prostate cancer are being treated with radical  
12 prostatectomy external radiation. Most cases the patient does  
13 not want to be followed or observed unless they have severe  
14 medical problems and their very elderly.

15           Another point with this treatment is that it's  
16 done in an outpatient basis in one day. It's cheaper. The  
17 physician, whether the urologist or the radiation oncologist,  
18 is compensated less as is the hospital. So, if you're looking  
19 for a procedure that might be more -- might be equally or --  
20 equally effective or more effective with possibly less  
21 complications although the long term we haven't seen yet, and  
22 cheaper, it's going to be something that everyone's going to  
23 latch on to very quickly. So we have to worry about the  
24 potential downside in terms of complications.

25           CHAIRMAN SIEGEL: But in terms of the immediate

1 effects, is the frequency of impotence less with this therapy  
2 than it is with the other two?

3 MEMBER FLYNN: Yes. That's the report. Both  
4 impotency and incontinence, much less.

5 CHAIRMAN SIEGEL: It's clearly going to be more  
6 appealing.

7 MEMBER FLYNN: And the article shows you -- I  
8 chose an article by Grimm and Blasko because these two  
9 individuals have done over a thousand and they've trained more  
10 than 50 percent of the -- these two individuals have trained  
11 more than 50 percent of the radiation oncologists who are  
12 currently doing the procedure in the United States.  
13 Therefore, their article on technique is important. And also  
14 the course in Florida which is the other major course adopts  
15 the same technique.

16 Where, through a template with ultrasound  
17 guidance the -- using the ultrasound technique, the seeds are  
18 placed in the operating room. Radioactive seeds are placed in  
19 the operating room. Prior to that operating room procedure,  
20 two weeks prior to that perhaps, there's a treatment planning  
21 procedure where the ultrasoundographer plays a major role.  
22 And the radiation oncologist plays a major role two weeks  
23 prior to the procedure to find the target. And a physicist  
24 plays a very major role in designing the distribution of seeds  
25 in the treatment plan which is already completed prior to the

1 procedure in the operating room.

2           In the operating room, you're using ultrasound to  
3 place the seeds on a template, the urologist and the radiation  
4 oncologist as a team, together with the physicist. And then  
5 post-procedure, you look to see where the seeds are either  
6 with ultrasound, fluoroscopy, or both ultrasound and  
7 fluoroscopy in the OR where you'll see any cold spots where  
8 seeds may have not been placed absolutely as intended. Then  
9 you make up with additional seeds in the cold spots while the  
10 patient is still there. And then you dismiss the patient. He  
11 goes home. A few weeks later he comes back and has usually a  
12 post-planning CT scan. And then you go on from there. And  
13 you can get a post-plan or at least see how well the actual  
14 delivery has agreed with the planned delivery that occurred  
15 two weeks before in the operating room.

16           CHAIRMAN SIEGEL: Is this all done  
17 transperineally or is this done --

18           MEMBER FLYNN: Transperineally. If you turn to  
19 the second page of the article, page 194, that's the key. If  
20 you have to look at one page, just look at that page, the  
21 second page of the article shows two diagrams, Figure 1 and  
22 Figure 2. It shows the male patient in the lithotomy position  
23 with the scrotum taped up onto the abdomen in the -- and the  
24 seeds are placed through a template, through needles in a  
25 template transperineally with the ultrasound in the rectum.

1 If you look at that diagram.

2 CHAIRMAN SIEGEL: No problem getting the right  
3 seed distribution in the posterior lobes of the prostate with  
4 this approach?

5 MEMBER FLYNN: There's always problems. But  
6 you're going to be very close to the rectal wall and you're  
7 actually seeing that with the ultrasound probe.

8 MEMBER STITT: In fact, you get better  
9 distribution with this than with the open technique where  
10 you're using the iodine gun and it's all done very clinically,  
11 and you used to implant your finger plus the OR floor and this  
12 is actually more precise. I've done it.

13 MEMBER FLYNN: I was just going to go through the  
14 five misadministration which links into the brachytherapy  
15 issues paper. And hopefully I can finish in half the time.

16 Five misadministration. The first one was in  
17 Ohio in 1990 where 86 seeds of iodine 125, and typical source  
18 strength, .3. Now, thousands of implants are being done with  
19 iodine. .3 is a typical. .3, .35. They're implanted in  
20 order to give that dose, the same dose. X-rays following  
21 procedure demonstrated that the seeds were beyond the  
22 prostate. They had missed the prostate. And the reason why  
23 is because it was one of their first cases and they didn't  
24 have fluoroscopy and it was urologist driven. The radiation  
25 oncologist was more -- played an ancillary role.

1           I talked to the institution since then, two weeks  
2 ago, and this misadministration caused the team work to be  
3 better and that the radiation oncologist played more of a role  
4 and the urologist deferred certain decisions. Fluoroscopy, if  
5 it had been present, that wouldn't have happened. There was  
6 no injury to the patient. He had back pains subsequently  
7 because the seeds were disbursed. They were fanned out in the  
8 sacral area and none in the bladder and none in the rectum  
9 but in the pre-sacral space.

10           The second misadministration in Ohio but a  
11 different institution. Not the same institution. A CT scan  
12 following the procedure two weeks later demonstrated that 21  
13 of 56 seeds were outside the prostate. The normal tissue  
14 surrounding the prostate received a greater than intended  
15 dose. Prostate received only 42 percent of intended dose. So  
16 this was reported to Region 3 at that time. No injury to the  
17 patient.

18           Some of the slides got busted up on the plane  
19 here. But, misadministration number 3. Misadministration  
20 number 3 was Florida in 1991 but wasn't discovered until 1993.  
21 This was a malpractice case. The State of Florida is looking  
22 into it. NRC has no knowledge of the case. Well, they have  
23 that a case exists. But anyway, it involved palladium 103.  
24 And a typical source strength for palladium is 1.4 millicurie.  
25 And the total dose is less.

1           The seeds were implanted unknowingly in the  
2 anterior rectal wall and the posterior part of the prostate.  
3 Now, the licensee disagrees. He feels that the prostate, at  
4 least part of the prostate, received the seeds so that it's  
5 not a misadministration. Patient developed severe  
6 complications and had a colostomy. And in my view, after  
7 looking at the case, it was the wrong site. And if you look  
8 at the seeds, they're like the diagram on page 2, the seeds  
9 are down here in the prostate, peri-prostatic area. But the  
10 CT scan, this was done at the time of the implant. They  
11 didn't take a lateral film which was a problem, or a CT scan,  
12 which is a problem. The CT scan was obtained two years later  
13 when the patient had a colostomy. And the CT scan shows that  
14 all the seeds, the prostates up in here. All the seeds are in  
15 the rectal wall. The prostate -- they missed the prostate.

16           Now, they claim that maybe the seeds migrated but  
17 the Seattle group have done over a hundred cases of following  
18 up CT scans. The seeds don't migrate. The prostate's like  
19 hard rubber. Seeds don't migrate through that kind of tissue  
20 consistency.

21           CHAIRMAN SIEGEL: What's the status of the cancer  
22 in that patient?

23           MEMBER FLYNN: I advised him that he needs to see  
24 a cancer specialist right away because his cancer is not  
25 treated and he has a complication.

1                   Misadministration number 4, I think Judith looked  
2 into this one in Connecticut, at a big institution in  
3 Connecticut was the parent facility to this facility. And it  
4 basically is the wrong source strength by a factor of 10.  
5 They meant to have .4 millicurie seeds but they had 4 point  
6 something millicurie seeds. So the patient required an  
7 emergency radical prostatectomy and subsequent surgery to  
8 that.

9                   When I looked through the report, the one thing I  
10 disagreed with the Idaho Engineering report is that a lot of  
11 the initial ordering was by a nuclear medicine technologist.  
12 And the nuclear medicine technologist didn't-- wasn't aware  
13 that .3 or .4 millicuries is the typical seed strength. So  
14 then when the vendor called back and asked the nuclear  
15 medicine technologist are you sure this is what you want, are  
16 you sure you want 4 point 4 millicurie seeds, the nuclear  
17 medicine technologist, just reading off of a piece of a piece  
18 of paper, said yes. Now, had a medical physicist been  
19 involved, that never would have happened because it would  
20 realized that if thousands of cases are being done at .3 and  
21 .4 millicuries, it would have -- a red light would have gone  
22 off if the physician or the physicist were called that this is  
23 ten times the source strength. So, that's why it's important  
24 to have a medical physicist involved early in the course.

25                   Now, this patient is still at risk for severe

1 complications in the future.

2           Misadministration number 5 I looked into. And  
3 this happened in Ohio at another institution from the other  
4 two institutions in Ohio. Region 3 asked me to look at this  
5 one. And 55 seeds in the bladder. And now, having seeds in  
6 the bladder is very common actually. The thing is, at the  
7 time of the procedure in the operating room, you look in the  
8 bladder with a cystoscope and you take out any seeds in the  
9 bladder. There's no harm to the bladder. The problem is not  
10 with the bladder. The problem is that 55 of 190 seeds weren't  
11 implanted into the cancer. So the cancer is about 30 percent  
12 underdosed. At the time, they decided not to reimplant the  
13 seeds. It appeared to be a urologist driven procedure.

14           I looked at the operating room notes, the nursing  
15 notes, there was no evidence that the radiation oncologist was  
16 even in the operating room according to the notes. And when I  
17 interviewed the physicist, I asked him, is this a team  
18 approach which is being advocated or is it more a urologist, a  
19 surgeon driven procedure and the radiation oncology department  
20 just supplies technical support? He said the latter. It's  
21 more -- in that institution it's more of a surgeon driven  
22 procedure and the radiation oncology department provides just  
23 the technical support.

24           Now, the reason why that's important is when I  
25 interviewed the surgeon by phone, he didn't realize, number

1 one, that the prostate was much too big for this procedure.  
2 He didn't know what Quimby implant was. He didn't realize  
3 that it's not just total dose that's important. With this  
4 large -- this huge prostate which was much too big to be  
5 implanted, more than the guidelines, more than the training  
6 course would advocate, that the anterior rectal wall, a  
7 greater surface area of the anterior rectal wall got that dose  
8 and the urologist didn't realize -- he told me he didn't know  
9 that it's not just the dose that's important but the volume of  
10 tissue exposed to that dose. He didn't realize with the  
11 bigger volume implant because it is a Quimby implant with  
12 equal spacing of the seeds, that the urethra also got a higher  
13 dose.

14           And here we have my problem with this case is  
15 that it seemed as if someone other than the authorized user  
16 was making decisions which had implications in terms of  
17 radiation safety and effect on the patient. And this is not a  
18 turf battle. This is a radiation oncology brachytherapy  
19 procedure which is now being shared in a team approach with a  
20 urologist. But in cases where the urologist takes over a  
21 procedure, the one and a half day training course he's gone  
22 through can't substitute for four years of radiation oncology  
23 training. And so, this is where I have the problem.

24           The two -- the major issues, then, since I'm  
25 finishing way early, is one, what does the committee and the

1 NRC feel in terms of when you're using therapeutic levels of  
2 isotopes, not diagnostic, the role of the authorized user in  
3 terms of supervision of the procedure. So, training and  
4 experience.

5           And number two, a bigger problem in terms of  
6 brachytherapy is that what constitutes a misadministration in  
7 a volume implant? Is it the -- if you, in this case, one-  
8 third to -- according to the licensee, according to the  
9 urologist and the radiation oncologist, according to them,  
10 one-third to one-half of the prostate cancer did not receive  
11 any seeds. And so, they responded by making up the treatment  
12 by giving the patient 4,000 rads of external beam radiation to  
13 the pelvis which they had not planned because part of the  
14 prostate cancer didn't get treatment at all.

15           It wasn't that 33 percent of the seeds were  
16 uniformly in the prostate. Actually, half to two-thirds of  
17 the prostate received full dose because all the seeds were  
18 there. But there was a big, what we call a cold spot in that  
19 one-half to one-third of the prostate received no seeds where  
20 the cancer was actually, also.

21           Now, because they added on this external beam  
22 dose, which I think they were forced to do, the problem is  
23 that part of the rectum is now going to get full dose from the  
24 seeds and full dose from the external beam. And so the  
25 patient is at a higher risk of complications. He's also at a

1 higher risk for failure of his cancer treatment.

2           But they didn't feel this was a misadministration  
3 either. They didn't feel that and is the definition of  
4 misadministration for brachytherapy for a volume implant clear  
5 enough? I think it was -- I believed it was a  
6 misadministration because part of the target was missed and  
7 because the dose was off by at least more than 20 percent  
8 because even if the seeds were uniformly distributed, if  
9 you're missing 55 out of 190 just in my head even though they  
10 didn't send the dosimetry, that's 30 some odd percent of the  
11 dose, besides wrong site.

12           So, these are two -- these five  
13 misadministration bring in as to my belief that the physicist  
14 needs to have a more active role. That the physicist is  
15 essential. You can't have nuclear medicine technologists  
16 ordering sources and verifying those sources are correct. And  
17 that the authorized user has to assume the responsibility as  
18 licensee for supervising the procedure. These are therapeutic  
19 isotopes and it's not because of any turf battle. This is  
20 meant to be a brachytherapy procedure by radiation oncologists  
21 which is now shared with the urologists on an equal basis in  
22 the operating room. And the turf issue is not really the  
23 issue. It's the issue would the NRC be comfortable in a  
24 nuclear power plant setting with someone who is untrained and  
25 is not licensed by the NRC, or identified by the NRC, running

1 a nuclear power plant. Do you think that the person  
2 responsible for running those controls can walk away and have  
3 someone from the neighborhood come and take control of a  
4 nuclear power plant for part of the time.

5 So, that's all I have.

6 CHAIRMAN SIEGEL: 3525 covers this, yes?

7 MR. CAMPER: Well, that's an interesting point.  
8 I was going to say something about that.

9 The way we -- You said a couple of things that  
10 I'm struck by. One is I sense some issue of competency here  
11 about the ability to properly, or I should say the inability,  
12 to properly implant these seeds. And that's -- would appear  
13 to be a medical competency question which is not in our  
14 purview.

15 By contrast, though, you said something early on  
16 in your presentation that I was struck by and that is that the  
17 urologist was doing this, had the lead in doing this and that  
18 the oncology department was sort of a tag along. Just sort of  
19 there, if you will, to some degree. And that, of course, is  
20 arguably contrary to the approach we take in our regulations.  
21 Our perspective is, and it's a complicated one, and I'll get  
22 back to your 3525 because it does have a direct bearing.

23 We currently issue the license to XYZ Hospital.  
24 And you have identified specific authorized users. One of  
25 those might be a radiation oncologist. Well, our perception,

1 when we issued that license, is of course that that radiation  
2 oncologist is going to be actively involved in the kinds of  
3 procedures and things for which you would be using those  
4 materials. In this case, palladium and I-25.

5           Now, it gets complicated, though, in the sense  
6 that 3525 talks about supervising. Thou shall supervise.  
7 Thou shall follow. And so forth and so on. But clearly if  
8 one goes back and reads the 87 statements of consideration  
9 from the last time Part 35 was revised, you'll find out some  
10 interesting language in there. And it says something in  
11 essence which says that practice of medicine laws vary from  
12 state to state, et cetera. And that the authorized physician  
13 user is the best position to determine the degree of  
14 supervision which should be rendered.

15           Now, that translates then into the issue you have  
16 here. What this might mean is that the authorized user in  
17 question in the facility you were talking about has determined  
18 that that's the appropriate level of supervision for the  
19 urologist to be involved in this. And if that's true, while  
20 it's problematic to us, it doesn't seem to be working the way  
21 that it's supposed to, certainly from a licensing standpoint.  
22 We would need to do something about that, though, in  
23 regulatory space to tighten up, if you will, or more clearly  
24 specify supervision requirements. That's one observation.

25           And the second observation is obviously we don't

1 license the urologist as an authorized user. And if it turns  
2 out that the urologist is playing the lead role, is really  
3 supervising the use and the implantation of the seeds and the  
4 surrounding staff, et cetera, et cetera, then it raises the  
5 question of whether or not there should be a different  
6 approach in terms of the role of the urologist from an  
7 authorized user perspective in our world.

8           MEMBER FLYNN: What happens now is the patient is  
9 referred by a family practitioner to the urologist. It's the  
10 urologist's patient. It's only at his invitation, his or her  
11 invitation, that the urologist will allow the radiation  
12 oncologist to even see the patient. Now, the one  
13 misadministration, that last one, the radiation oncologist  
14 never examined the patient, talked to the patient, saw the  
15 patient, until the time of the procedure where he got a phone  
16 call and gave his okay. Because in some cases it's a matter  
17 of the authorized user being reminded of what their  
18 responsibilities are under the license.

19           I don't think that an authorized user should be  
20 allowed to maintain a license if they're not -- if they don't  
21 realize their responsibilities in this regard in terms of  
22 making sure that they have an adequate -- they supervise  
23 adequately the procedure. Because, in the end they must  
24 realize they're going to be held accountable.

25           MR. CAMPER: You've raised something here that we

1 wrestle with from time to time and it's an issue that, if not  
2 today, that at some point with the advisory committee soon we  
3 can explore this more specifically as an agenda item. But  
4 this question of what's the proper role of the authorized user  
5 is something that we're going to have to re-examine clearly  
6 when we revise Part 35 if not sooner if there's some  
7 compelling reason to do so.

8           But interestingly enough, if you look in Reg  
9 Guide 10.8, and it's only a guidance document, you'll find  
10 that amongst the responsibilities, the so-called following  
11 special responsibilities of an authorized user, you'll see the  
12 following things. And the first one on the list interestingly  
13 enough is examination of patients and medical records to  
14 determine if a radiation procedure is appropriate. Now,  
15 that's not a regulatory requirement but it's certainly  
16 something that we perceive is to be happening via the  
17 authorized user. And in your scenario that's clearly not  
18 happening.

19           And so, the next one is prescription of the  
20 radiation dose or dose and how it is to be administered.  
21 Actual use of or direction of technologist or other  
22 paramedical personnel in the use of by-product material. And  
23 then finally, of course, interpretation of results.

24           So, this is something we will need to explore and  
25 get some advice from the committee with. I mean, what's wrong

1 with the role of the authorized user in the scenario that  
2 you're describing?

3           MEMBER FLYNN: And now in most places it's being  
4 done correctly as a team approach, in most places. And I'm  
5 talking about -- Peter Grimm couldn't be here and John  
6 Blasko's out of the country so Peter Grimm had to be up there  
7 doing implants in Seattle. He wanted to be here. But he told  
8 me to pass on the word that he's very concerned because  
9 they're getting phone calls from their trainees. They trained  
10 over half the people who are doing this. They're getting  
11 calls from their trainees saying, oops, this happened. What  
12 do I do now. They're feeling-- He told me to pass on the two  
13 major concerns are, one, appropriate pre-planning and the  
14 involvement of a qualified medical physicist. Pre-planning  
15 with a medical physicist and authorized user. Number two,  
16 quality assurance. That there are a lot of problems out  
17 there.

18           And I'm only passing this on because the NRC, in  
19 terms of brachytherapy, brachytherapy is now much bigger a  
20 problem relative to teletherapy. And within brachytherapy,  
21 this could be the most common procedure in the next couple of  
22 years. And a lot of things are happening out there that  
23 aren't being reported because of the -- the question as to  
24 whether it fits the definition of misadministration to be  
25 reported. The one in Florida wasn't reported. And there are

1 many things out there happening that aren't being reported  
2 because the licensees don't believe they're misadministration.  
3 But they're like -- they're similar to these. Maybe not as  
4 severe in some cases but they're very similar.

5 CHAIRMAN SIEGEL: Doug.

6 MEMBER GRAHAM: Well, I guess the only  
7 observation, given the pattern of cases in Ohio, and I  
8 understood your countenance that this is not a turf issue.  
9 But had anybody reviewed whether there are reimbursement  
10 locations specific to Ohio that might make this a more  
11 probably in that setting?

12 MEMBER FLYNN: It's not even reimbursement  
13 issues. It's more of personalities. It's a surgeon's  
14 patient. He wants control over the procedure. It seems like  
15 a simple procedure when you first do it but the surgeon's  
16 aren't trained to realize the implications as to selection of  
17 patients, if they have other diseases whereby it puts them  
18 more at risk for complications with radiation, or whether the  
19 brachytherapy process itself. And each -- Both the radiation  
20 oncologist and the surgeon actually get reimbursed less,  
21 significantly less than if they do radical prostatectomy or  
22 external beam treatment. So this is a very attractive  
23 procedure to some people because if it's more effective, if it  
24 has less complications, and it's cheaper, this is exploding  
25 right now and you're going to see this explode a lot further.

1           MEMBER GRAHAM: I understand on the large scale  
2 it would appear to be more cost effective higher quality, but  
3 it's still the issue that you could have a situation where  
4 within that lower reimbursement there is a model in which  
5 there's a split reimbursement, where there's a defined role  
6 for the radiation oncologist and the surgeon, and they can  
7 both submit billings versus -- and I have no idea. I'm  
8 speculating Ohio might have a situation where they have  
9 declared at some major payer that the rad oncologist has no  
10 role. It's only a surgical procedure and therefore only the  
11 urologist is getting paid. And therefore there's an economic  
12 disincentive for the authorized user to have as much oversight  
13 as they probably should.

14           MEMBER FLYNN: I don't know.

15           MEMBER GRAHAM: I don't know but I guess I'd want  
16 to take a look at that.

17           CHAIRMAN SIEGEL: It's certainly possible Well,  
18 I mean, it's certainly possible that some third party payer  
19 has made an arbitrary decision to that effect without having  
20 all the facts.

21           MEMBER SWANSON: Isn't this truly a licensee  
22 management issue? I mean, it seems that that's where the  
23 issue really needs to be addressed at is that the license is  
24 given to the institution and the management of that  
25 institution needs to address this issue.

1           MEMBER NELP: Was this a small community hospital  
2 or a broad license, or a big place?

3           MEMBER FLYNN: Two small community hospitals and  
4 one major teaching institution.

5           MR. CAMPER: Well, in answer to your question,  
6 Dennis, if you go back to the explanation I was providing a  
7 few minutes ago in terms of this supervision issue. Then,  
8 yes, arguably you could construe this to be a supervision  
9 problem on behalf of the licensee and that the authorized user  
10 apparently is not properly supervising.

11           On the other hand, if it's a situation where it  
12 continues, there's this trend where urologists seem to be  
13 doing this thing absent an appropriate level of supervision,  
14 then at some point I suspect we would have to take a look at  
15 that and say what do we need to do about it from a regulatory  
16 perspective. Because obviously if that continues and we have  
17 -- if it's truly as depicted, and I have no reason to believe  
18 that it wasn't. I think Dr. Flynn has properly characterized  
19 it. That the supervision aspect of the authorized user is not  
20 working. It's not working the way it's supposed in this  
21 context.

22           MEMBER NELP: We're talking about one specific  
23 incident out of -- a small number of incidents out of a large  
24 number of therapies. And it's not clear to me that the  
25 licensee didn't do his job.

1 MR. CAMPER: That's right.

2 MEMBER NELP: -- his expected responsibility.

3 MR. CAMPER: I would agree. And it may well be  
4 that one of our next steps would be to monitor these  
5 misadministration and at some point in the near future develop  
6 an information notice about this question of supervision and  
7 some of the examples of some things that are happening.

8 MEMBER FLYNN: As I say, in most cases it's a  
9 team approach. But I think a bigger issue that's much more  
10 difficult is that I think the NRC -- I'm not sure if they've  
11 decided what constitutes a misadministration in terms of a  
12 volume implant. Is it the -- If the actual dose is 20 percent  
13 different than the intended dose. Or--

14 MR. CAMPER: Well, we are working -- on the case  
15 that you were discussing, that you were the consultant on, we  
16 are in fact -- we're at this very point. So I can't say. But  
17 we are interacting with the Office of General Counsel and so  
18 forth on this very case.

19 What I wanted to do, though, in that regard was  
20 take advantage of having the collective group here and get  
21 some perspective from the committee on that question. If I  
22 look at the definition under brachytherapy for a  
23 misadministration, it says, when the calculated administered  
24 dose differs from the prescribed dose by more than 20 percent  
25 of the prescribed dose. I'm assuming that your prescribed

1 dose in this procedure is prescribed for the prostate gland  
2 itself. Is that correct?

3 MEMBER FLYNN: There's different ways of doing  
4 it. Some are prescribing it by the number of seeds and  
5 activity per seed, and some are prescribing it by a MPD, a  
6 minimum peripheral dose. The prostate plus it may be a  
7 millimeter or so around the prostate. I don't know if Jeff  
8 has any --

9 MR. WILLIAMSON: I think it illustrates something  
10 very interesting about brachytherapy. And that's that there's  
11 a real spectrum of precision, of target volumes, that are  
12 localizable. If one takes sort of the traditional approach to  
13 low dose rate intracavitary brachytherapy, I mean, there  
14 really isn't a well-defined target volume and the parameter  
15 that's often used is as simple as the product of source  
16 strength and time. At the other extreme of the spectrum we  
17 have three dimensional imaging modalities that are able to in  
18 quantitative or maybe -- or at least semi-quantitative form  
19 specify a target volume in advance.

20 And then you can sort of meaningfully ask the  
21 question, how well did I cover that target volume. And so it  
22 would be sort of interesting to know for a large number of  
23 cases what is the standard deviation. What is the statistical  
24 distribution of minimum doses? What is the statistical  
25 distribution of volumetric coverage of the predefined target

1 volume? I think there are certainly -- it's not going to be  
2 exact. I don't know since I have personally not been involved  
3 in these -- in ultrasound guided prostate implants what that  
4 would be. I wouldn't be surprised if the error bar is on the  
5 order of 10 percent or so.

6           MEMBER FLYNN: It's actually more than that. I  
7 mean, some parts of the prostate and in these thousand cases  
8 up in Seattle, got 12,000, 13,000 as opposed to 16,000. But  
9 if they go back in and try to put more seeds, they may be  
10 increasing the complication rate. And because they've been  
11 following these thousand cases for five years now with good  
12 control of the cancer, low complication rate, low PSA, mostly  
13 negative biopsies on all the cases, they feel that perhaps  
14 although the prescription was for 16,000, 12,000, or 13,000  
15 was adequate because it did the job. And then you don't fight  
16 with success.

17           My question is how far -- some of these cases are  
18 far off where they're actually missing the cancer. How off do  
19 you have to be and I don't have a good answer.

20           MR. CAMPER: Given that the course is going to be  
21 dose the surrounding tissue and so forth, if you look at the  
22 definition, and again, it comes back to what do you mean when  
23 you create your written directive or your treatment plan, you  
24 prescribe your dose? I mean, if you look, for example, from  
25 our perspective under written directive for brachytherapy, we

1 have the prior to implantation. We're looking for the  
2 radioisotope, the number of sources, and source strength.  
3 Post-implantation but prior to completion we're looking for  
4 the radioisotope, the treatment site which is right where we  
5 are, and total source strength and exposure time, or  
6 equivalently, the total dose.

7           So this comes back to this question that when you  
8 prescribe X number of rads to the treatment site and then that  
9 dose falls outside of the primary target which is the prostate  
10 in this case, is that inconsistent with your prescribed dose?  
11 What do you mean by prescribed dose? Given that surrounding  
12 tissue will be exposed, of course.

13           MEMBER FLYNN: The problem is that although you  
14 may biopsy the cancer in the right lobe of the prostate, there  
15 could be cancer in the left lobe of the prostate. The target  
16 -- the intention of all the physicians, the urologists and  
17 radiation oncologists, is to treat the entire prostate. And  
18 16,000 is the standard dose for iodine. 11,500 or 12,000 is  
19 about the standard dose for palladium. And everybody is using  
20 those doses when they're doing brachytherapy alone. They  
21 discount them if they use external beam and brachytherapy for  
22 the more -- little bit more advanced lesions. But everyone --  
23 that's their intention, is to treat the entire prostate. The  
24 cancer could be anywhere in the prostate or throughout the  
25 prostate. So the whole prostate has to be treated. It's a

1 volume as opposed to a point.

2 MR. CAMPER: Intuitively when we see a dose  
3 that's, say, 40 percent lower than what was to have been for  
4 the gland -- I mean, intuitively one looks at that and says  
5 well, it's a misadministration. The gland got 40 percent of  
6 what it was supposed to. The problem that you get into,  
7 though, is when you get into this world of what is the  
8 treatment site. Is it, in this case, the prostate is the  
9 primary target within a treatment volume, so do you relate the  
10 treatment volume at large, in toto, or do you relate only to  
11 the subject gland within a treatment volume? And this is the  
12 issue we've explored before, this question of treatment site.  
13 And we skipped over it earlier. But it's something that  
14 causes us a lot of wrestling with and we're wrestling with a  
15 case right now. It's a tough call.

16 MEMBER NELP: Are you intuitively concerned about  
17 over administration? I don't see why you would be  
18 particularly concerned about under administration in terms of  
19 radiation, adverse radiation effects to an individual.

20 MEMBER STITT: Add to this --

21 MR. CAMPER: Well, the under administration means  
22 that the patient is unfortunately undertreated. But he isn't  
23 -- he isn't in danger in anyway directly by radiation.

24 MEMBER STITT: The thing that complicates this  
25 even more is the doses you're talking about, the time period.

1 That is, the fractionation is a year. And that dose of 16,000  
2 is over a year as an isotopic case. So add that into the  
3 equation.

4           The other thing just to bring up for information  
5 is that this is a newish technique. A newish way of putting  
6 that isotope into the prostate. But prostate implants with  
7 iodine 125 have been done for 20 years. This is not new.  
8 It's just that the ultrasound guided process is new. So  
9 there's a lot of background information. A lot of patients  
10 have been treated. And the more classic -- the older  
11 technique is an open approach so it still involves a radiation  
12 oncologist who has control of those sources, or should have,  
13 or else they're not practicing good medicine, working with the  
14 urologist. So this -- Although I certainly agree with Dan's  
15 point. Because it's easier to do this and because the  
16 population's aging with the PSA, et cetera, we may be seeing a  
17 lot more of this technique. But this is an old isotope being  
18 and used has been used for 20 years. And there is a lot of  
19 results as far as local effects. Tumor control as well as  
20 sequela with iodine 125 in prostate implants.

21           MEMBER FLYNN: With the old technique the patient  
22 has general anesthesia, is opened, stays in the hospital for a  
23 period of time. This is a -- patient's awake. The patient  
24 walks in in the morning and walks out in the afternoon. Not  
25 under general anesthesia. And it's a -- it's gaining in

1 popularity so rapidly that it's -- what's happening is that  
2 there are so many community hospitals now that have, every  
3 month there's a training course now. Every single month  
4 there's a dozen urologists and a dozen radiation oncologists  
5 going through this. And so that it's hitting all the  
6 community hospitals very rapidly. And so that you're going to  
7 -- you should expect more problems being reported to you.

8 MR. CAMPER: In answer to your question, Dr.  
9 Nelp, we're concerned about both. The regulation says that  
10 dose differs by greater than 20 percent.

11 MEMBER NELP: But really what --

12 MR. CAMPER: Well, the reason is because --

13 MEMBER NELP: If I under treat a patient with  
14 hyperthyroidism, that's too bad. I mean, but it's easily  
15 correctable.

16 MR. CAMPER: Well, the reason is two-fold. One  
17 is because there can be negative consequences to under dosing,  
18 not just overdosing. And secondly, again, go back to the  
19 concept of what the misadministration is supposed to be. It's  
20 an error in the delivery process from what you as a physician  
21 prescribed.

22 MEMBER NELP: But we were talking about  
23 intuition.

24 MEMBER BERMAN: I'd like to point out that  
25 virtually all the misadministration were associated with the

1 wrong localization of where the seeds ended up. And I think  
2 it's a circumstance where frequently an imaging specialist  
3 would be a useful adjunct to the team. We talked about the  
4 urologist. We talked about the radiation oncologist. We  
5 haven't mentioned the possibility of the inclusion of an  
6 imaging specialist such as the radiologist more familiar with  
7 the ultrasound or potentially the CT studies that would be  
8 done to avoid misadministration.

9           MEMBER NELP: And in this team is typically the  
10 ultrasound done by the urologist or do you have a radiologist  
11 in there, or ultrasoundographer?

12           MEMBER FLYNN: It's usually an experience  
13 ultrasoundographer. And it's usually -- the imaging problems  
14 have occurred when people had just started to do the  
15 procedure. Usually they're -- learning curve, yes. And  
16 because they didn't have fluoroscopy. Because if they had  
17 fluoroscopy in some of the cases, they would have saw that the  
18 needles were far beyond the prostate. They had difficulty  
19 interpreting the ultrasound image. But the fluoroscopy,  
20 there's no problem for interpreting where the needle is. It's  
21 just there in front of you. You see it.

22           CHAIRMAN SIEGEL: So what does the NRC need to  
23 do? Does the NRC need to generate an information notice at  
24 this point to let people -- What?

25           MEMBER NELP: Ear to the ground.

1                   CHAIRMAN SIEGEL:  Or generate an information  
2 notice to let the folks in the world be aware that problems  
3 are being reported and that there's some issues of concern  
4 related to who has control over the radioactive sources and  
5 the involvement of the radiation oncologist, the involvement  
6 of the medical physicist.

7                   It also sounds to me like there's a real need  
8 here for professional organizations to sit down and hammer out  
9 some standards.  The American Neurological Association and  
10 ASTRO need to put a joint task force together and come up with  
11 some standards that say this is some -- this is a growing area  
12 and it needs to be a team approach.

13                  MEMBER FLYNN:  That's what the group in Seattle  
14 feels strongly about and they would -- one of them would have  
15 been there if it was possible.  But that's what they're  
16 advocating.

17                  CHAIRMAN SIEGEL:  It seems obvious.  That that's  
18 a first starting place.  On the other hand, I'd hate to see  
19 the NRC make a regulation right now that says it has to be a  
20 team approach because there's no reason that a radiation  
21 oncologist who is properly trained in the surgical technique  
22 couldn't do this procedure quite competently by him or  
23 herself.  And visa versa.  A urologist who took the time to  
24 get the requisite training could do this procedure competently  
25 as well working with a medical physicist.

1           MEMBER WOODBURY: The CL group isn't asking for  
2 NRC's -- for more regulation now, are they?

3           MEMBER FLYNN: I'm not asking for -- I wouldn't  
4 suggest regulation. But it's almost to the point where soon  
5 or later it may be an information bulletin might be justified  
6 just to bring it to people's attention, including to try to  
7 recommend developing quality assurance and to define in their  
8 own program what constitutes -- I should not use the word  
9 misadministration, but an unintended deviation from the --  
10 from what was planned.

11           MR. CAMPER: I assume you mean information notice  
12 not bulletin?

13           MEMBER FLYNN: Information notice, yes.

14           MR. CAMPER: Because a bulletin, of course, is a  
15 different vehicle. A bulletin requires typically that  
16 licensees do specific things and respond whereas an  
17 informational notice is simply that. It's informational.

18           CHAIRMAN SIEGEL: You know, I have always had a  
19 generic problem related to these surgical procedures  
20 understanding in my own mind what constitutes a  
21 misadministration if things don't come out the way you  
22 intended. On the one hand it's obvious to me that if I  
23 prescribe by written directive 10 millicuries of I-131, and  
24 the technologist gives the patient 100 millicuries of I-131,  
25 that my directions weren't being followed. On the other hand,

1 if I say it's my intent to put these seeds in the place where  
2 the prostate's going to get 12,000 rads and because of  
3 whatever came up during the course of the surgical procedure  
4 it didn't come out right, I'm still the one who was doing it  
5 and I thought I knew what I was doing through the whole  
6 surgical procedure. Is that a misadministration? Does that  
7 really capture what you meant or is that now getting strictly  
8 to the professional competence issue which may or may not be  
9 something the NRC wants to be involved with?

10 MR. CAMPER: Right. Well, we certainly don't  
11 want to be in the competency question. And historically there  
12 have been cases where seeds during implantation missed the  
13 prostate gland. And that was viewed as normal consequence of  
14 the procedure. But that's distinctly different than what's  
15 happening here. Here you're having, in the one case for  
16 example, every seed was outside the prostate gland. Then the  
17 question we would ask you is, is that consistent with the  
18 normal standard of practice. And I think I know the answer.

19 MEMBER NELP: I think the answer is this is  
20 something new. There's a tremendous learning curve and if it  
21 follows the pattern of behavior and practice, it will improve  
22 and self regulate itself. Percentage-wise, these should be  
23 very small.

24 CHAIRMAN SIEGEL: I actually agree.

25 MEMBER NELP: So I say watchful waiting.

1 CHAIRMAN SIEGEL: I think we --

2 MR. CAMPER: Is that the -- watchful waiting as  
3 opposed to information notice? I'd like to get --

4 CHAIRMAN SIEGEL: Aren't they sort of related? I  
5 mean, I think information --

6 MR. CAMPER: A delayed information notice?

7 CHAIRMAN SIEGEL: No. No. An information notice  
8 doesn't --

9 MEMBER NELP: It's a watchful information notice.

10 CHAIRMAN SIEGEL: No, I mean I think an  
11 information notice to make the community aware that you've  
12 started to get some reports from a new procedure that there  
13 are some problems will heighten awareness and while you still  
14 are little gray in terms of your own definitions about what  
15 constitutes a misadministration. I know that's a problem to  
16 still be gray but I think you need some more data before we  
17 start tweaking regulations.

18 MR. CAMPER: All right. What we would do, then,  
19 is we would develop an information notice with the assistance  
20 of Dr. Flynn. We would ask him to work closely with us on  
21 that. And for that matter, Dr. Stitt or any other members of  
22 the committee that would provide input on that.

23 CHAIRMAN SIEGEL: All right. Let me ask a  
24 logistical question now because we've got a tough one. We are  
25 now 15 minutes past the time we were supposed to break for

1 lunch. Trish thinks she probably has anywhere between a half  
2 an hour and another hours worth of things. And she has to  
3 leave this afternoon at 2:30. So --

4 And are you here tomorrow, Trish?

5 DR. HOLAHAN: Yes, I am.

6 CHAIRMAN SIEGEL: What do you think? How do we  
7 want to juggle this agenda to try and --

8 MR. CAMPER: Jan is suggesting that the  
9 discussion of Reg Guide 10.8 tomorrow morning will not take  
10 two hours.

11 CHAIRMAN SIEGEL: I agree.

12 MR. CAMPER: Perhaps we could try to make an hour  
13 toward the brachytherapy in the morning and an hour toward  
14 your presentation?

15 DR. HOLAHAN: That's plenty.

16 MR. CAMPER: Would that work?

17 DR. HOLAHAN: Yes.

18 CHAIRMAN SIEGEL: I think that will work better.  
19 So I think what we'll do now is break for lunch in a moment.  
20 Plan to get back here -- Let's split the difference. Let's  
21 get back in an hour. And then we'll work through the  
22 afternoon's agenda and take it from there.

23 So, barring anything else, we'll adjourn for  
24 lunch and see you in an hour.

25 (Whereupon, the hearing was recessed at 12:29

1 p.m. to reconvene at 1:30 p.m. this same day.)

2

3

4

5

6

7

8

9

10

11

12

13

14

15



1 of these sort of are the more physics related.

2           This one is, again, one that I hope won't be too  
3 lengthy, is the use of portable shields. Currently, within  
4 licensing guidances for low dose rate remote afterloaders,  
5 portable shields are allowed. But for medium and high dose  
6 rate remote afterloaders, they are not authorized for use with  
7 those, except on a temporary basis if they're making changes  
8 to the facilities.

9           And the question related to that is, should NRC  
10 consider the use of that -- of portable shields? Some  
11 licensees have proposed somehow fixing the -- fixing  
12 temporarily the portable shield. Or, what are the safety  
13 implications associated with that?

14           MEMBER STITT: My notes are very explicit. They  
15 say, "I have no idea. Ask physics."

16           (Laughter.)

17           MS. HOLAHAN: I'm glad I did that one today.

18           CHAIRMAN SIEGEL: Dr. Williamson, do you have an  
19 opinion?

20           DR. WILLIAMSON: Well, I do have an opinion,  
21 actually, and it's -- I guess it's rare I don't have one. I  
22 would say on a routine usage, i.e. in an HDR facility that's  
23 meant to be more or less a permanent one, I would say for that  
24 strength source it's rather ill-advised, both on practical  
25 grounds and safety grounds.

1           I think that, you know, one might imagine certain  
2 applications of high dose rate irradiation, such as  
3 intraoperative radiation where, you know, maybe there's some  
4 sort of a compromise that has to be made between patient  
5 welfare, i.e. schlepping the patient back and forth from the  
6 operating room while the surgical wound is open, you know,  
7 versus having the best shielding.

8           So one might in -- you know, under very specific  
9 circumstances where patient welfare outweighed the benefit of  
10 the, you know, sort of very conservative safety factor that  
11 structural shielding offers have perhaps an out, you know,  
12 under that circumstance. But I would not think under routine  
13 conditions for a permanent facility it would be wise.

14           CHAIRMAN SIEGEL: Any disagreement with that  
15 concept? Okay.

16           MS. HOLAHAN: This is another issue that  
17 Dr. Williamson addressed a little bit in his comments this  
18 morning with regard to the facilities and the access to the  
19 HDR unit. These are the current licensing guidance  
20 requirements in terms of what an HDR treatment room must have,  
21 to include mechanisms to allow only one device to operate at  
22 once, and the permanent radiation monitor being mounted as  
23 well as electrical interlocks.

24           And I guess the question is is should NRC codify  
25 these within the requirements?

1           CHAIRMAN SIEGEL:  And I think we actually have  
2  answered that at a previous meeting where we basically  
3  suggested that just as teletherapy facility requirements are  
4  codified, HDR facility requirements should be codified.  Does  
5  the Committee recall that we did that at a prior -- two or  
6  three meetings ago, or am I the only one?  That's okay, too.  
7  We can find it in the minutes.

8           MS. HOLAHAN:  I believe it was the last meeting  
9  that we did ask the general question.  Okay.

10          CHAIRMAN SIEGEL:  Does anyone have any problems  
11  with this?  I mean, this -- again, it seems logical that we  
12  want to move away from guidance and towards regulatory space  
13  on this kind of stuff.  Okay?  That was easy.

14          Dr. Williamson?

15          DR. WILLIAMSON:  Well, I would agree with high  
16  dose rate.  I think the issues with pulse dose rate,  
17  especially when it comes to the structural shielding, maybe  
18  that will be dealt with later or a little different.

19          MS. HOLAHAN:  Yeah.  I'd like to, if possible,  
20  deal with pulse dose rate separately than the high dose rate.  
21  Okay?

22          CHAIRMAN SIEGEL:  Okay.

23          MS. HOLAHAN:  Okay.  I just jumped.

24          CHAIRMAN SIEGEL:  That's fine.

25          MS. HOLAHAN:  Okay.  The other issue is in terms

1 of survey instruments. Currently, licensees are required for  
2 brachytherapy to have both a radiation measurement survey  
3 instrument and a radiation detection survey instrument.  
4 However, in terms of release of patients following a temporary  
5 implant, the patient survey must be conducted with a radiation  
6 detection survey instrument to ensure that all sources are  
7 removed.

8           When Bulletin 93-01 was issued following the  
9 incident in Indiana, Pennsylvania, NRC recommended at that  
10 time that the surveys associated with the HDR devices be  
11 performed with the radiation measurement survey instrument,  
12 primarily because of the concern that if the source was out  
13 the radiation detection survey instruments could peg and you  
14 could get -- would actually not detect that the source was  
15 out.

16           And it does conflict with the requirements of  
17 35.404(a) for patient surveys, so we have allowed licensees to  
18 use the other survey instrument. And I guess the question is  
19 if there's a need to clarify Part 35 to -- with respect to the  
20 survey instruments. For example, should the licensee be  
21 allowed to choose the most appropriate instrument for the  
22 particular use, or if there's any recommendations or concerns  
23 as to which survey instrument is better in terms of the HDR  
24 surveys.

25           CHAIRMAN SIEGEL: I guess I'm a little bit

1 confused by the technical problem, in that looking at 35.404,  
2 at least current language --

3 MS. HOLAHAN: Do you mean there?

4 CHAIRMAN SIEGEL: Right. And a detection  
5 instrument is just -- is conceivably something that could just  
6 have a binary response? Whereas, a measurement instrument --

7 MR. AYRES: The problem is the detection  
8 instrument is -- this is Bob Ayres with the staff.

9 CHAIRMAN SIEGEL: But use the microphone so the  
10 transcriptionist can hear you, Bob.

11 MR. AYRES: The problem is the detection  
12 instrument is a lower sensitivity instrument and is normally a  
13 GM tube, and there was concern about it saturating, which  
14 would give a zero indication in a high radiation field. I was  
15 responsible for the measurement instrument which is normally  
16 an ion chamber and it doesn't saturate high radiation field.  
17 That was the issue.

18 CHAIRMAN SIEGEL: Fine. I mean, it seems to me  
19 that if they could have a false negative response under  
20 circumstances where there's a high field that you probably  
21 needed to change the rule to make it clearer.

22 MEMBER WAGNER: But I guess I would leave it a  
23 little more simple than what's stated up here. It seems to me  
24 that the regulation on the instrument could read that it  
25 should not give a false reading at exposure rates above 100 mr

1 per hour. That would mean that other types of instruments  
2 would also be useable.

3 CHAIRMAN SIEGEL: Say that again, Lou.

4 MEMBER WAGNER: It's just that the regulation  
5 should be that the instrument that's used should not give a  
6 false reading at exposure rates in excess of 100 mr per hour.  
7 That is, the data will peg; it could peg.

8 MEMBER NELP: That's pretty obscure.

9 MEMBER WAGNER: Well, the problem is -- the  
10 problem is is I don't see that it's necessary to know exactly  
11 what the rate is once you get above 100 mr per hour. You know  
12 you've got a big problem there, and you've got to search that  
13 problem down. Now, I'm not sure that there would be any more  
14 information to be obtained. It might give you a broader scope  
15 of instruments that you could use.

16 If that thing pegs like she described, you know  
17 you've got a high rate. The problem that she was referring to  
18 is the fact that it never left its zero mark. It was so  
19 saturated it gave a reading as if nothing was there. That was  
20 the problem.

21 MEMBER NELP: But why don't you address  
22 saturation?

23 MEMBER WAGNER: That's what I just said, is that  
24 it did not give a false reading at rates less than 100 mr per  
25 hour.

1                   MEMBER NELP: I thought you said it shouldn't  
2 saturate.

3                   MEMBER WAGNER: Well, either way. Yeah.

4                   DR. WILLIAMSON: There are radiation detection  
5 instruments with ranges up to 1,000 mr, and that is what we  
6 prefer to use as a very wide range detection instrument that  
7 can read down in the microroentgen range, as well as up to  
8 1,000 mr. So I think you should -- I like your suggestion of  
9 an appropriate instrument that does not saturate at the high  
10 exposure levels expected around an HDR source.

11                   MS. HOLAHAN: Yeah. And I think that was what we  
12 were trying to clarify is that currently the detection survey  
13 instrument that's required only goes to 100 mr per hour.

14                   Okay? Moving through these much more rapidly.

15                   Okay. Again, with the licensing guidance, P&GD  
16 86-4 -- for anybody who is not familiar, it's the current  
17 licensing guidance for remote afterloaders -- is there's a  
18 requirement for various quality control checks and  
19 calibrations to be done by the licensee's authorized  
20 physicist, which gets back to the earlier point that the  
21 physicist would have certain -- a certain role.

22                   These are very similar to the requirements that  
23 are already in Part 35 for teletherapy -- basically, monthly  
24 checks, source positioning, accuracy, and linearity. And, in  
25 addition, there's a requirement in the guide that licensees

1 must confirm the source homogeneity for each source contained  
2 in the device.

3           Now, there have been comments received from the  
4 medical community that this particular requirement is  
5 burdensome because the sources have now become so small that  
6 for the majority of licensees it's very difficult for them to  
7 do the source homogeneity. These are some of the questions  
8 that I've got as a result of this.

9           First of all, should we, again, codify by  
10 regulation a QC check similar to those required for  
11 teletherapy, in terms of the monthly required checks?

12           CHAIRMAN SIEGEL: Are you limiting this to HDR  
13 alone, or is this all RAL brachytherapy?

14           MS. HOLAHAN: This is -- currently, in the  
15 licensing guide it is all remote afterloader brachytherapy.  
16 Is that correct, Bob?

17           MR. AYRES: Partially.

18           MS. HOLAHAN: Okay. Sorry.

19           MR. AYRES: Again, Bob Ayres with the staff. The  
20 one that isn't is the calibration. For long-lived sources in  
21 low dose there isn't that --

22           MS. HOLAHAN: Okay. That's right.

23           MR. AYRES: -- the calibration requirement. Most  
24 of the rest of it is.

25           MS. HOLAHAN: Thank you.

1           CHAIRMAN SIEGEL:  Okay.

2           MS. HOLAHAN:  So, again, should we proceed the  
3 route that -- in terms of codifying it?

4           MEMBER STITT:  Well, I think in general we've  
5 been making those statements that we should.  I'd like to hear  
6 those physicists who do high dose rate and remote afterloading  
7 talk.

8           DR. WILLIAMSON:  Well, I think it's not  
9 inappropriate to have some mention in the regulations of  
10 appropriate acceptance testing and quality assurance.  I guess  
11 I find some of the specific tests in the appendix to be very  
12 rigidly defined.  It to me is not obvious that the precise  
13 frequencies that you've specified are necessary, and there  
14 might be alternative ways to do it.

15           I guess my overall suggestion would be that this  
16 is something that could be successfully pursued, you know, by  
17 NRC involvement and discussion with the -- for example, the  
18 appropriate task groups in the AAPM.  The AAPM task group -- I  
19 believe it's 56, brachytherapy code of practice, is working on  
20 some recommendations for protocols for acceptance testing,  
21 commissioning, and periodic QA.

22           And I think, you know, the advantage of working  
23 through that is is that there would be -- you know, the  
24 physics community would have an opportunity to have detailed  
25 input into these things and be able to build in a certain --

1 you know, a desirable level of flexibility.

2 MS. HOLAHAN: Yes. As I mentioned earlier in the  
3 day, what we are also looking for and sort of seeking input on  
4 is the standards that are out there and what are currently  
5 being developed. I know that a number of the societies are  
6 developing standards in various areas, and I think where there  
7 are standards is similar to the way that in the teletherapy  
8 regs. we reference TG 21 is we could consider doing that type  
9 of activity in the brachytherapy arena.

10 DR. WILLIAMSON: Yeah. Just, you know, for  
11 example, I think one could argue about the utility of monthly  
12 testing and whether, you know, I think sort of the minimum  
13 frequencies of some kind of testing problem, in my mind --  
14 speaking as a working physicist -- would probably be annually,  
15 quarterly, and daily, and there are different ways you can  
16 split up some of the -- address some of the concerns that are  
17 in the monthly test, in the daily test, and so on.

18 So it's sort of a very detailed kind of thing  
19 that could benefit by some detailed discussion with the, you  
20 know, appropriate professional community.

21 MEMBER STITT: Again, I think in general we  
22 should move from where we are to bring this into the  
23 regulatory language. I think it would be inappropriate to  
24 have an NRC listing of quality control checks, calibrations, a  
25 calendar of this or that, and find that that's somewhat

1 different than the national standards that are in progress  
2 right now.

3           And the folks writing the standards are not just  
4 isolated groups that aren't speaking. They are actually  
5 pulled from all of the national groups -- physicists,  
6 physicians, etcetera. So if we can put a qualified yes or  
7 something to that question, that might be reasonable.

8           MS. HOLAHAN: Okay.

9           CHAIRMAN SIEGEL: But a qualified yes is  
10 reasonable because once there's really intent to put things  
11 into regulations, there will be a need to generate some sort  
12 of a consensus that makes sense, and that will come by way of  
13 things like workshops, I suspect, and you've got a bunch of  
14 those in mind, as well as further discussions with us and the  
15 public comment period.

16           So there's plenty of opportunity in the process  
17 of getting this into a rule language to get the rule to match  
18 what is current standard.

19           MS. HOLAHAN: Right.

20           CHAIRMAN SIEGEL: I don't see a problem with it.  
21 I just think you should go forward.

22           MS. HOLAHAN: Okay. And then the other question  
23 that I have while, you know, we have our physics members here  
24 with us is, should NRC require confirmation of the source  
25 homogeneity of -- for sources contained in remote afterloading

1 devices?

2                   CHAIRMAN SIEGEL: Jeff or Ivan, either one?

3                   DR. WILLIAMSON: Well, I would say no, because  
4 there is no practical way to do it for a high dose rate  
5 source. In fact, there's very scant literature on how to  
6 quantitatively assess source homogeneity, even for LDR  
7 sources. Certainly, taking autoradiographs and transmission  
8 radiographs can give you an idea of, you know, are there gross  
9 problems and deviations from structure? But, you know, nobody  
10 has really validated that you can show by looking at a contact  
11 autoradiograph that the source is homogeneous within 10  
12 percent.

13                   High dose rate has the problem that you can't  
14 manually manipulate the source and get it in good contact with  
15 films, and so on, so I would, you know, say no. It's  
16 certainly not something that's standard or practice. I don't  
17 believe there's any indication that there is a problem with  
18 the current generation of sources, so I'm not -- I'm not sure  
19 it would show anything very interesting.

20                   We've done some research work with it and found  
21 that the source construction was very close to what was  
22 specified at the degree of dose anisotropy that we measured,  
23 was very close to that which was theoretically predicted from  
24 the design, so I'm not sure there's a real problem.

25                   CHAIRMAN SIEGEL: Isn't this more a front-end

1 certification problem, to make sure that the -- the source  
2 manufacturing process has got the appropriate homogeneity  
3 checks? Or are these things changing as a function of time?  
4 I guess partially I'm asking that question out of stupidity  
5 here, so I don't -- I don't understand the issue.

6           Jeff, can you help me?

7           DR. WILLIAMSON: Yes, I think I can. I was  
8 speaking with Bob, actually, before the meeting, and the  
9 concern originally arose over the older design. Correct me if  
10 I'm misquoting you, Bob. That the original sources were made  
11 of little pill-shaped segments and disks, and I guess there  
12 was the concern that maybe some of the disks could be blank or  
13 something like that.

14           Now they're made out of a solid extruded piece of  
15 metal, and, you know, I think the way sort of these metal  
16 alloys are made the likelihood of there being any  
17 inhomogeneity or cavities or things in a pure chunk of, you  
18 know, irridium wire is extremely remote. The whole wire is  
19 inserted into a nuclear reactor, and the degree of  
20 heterogeneity of the activity distribution within the source  
21 would be related to the uniformity of the neutron flux  
22 distribution over this little tiny three-and-a-half millimeter  
23 area.

24           So I don't think it's a -- given all of the  
25 problems that we have to deal with in the clinical world, this

1 is not like high on the agenda of things that we need to test  
2 in practice.

3 CHAIRMAN SIEGEL: And my follow-up question is,  
4 is the source homogeneity variability in dose delivery  
5 relevant when you consider biological variability? Have there  
6 been real problems related to source homogeneity in current  
7 practice that anyone is aware of? Judy? Dan?

8 MEMBER STITT: No.

9 MEMBER FLYNN: No.

10 CHAIRMAN SIEGEL: Either of you? Ivan?

11 MR. BREZOVICH: I mean, I would say the way the  
12 sources right now are constructed it's not a problem. Maybe  
13 there should be some discretion left to the physicist. But if  
14 he suddenly comes out with a totally differently designed  
15 source it may become a problem, but not to codify it so that  
16 we have to do it when we know there can't be a problem is  
17 unnecessary.

18 CHAIRMAN SIEGEL: Okay.

19 MR. CAMPER: I have one more question. I want to  
20 make sure I understand what I'm hearing on this question of  
21 acceptance testing. I get a clear signal that you favor the  
22 idea of codifying due diligence, and what have you, but with  
23 regards to accepting testing itself being included within the  
24 quality control checks.

25 Now, two things about acceptance testing. Number

1 one is not everyone knows how to do them. There are some  
2 standards out. One can bring to bear NEMA considerations.  
3 One can bring to bear certain AAPM guidelines. But, you know,  
4 the actual format to be used in conducting an acceptance test  
5 can be problematic. Not everyone knows how to do it.

6           And for those who don't know how to do it,  
7 they're going to find someone and pay someone who does know  
8 how to do it. And the cost for conducting an acceptance test  
9 on a device like this would probably run \$3,000, \$4,000, or  
10 \$5,000, something on that order.

11           So I guess my question, then, with that in mind  
12 is, is it appropriate that acceptance testing would be a  
13 requirement within quality control checks? What's the feeling  
14 of the Committee on that?

15           CHAIRMAN SIEGEL: None whatsoever.

16           (Laughter.)

17           DR. WILLIAMSON: What do you mean by "acceptance  
18 testing"?

19           MEMBER STITT: Yeah. I am confused by your  
20 question.

21           MR. CAMPER: Well, I mean, classically, you're  
22 taking a device, having it undergo an independent evaluation  
23 by a physicist or an engineer of your choice, not a  
24 manufacturer's employee, following whatever guidelines are  
25 available. And this is what I'm saying. There are some NEMA

1 specifications that have a bearing. There are some AAPM  
2 guidelines that have a bearing. And in some cases, AAPM has  
3 gone further with certain modalities than they have in others  
4 in defining specific acceptance testing criteria.

5           But basically, what you do -- and it has gotten  
6 better over time -- is you come up with -- a physicist comes  
7 up with an appropriate set of criteria, to see to it if, in  
8 fact, the device functions according to the manufacturer's  
9 specifications. And in many cases, not necessarily HDR's, but  
10 many imaging devices, for example, do not, will not meet the  
11 manufacturer's specifications despite their literature.

12           And what I'm saying is is that an acceptance test  
13 is not just something that does one just like that. And,  
14 therefore, the idea that we would require that -- is it a good  
15 thing to do? Clearly --

16           MR. BREZOVICH: Yes.

17           MR. CAMPER: But a requirement is yet another  
18 thing, because I think there are some costs involved and we  
19 have to be concerned about cost in our regulations.

20           CHAIRMAN SIEGEL: If we're talking about the  
21 entire device here, I mean, the Food, Drug, and Cosmetic Act,  
22 as amended, is designed to allow one to believe -- and  
23 "believe" is the operative word -- that if you buy a device  
24 that's supposed to do something that it will do that, and that  
25 whether you need to go a step further by requiring acceptance

1 testing is not at all clear to me.

2           You know, I think the FD&C Act is doing the job  
3 here. I think prudent purchasers do acceptance testing to do  
4 those fine checks on specifications, but the question is is  
5 whether fine checks on specifications are the issues that are  
6 going to be addressed by the kind of acceptance testing the  
7 NRC would be concerned with, which would be major device  
8 failures, I think.

9           Jeff, do you have a comment?

10           DR. WILLIAMSON: Well, I think the issue of what  
11 acceptance testing means is kind of ambiguous in this  
12 discussion. I think there is a sort of level of very  
13 extensive acceptance testing that can't be done in the field  
14 non-destructively. There are sorts of things the vendors do  
15 in terms of, you know, testing each individual bit of hard-  
16 wired code and simulating all of the different hundreds of  
17 internal error states the machine is supposed to be able to  
18 check. We can't, obviously, do that in the field.

19           I think it would be nice to do a little more than  
20 we do, but I think acceptance testing, as understood in the  
21 medical physics community, involves basically independently  
22 assessing things like the degree of positional accuracy that  
23 can be achieved for the different types of applicators that  
24 would be used, looking at some very -- some critical responses  
25 to simulated safety problems, those that can be done, again,

1 safely and non-destructively with respect to the piece of  
2 equipment, a few other -- you know, so it's not that much more  
3 extensive, really, the list from what is specified in the  
4 routine quality assurance testing. It's basically a slightly  
5 expanded superset.

6           The AAPM Joint American Brachytherapy Society,  
7 task group 56, is going to, you know, basically come up with a  
8 recommendation of what is the sequence of testing that should  
9 be done. And I think, you know, Larry is right. At the  
10 moment, I don't think there exists, you know, complete  
11 unanimity in the community exactly how to do this.

12           MR. CAMPER: Yeah. I mean, from our perspective  
13 -- I mean, let's play this out. Let's say there was a  
14 regulatory requirement, and it would say that, "The HDR device  
15 will undergo acceptance testing to meet the AAPM whatever, or  
16 it's equivalent," for example. And then the AAPM, or whatever  
17 organization, would need to develop the acceptance testing,  
18 and then this could be embodied within guidance, and so forth.

19           That can probably be gotten to, and certainly  
20 from a regulatory standpoint we should be using whatever  
21 acceptance testing criteria of an industry standard that  
22 exists.

23           But stepping back from that, if one assumes  
24 that's how it would go, this fundamental question of should  
25 acceptance testing be a requirement, the reason I ask it in

1 the way that I do is it does carry with it, I think, arguably  
2 a significant burden to the regulated community, in terms of  
3 either being able to perform it, to satisfy a regulatory  
4 requirement, and/or perhaps a cost burden.

5 CHAIRMAN SIEGEL: Yes?

6 MR. BREZOVICH: I just wanted to point out with  
7 linear accelerators, which are of course more complicated than  
8 HDR, there the manufacturer very clearly says the final  
9 responsibility for its use is up to the physicist. So not to  
10 require -- I mean, that's part of when you purchase it. It's  
11 part in the specifications. So the question is, is an HDR the  
12 only -- that much simpler that we don't need any of that?

13 I'm not sure I know the answer, but some kind of  
14 a test I think should be -- maybe it should be just before you  
15 put it in operation you do your monthly check or something.  
16 What I want to prevent is that a unit gets from the  
17 manufacturer into a clinic and something goes wrong which  
18 happens during the transport, and so on. So that by the time  
19 of its first monthly check, some people may already have been  
20 treated incorrectly with it.

21 CHAIRMAN SIEGEL: Surely the manufacturer does  
22 some checks on the device as it's installed at your facility  
23 and says, "It is performing according to specifications. Here  
24 is our certificate that says so." They do, don't they?

25 MR. BREZOVICH: Well --

1           CHAIRMAN SIEGEL:  It just doesn't come in a box  
2 and you unpack it and get your screwdriver out and put it  
3 together.

4           MR. CAMPER:  Two observations.

5           CHAIRMAN SIEGEL:  I hope not.

6           MR. CAMPER:  Well, two observations.  One,  
7 someone brought up the FDA earlier.  I mean, if I'm a  
8 manufacturer and I want to produce a teletherapy unit, or an  
9 HDR unit, or a CT unit, I go to the FDA and I seek approval  
10 for this device.  And I undergo the review and approval  
11 process, and I'm going to build, you know, model XYZ HDR  
12 device.  That's fine.  Then, you have approval to go do that.

13                   But that doesn't mean that serial number 2204 of  
14 that device that you end up with in your shop functions the  
15 way it is supposed to.  And the value of doing an acceptance  
16 testing is seeing that your unit meets the manufacturer's  
17 specification and performs according to the established  
18 criteria.

19           CHAIRMAN SIEGEL:  GMP should imply that serial  
20 number 2204 is functioning according to specifications.

21           MR. CAMPER:  I understand.  But the reality of  
22 the matter is is that not all devices perform according to the  
23 manufacturer's specifications.

24           MR. BREZOVICH:  Yes, I strongly agree with what  
25 Larry said.

1           MEMBER STITT: I think we should look at a  
2 qualified yes like we've done before. But I think we really  
3 ought to look to the direction of the groups that are spending  
4 a lot of time and effort putting specifics into this topic --  
5 that is, the task force, the AAPM, etcetera.

6           DR. WILLIAMSON: I would suggest sort of holding  
7 on taking a final action because the task group is in  
8 progress, a draft exists, there should be -- it should be  
9 clear in the next six months what the final recommendation is.  
10 It may well be that the additional mileage gotten out of an  
11 acceptance test -- testing versus what one would do on a  
12 quarterly basis, let's say, may be very minimal. And the kind  
13 of yield that you would get would be not at the catastrophic  
14 level of error but at the sort of three/four millimeter level  
15 of source positioning, and stuff like that. That's where I  
16 suspect it would make a difference.

17           I certainly have found, despite what the vendors  
18 say, deviations from the performance, even of these relatively  
19 simple devices like the high dose rate, and it has had impact  
20 on the way they've designed -- had to redesign and reengineer  
21 some of their accessories.

22           CHAIRMAN SIEGEL: Okay.

23           MS. HOLAHAN: Okay. All right. I'm going to --  
24 again, with the safety checks and things like that that are in  
25 licensing guidance, again, I think we've sort of gotten an

1 indication that you think, yes, go ahead through the  
2 rulemaking process and we'll get comments as we do that. So  
3 I'm going to move on now to relocation of remote afterloading  
4 devices.

5           Currently, licensees are authorized to move LDR  
6 devices to patient rooms, provided they have the appropriate  
7 portable shielding necessary. But the movement of PDR, MDR,  
8 and HDR devices is restricted to the specific -- or the use is  
9 restricted to a specific room described in the application.  
10 And relocation of the device to another room requires prior  
11 NRC approval.

12           The question is -- and the question has come up  
13 as to whether or not licensees can move their device from one  
14 room to the next and have two rooms that they can use not  
15 simultaneously, but in the same day and move it back and forth  
16 themselves. So the question is is what are the safety  
17 implications of relocating an HDR remote afterloading device  
18 within the licensee's facility?

19           And again, and this is following up on some of  
20 our earlier discussions with standards, have standards have  
21 been developed to provide some specific guidance on this issue  
22 as to what would be expected once the device has been moved?

23           CHAIRMAN SIEGEL: And the primary issues are  
24 related to the Part 20 requirements --

25           MS. HOLAHAN: Well, not just the Part 20

1 requirements.

2           CHAIRMAN SIEGEL:  -- about what the dose rates  
3 would be, or are you more concerned about the machine not  
4 working right because it was physically moved from Point A to  
5 Point B?

6           MS. HOLAHAN:  Yes, the latter.  It's more as to  
7 what needs -- do certain checks need to be done on the machine  
8 following its movement, or should it even, you know, be  
9 considered?

10           CHAIRMAN SIEGEL:  Well, I mean, in a way it's  
11 sort of akin to what you have to do with a dose calibrator.  
12 If you move it to a different location, you have to do some of  
13 the safety checks that are required on an annual basis on the  
14 dose calibrator when it's moved.  And if there is, in fact,  
15 the opportunity for a machine to malfunction because it has  
16 been physically moved, it seems reasonably prudent that you  
17 ought to check it.

18           Now, what I don't know is, do they malfunction  
19 when they've been moved?  Jeff?

20           DR. WILLIAMSON:  Yeah.  I guess I'd like to make  
21 maybe two or three comments about this.  I think I would  
22 distinguish between two sets of issues.  One is a  
23 manufacturer's issue.  Is the machine designed to withstand,  
24 you know, the additional stress, vibrations, etcetera,  
25 accidentally bumping into a wall, without it, you know, going

1 haywire or producing a hazard? My impression is that the  
2 devices are, although maybe the Nucletron and other vendors  
3 may want to comment on that.

4           The second issue, does it work properly once it's  
5 moved? I actually think the response of NRC, initial  
6 response, saying, "This is a reinstallation and requires a  
7 vendor to be on site and reinspect the machine," and so on,  
8 that's really overblown, I believe, and greatly exaggerated.

9           Moving, for example, the Microselectron HDR from  
10 one room to another would entail unplugging the power,  
11 unplugging the machine from its cabling harness, doing the  
12 same for its console, and basically trucking it to the new  
13 room and plugging it in. Does it always work? Well, you  
14 know, what the vendor would essentially do is what we would  
15 do. They would go through a daily quality assurance protocol  
16 that would check, one, does the machine function?

17           If there's a problem with that multi-strand cable  
18 being properly seated in its socket, you'll know very quickly.  
19 And so, you know, I don't think there is a very serious  
20 question here regarding functionality. I think it would be  
21 appropriate to say that if it is moved from one location to  
22 another the agreed-upon daily quality assurance protocol  
23 should be repeated in that new site before you go ahead and  
24 use the device for treatment.

25           We've had much experience moving the little

1 brother of HDR around -- the PDR. We've moved it many, many  
2 times, and we've never had a problem.

3 MEMBER QUILLEN: Isn't this argument or  
4 discussion related to the mobile HDR?

5 MS. HOLAHAN: That's the next issue. I mean,  
6 this is not devices that are manufactured as a mobile or  
7 transportable.

8 MEMBER QUILLEN: Okay.

9 MS. HOLAHAN: This is the standard device.

10 And currently, you know, we'll allow the movement  
11 of the transportable device. But again, as Dr. Williamson  
12 indicated, is that a device when it's moved is considered a  
13 reinstallation to NRC.

14 CHAIRMAN SIEGEL: But your licensing requirement  
15 that you know exactly where it's located, that relates to Part  
16 20 requirements. You want to know what the --

17 MS. HOLAHAN: Well, it relates more than to just  
18 Part 20, because for -- within the HDR licensing guidance, we  
19 require a description of the facilities as well. So when we  
20 ask for the area of use, we're also ensuring that the  
21 facilities have everything that is required in terms of the  
22 viewing system, the interlocks, the monitor. So on the  
23 license application and the license it will list the area of  
24 use as a specific room.

25 CHAIRMAN SIEGEL: And I guess the next question

1 -- practical point of view is how often a new room of use  
2 would pop up in an institution, such that you couldn't provide  
3 the information to the NRC in a reasonable timeframe.

4 DR. WILLIAMSON: You've raised a third issue,  
5 which is, does the room have to be specially equipped? Well,  
6 the answer is absolutely, you know, yes it does. You can't  
7 just roll an HDR into any room and use it. That's not what  
8 I'm advocating. The room needs to have a special cabling  
9 harness. It needs to have a power conditioner. It needs to  
10 have shielding. It needs to have the various independent  
11 safety systems. It needs to have the door interlock.

12 All of that is permanently installed by the  
13 vendor, and I would assume by license amendment you would say,  
14 "I want to use it in rooms X, Y, Z, in Barns Hospital," or  
15 whatever, and, "Here is how I would plan to move the unit  
16 around and the testing I would do." So it's --

17 MS. HOLAHAN: Yeah, you're right. There are two  
18 issues. One is the actual facilities, and you are asking why  
19 is a specific room listed, and it's more than just the Part  
20 20. It's a facility. But this is also, then, we go beyond --  
21 is what happens to the device when you move it from one room  
22 to the next?

23 CHAIRMAN SIEGEL: Bob, please.

24 MR. AYRES: Bob Ayres of staff. There is  
25 actually two other issues involved in there, too. One about

1 manufacturer's installation. You talked about it a little bit  
2 earlier, but in the device evaluation there's a restriction  
3 placed on the device that it must be installed by the  
4 manufacturer. So to license in any other way would be in  
5 violation of the Part 32 device evaluation.

6           The other issue is a safety issue in the  
7 movement. Unlike the transportable devices, the other  
8 generation devices have not been tested that the source will  
9 remain secure during a movement if it was tipped over or  
10 something. The transportable mobile devices are class -- the  
11 source safe is a Type A container certification that the  
12 source will remain secure.

13           CHAIRMAN SIEGEL: Okay. Have we sort of answered  
14 these questions, or have we not? I mean, it sounds like you  
15 need to have licensing information about what rooms the thing  
16 is going to be used in and it -- and the period. It's just it  
17 shouldn't be something that the licensee should just be able  
18 to move these things about on their own without the NRC  
19 knowing about it. Is that what we're saying?

20           DR. WILLIAMSON: Well, I think the question is  
21 whether you can move it from one room to another, with or  
22 without prior agency approval. I think they're considering  
23 saying, "If I have two Microselectron PDR rooms, one on the  
24 fourth floor and one on the seventh floor, I can't move the  
25 unit when I have -- had a neck patient on the seventh floor

1 without having the vendor come and push it up there and plug  
2 it in, you know, themselves, as opposed to, for example, my  
3 staff or I doing it."

4 MS. HOLAHAN: And what I'm hearing, though, too,  
5 is that there are no specific standards for moving it, but you  
6 are saying that the regular QA/QC checks that would be done on  
7 normal daily operation would need to be applied whenever the  
8 device is moved.

9 CHAIRMAN SIEGEL: Lou?

10 MEMBER WAGNER: And I think it's important to  
11 point out that what you're also implying is that you don't  
12 need to have the company recertify the machine once it's  
13 moved.

14 MR. BREZOVICH: Yeah. Could it be maybe done so  
15 that both rooms have to be agreed upon and certified by the  
16 manufacturer, and then going from room to room is up to the  
17 user? In other words, if the manufacturer agrees to this dual  
18 use.

19 MS. HOLAHAN: Well, again, that's still the --  
20 the whole question is, is that still considered a  
21 reinstallation each time it is moved? Which --

22 MR. CAMPER: Well, I mean, are the current -- is  
23 the approach that we're currently using today, with regards to  
24 having a room, specified ahead of time? If you want to move  
25 it to another facility, it has got to undergo an amendment.

1 Is that a reasonable approach, in view of the technology and  
2 what's needed in a room? Or are, by contrast, there's the  
3 safety implications -- so minimal or not so profound that one  
4 could move it and notify us after the fact in some  
5 predetermined or specified period of time, for example?

6 DR. WILLIAMSON: Well, I have no problem with in  
7 my license amendment specifying in advance the facility. With  
8 any of these machines that we're talking about, you can't just  
9 decide tomorrow to go move it to another room. It really does  
10 require an installation process to occur, because some  
11 permanent equipment has to be installed in the room that's  
12 left behind when you move the machine to another room. So  
13 you'd have to have several independent setups. That's indeed  
14 what we have.

15 What I am kind of objecting to is calling this  
16 simple relocation of a device from two previously certified  
17 and allowed rooms, making that very difficult and burdensome.  
18 If I have to, you know, have a Nucletron person come out  
19 there, that is going to cost \$1,000, and it's going to become  
20 an enormous hassle to use a pulse dose rate machine on several  
21 clinical services.

22 So I think there is sort of good reason to give  
23 people the flexibility to move it around from previously  
24 certified -- between previously certified sites in the same  
25 building. I guess that's what I'm arguing for, that it does

1 not seem to me to be a problem at the practical level unless  
2 there is some issue further up the line that has to do with  
3 the manufacturing specifications, which it sounds like that  
4 could be addressed by additional testing of these devices.

5           CHAIRMAN SIEGEL: I also am not sure we've got  
6 the whole answer right now either. I'd be very curious --  
7 there are none in the audience -- to know what the  
8 manufacturers would think about their devices being moved from  
9 one room to another, and whether in the event that there's  
10 problems whether they've designed things adequately to handle  
11 that or if that's going to markedly change liability issues.

12           So I think although I'm -- I think I agree with  
13 Jeff's concept that it could be made simpler. I think this  
14 issue needs more data before we give you an unequivocal  
15 answer.

16           MS. HOLAHAN: It should be explored with the  
17 manufacturers.

18           CHAIRMAN SIEGEL: I think so. I think they need  
19 to have some input.

20           MS. HOLAHAN: Okay.

21           CHAIRMAN SIEGEL: Bob, you had a comment?

22           MEMBER QUILLEN: Well, I was going to say the  
23 same thing you just did. But also, I was going to add that it  
24 would seem to me that if a licensee wants to move this and  
25 have already gotten approval for the various locations, the

1 only issue that remains is this manufacturer certification for  
2 the location. And it would seem to me that the licensee, if  
3 they felt that they could -- had the resources and abilities  
4 to do so, could ask for, in their license, that authority to  
5 do so, for a specific exemption to NRC normal licensing  
6 criteria.

7 DR. WILLIAMSON: Well, I think that's all  
8 reasonable if we're allowed -- I thought we were discussing  
9 being allowed to do it, or like every week I have to call the  
10 vendor in to come and roll the machine from room X to room Y.  
11 I'm objecting to that as a burdensome requirement.

12 MEMBER QUILLEN: What I'm saying is, why don't  
13 you ask for the authority to be able to do it?

14 DR. WILLIAMSON: Well, that's what I'm suggesting  
15 that this council support is the authority for -- by a license  
16 amendment for users to do this.

17 MEMBER QUILLEN: I support that.

18 CHAIRMAN SIEGEL: We support it, but we think you  
19 probably need better data from manufacturers.

20 MS. HOLAHAN: Explore it further, okay.

21 CHAIRMAN SIEGEL: Because they might not support  
22 it.

23 MS. HOLAHAN: Right. Okay.

24 CHAIRMAN SIEGEL: For a variety of reasons, one  
25 of which is they get money from it.

1 MS. HOLAHAN: Let me move on to a related topic  
2 -- mobile HDR. Currently, there are two manufacturers that  
3 manufacture mobile or transportable HDR units. They are both  
4 -- in both cases, the remove afterloader and radiation shield  
5 and comprise a single unit. However, one -- the entire coach  
6 is considered -- is what has received the sealed source and  
7 device certification, and in the other case it is a  
8 transportable unit that is carried around on a truck, but it  
9 is a unit itself that is approved.

10 To date, NRC has not issued any licenses for the  
11 mobile HDR technology, and we have a number of questions with  
12 regards to it, in terms of they -- the quality control  
13 procedures that might be necessary, and the emergency  
14 procedures. When the patient is being treated upon a coach  
15 outside the hospital perhaps, but with no OR facilities  
16 immediately available on the coach in the event of a stuck  
17 source or something like that is are there considerations to  
18 be made in terms of the mobile HDR issues?

19 And maybe I can just walk through the questions.  
20 First of all, there are some unique quality control issues  
21 that we should consider. Now, this issue is -- we have had  
22 some meetings with the manufacturer, and I believe we will be  
23 getting an application in the near future, and so these sort  
24 of are very pertinent at this point in time to try and get  
25 some input on these issues.

1 MR. CAMPER: And, in addition, the State of  
2 California has, in fact, issued such a license.

3 MEMBER QUILLEN: We also have received an inquiry  
4 in our state for such a license.

5 MS. HOLAHAN: Okay.

6 CHAIRMAN SIEGEL: Well, Jeff, anyone, in terms of  
7 quality control -- I mean, it seems to me that if you've got a  
8 device that's being jostled around in a truck, you probably  
9 need to make sure it's working to a higher level of certainty  
10 on any given day of use than you would for a device that's  
11 sitting in a building.

12 MS. HOLAHAN: I guess the question is, as a  
13 followup to the relocation of a device, where you may have to  
14 do the quality control, is there anything beyond what you  
15 might normally do as your daily quality control checks, that  
16 when you have moved it X number of miles on a truck, on --  
17 well, it depends how bad the roads are, but if there's  
18 anything else that should be considered.

19 DR. WILLIAMSON: Just a question of  
20 clarification. I understand one of the -- I thought the  
21 concept of mobile HDR is it basically is an HDR that rolls off  
22 the truck and then gets installed in a room in the hospital.  
23 That's not --

24 MS. HOLAHAN: That's a transportable one.

25 DR. WILLIAMSON: Okay. All right. We're not

1 talking about --

2 MS. HOLAHAN: The coach unit is there is a device  
3 permanently fixed on the coach. The shielding and everything,  
4 they've got the setup to do all of the dosimetry. The coach  
5 goes around. It provides, you know, a medical physicist,  
6 dosimetrist, radiation safety officer, and then the facilities  
7 provide the authorized users.

8 MEMBER FLYNN: I've seen the coach display, and  
9 the concept, I understand, would be that the -- as you say,  
10 the medical physicist would be with the coach traveling to  
11 different locations. There will be different physicians --

12 MS. HOLAHAN: Correct.

13 MEMBER FLYNN: -- but the coach, and maybe a  
14 technologist or a nurse, would be the same for all of the  
15 procedures.

16 DR. WILLIAMSON: So the operator would be with  
17 the coach. It wouldn't be driven to different hospitals and  
18 then staffed. Okay.

19 MS. HOLAHAN: Generally, the physicist that was  
20 operating the unit, at least in the one we've seen to date.

21 MEMBER FLYNN: The main problem that would occur  
22 would be that the physician would tend to use this type of  
23 service if he does HDR very infrequently, because he would be  
24 sharing this HDR resource with a number of facilities with  
25 only like a 200- or 300-mile area. And most of the concern I

1 would have would be not with the equipment but maybe with the  
2 authorized user, who would be something infrequently.

3           But at least the physicist would be with the  
4 unit, traveling with the unit, and so then I would -- you  
5 know, I would have less concern, that being the case. That  
6 it's not the institution's physicist who also would be doing  
7 it very, very infrequently. He would be doing the procedure  
8 with a physician who does it very infrequently.

9           MS. HOLAHAN: I guess the question there, though,  
10 is would that be any different from a small cancer clinic that  
11 has private practice oncologists coming in and maybe using  
12 their HDR unit on an infrequent basis?

13           MEMBER STITT: I don't think it would. I mean,  
14 certainly, that is -- those are always areas of risk when you  
15 don't do something very often. But then the medical aspects  
16 should have been addressed in the materials that we went over  
17 in the morning that had to do with definition of an authorized  
18 user, types of training, etcetera, etcetera.

19           DR. WILLIAMSON: Well, there certainly is one  
20 advantage that Dr. Flynn has pointed out. It's probably  
21 better for there to be one unit roving around amongst, you  
22 know, a bunch of little hospitals where -- with at least an  
23 experienced full-time technical staff running it.

24           That's probably, in the end, a lot safer than  
25 having five or six little units around that are used 20 times

1 a year with -- and perhaps those hospitals don't have, you  
2 know, an adequate technical staff or a technical staff that  
3 gets enough clinical practice with the device. So, in that  
4 sense, maybe it should be encouraged.

5 I can't, off the top of my head, think of any  
6 additional quality assurance requirements. I would assume  
7 that the manufacturer would have to perhaps subject it to more  
8 rigorous testing -- you know, that the device maintains its  
9 mechanical integrity, you know, as a function of mechanical  
10 trauma and all of that.

11 In general, I would have to say I think some of  
12 the facility survey requirements for stationary HDR systems  
13 are quite ridiculous. I think it's -- you know, there is no  
14 need to like do, in my mind, quarterly facility surveys. But  
15 I think perhaps with a truck with sort of -- with heavy lead  
16 shielding that could be jostled around, it might be actually  
17 wise to require more frequent facility surveys of the device,  
18 a very thorough daily quality assurance checkout every time  
19 the thing moves, not just at the beginning of the day. But I  
20 should think every time the truck stops and is about to treat  
21 a new patient, I would think at a minimum the technical staff  
22 should go through the daily quality assurance check, which  
23 might be then several times a day as opposed to once a day in  
24 a stationary facility.

25 MEMBER FLYNN: Unless those nice new highways in

1 Southern California and the south would be different than the  
2 northeast with the potholes.

3 MS. HOLAHAN: That's why I mentioned the quality  
4 of the roads.

5 MEMBER FLYNN: In Boston, they'd probably steal  
6 the truck if they parked it.

7 (Laughter.)

8 MEMBER STITT: That's a different question here.  
9 How do you secure your source? Trisha, does the nursing staff  
10 go with the unit, or do they come with the hospital? Or does  
11 it depend?

12 MS. HOLAHAN: The one unit we've seen they  
13 provide the nursing staff.

14 MEMBER STITT: It comes with the --

15 MS. HOLAHAN: Yes. The only thing the facility  
16 provides is the authorized user and the patient.

17 MEMBER WAGNER: Well, some of the issue here is,  
18 you know, we've talked about how they're doing it. The  
19 question then should be, should it be a regulation that they  
20 have a physicist assigned with the unit? And what kind of  
21 regulation should require that in the event we have more of  
22 these applications, what should they also be restricted to do  
23 in terms of the physicist and the operator, etcetera? Should  
24 there be a requirement that they be assigned to the unit?

25 And the other question was she brought up, you

1 know, what if a source is stuck in a patient. The issue is,  
2 well, what are they going to do to take care of that patient  
3 at that time, and do they have the facility to transfer that  
4 patient into the hospital for surgical removal or something?  
5 I think that also is an issue that -- at least an issue of  
6 safety, from different points of view that might have to be  
7 addressed.

8           MEMBER STITT: Some of these things can -- might  
9 be able to be likened to free-standing radiation therapy  
10 facilities or any other sort of out-patient clinic where  
11 you're not at a hospital where you can have immediate access.  
12 Now it's in the medical treatment realm, but what happens if  
13 somebody has a medical emergency while they're in the coach.  
14 I mean, it's -- the cycle goes on and on and on. Some of this  
15 would be regulated by other non-NRC types of things.

16           MEMBER FLYNN: Who is the licensee in this case,  
17 and who decides how often the source is changed? You know,  
18 every three months or every two-and-a-half months or --

19           MS. HOLAHAN: The licensee is the company in  
20 California, and they are responsible -- I mean, they maintain  
21 the responsibility for the source rather than the different  
22 facilities. And so they still comply with all of the source  
23 change requirements and everything like that.

24           CHAIRMAN SIEGEL: So the authorized users --

25           MS. HOLAHAN: Are listed on their license.

1                   CHAIRMAN SIEGEL:  -- at multiple hospitals get  
2 listed on the --

3                   MS. HOLAHAN:  Yes, they're listed on the mobile  
4 licenses.

5                   CHAIRMAN SIEGEL:  So, John, if you're running a  
6 network, is this the way you want to do this?  Or do you want  
7 to move patients to the specialized tertiary center that does  
8 this?

9                   MEMBER GRAHAM:  I'll never be able to go to the  
10 country again if I answer this wrong.

11                   (Laughter.)

12                   There are parts of the country that are trying to  
13 accommodate rural communities that have populations that are  
14 objecting to travel time -- and particularly in excess of 50  
15 to 60 miles.  Seems to be the barrier.

16                   In a heavily populated, metropolitan area like  
17 Detroit, I think the obvious answer is you ought to  
18 consolidate in a couple of large institutions --

19                   CHAIRMAN SIEGEL:  Right.

20                   MEMBER GRAHAM:  -- and have people drive there.

21                   CHAIRMAN SIEGEL:  But in Montana --

22                   MEMBER GRAHAM:  But in Montana, all of our  
23 technology is being developed in a mobile format to try to  
24 keep those patients as close to their families as possible.  
25 So I think I have to answer that if we can set the regs. up,

1 there has to be at least the opportunity to provide that  
2 service.

3 MS. HOLAHAN: But I think Dr. Stitt made a valid  
4 point about the free-standing clinics, and when we have talked  
5 with the individuals that are practicing in free-standing  
6 clinics we have told them, you know, basically, the procedures  
7 that would require surgical intervention if the source broke  
8 off is they'd need to have a mechanism to handle that.

9 CHAIRMAN SIEGEL: How much more do you have left,  
10 Trish? Because you have to leave, we have to move on, and --

11 MS. HOLAHAN: Yeah. I mean, I had some other  
12 issues. Pulsed dose rate was really the only other one I was  
13 going to try and cover this afternoon, and I don't know how.  
14 That could be lengthy.

15 CHAIRMAN SIEGEL: It could be quite lengthy. I  
16 think we'd better try to do it tomorrow.

17 MS. HOLAHAN: Okay.

18 CHAIRMAN SIEGEL: And if we can't resolve it, I  
19 -- I --

20 MEMBER STITT: I don't think anybody but Jeff is  
21 going to be helpful with pulse. I mean, so either --

22 CHAIRMAN SIEGEL: I also really -- I want to  
23 reiterate what I said earlier. I have a sense that this has  
24 turned out to be a much more complicated discussion than  
25 perhaps we had anticipated, and that the last thing I want

1 anyone to perceive is that the ACMUI rushed through these  
2 issues.

3           So I hope you all will see this as a first cut,  
4 take our initials judgments and work from there. But I think  
5 it's clear that these issues need workshops for further  
6 discussion. And if you want more advice from the ACMUI, I  
7 think a subcommittee meeting, public subcommittee meeting that  
8 really can take two days and talk through these things at  
9 great length, and consider all of the ramifications, is  
10 essential. Okay?

11           MR. CAMPER: We hear that.

12           CHAIRMAN SIEGEL: Good. Thank you.

13           MS. HOLAHAN: Thank you very much.

14           CHAIRMAN SIEGEL: So where is it? It's 1:15 now,  
15 whether you know it or not, and Janet --

16           MR. CAMPER: Yeah. We thought we would give  
17 Janet, you know, a non-controversial topic -- training and  
18 experience criteria.

19           CHAIRMAN SIEGEL: What would a meeting be like if  
20 we didn't talk about training and experience?

21           MS. SCHLUETER: Good afternoon. I'm Janet  
22 Schlueter, and I'm in the Medical and Academic Section as  
23 well, and we thought we'd have something light and breezy this  
24 afternoon.

25           (Laughter.)

1           Training and experience criteria. Our discussion  
2 today is limited to training and experience criteria for  
3 authorized users.

4           There has been some -- in order to sort of  
5 characterize the focus of this discussion a little further, as  
6 you know, there has been some discussion earlier today about a  
7 much broader effort, a much more broader effort to address  
8 training and experience issues as part of the overall revision  
9 to Part 35. That's not what I'm here to discuss today.

10           Today, we're here to discuss how the NRC staff  
11 has gone about developing some guidance for our regional  
12 offices to allow exemptions to our current training and  
13 experience criteria for certain types of authorized use.

14           The overall effort for T&E will be rolled into  
15 the advance notice of proposed rulemaking for Part 35 and the  
16 major revision of Part 35. Now, we need an interim fix for  
17 the current criteria that's on the books, and that's what  
18 we're going to be discussing today.

19           Excuse me for the laryngitis Monday. I'm lucky I  
20 still have a voice today.

21           As you probably know, each Subpart J section  
22 provides for either two or three training pathways for each  
23 type of authorized use. As we mentioned earlier, Board  
24 certification is not the only training pathway that we  
25 recognize. There must be an "or" category, a pathway that

1 allows individuals that are not Board certified to become  
2 authorized.

3           Most sections do require Board certification, or  
4 they require classroom hours coupled with supervised clinical  
5 experience or supervised work and clinical experience. We  
6 routinely receive, both in our headquarters offices and in our  
7 regional offices, inquiries from both our licensees, agreement  
8 states, and other interested parties as to whether or not  
9 there can be exemptions to our current training and experience  
10 criteria.

11           And, in particular, can the required 500 hours of  
12 clinical experience and 500 hours of work experience  
13 identified in 35.920(b) be obtained in some concurrent  
14 fashion? And, if so, to what degree? And that's the primary  
15 focus of this discussion today.

16           In order to provide some guidance to our regional  
17 offices on this issue and several other training and  
18 experience issues, we developed a draft policy and guidance  
19 directive, which was issued in April of 1994 to our regions  
20 for comment. And we received several comments on that P&GD  
21 and are in the process of finalizing it, and that's one reason  
22 that we bring this discussion to you today, because it is  
23 about granting exemptions to current criteria, not revising  
24 that criteria but allowing exemptions from it.

25           The policy and guidance directive initially was

1 going to be finalized in that form, but since that time we  
2 have decided to integrate the policy and guidance directive on  
3 T&E into Reg. Guide 10.8 as a licensing module, and this is  
4 the agenda item which is on for tomorrow morning. There is a  
5 large effort to revise Reg. Guide 10.8 and add licensing  
6 modules to it, and this guidance on T&E will be added as one  
7 of the modules.

8           10 CFR 35.19 requires that the NRC staff seek the  
9 guidance and advice of the ACMUI when we do grant exemptions  
10 to the T&E criteria. So instead of trying to do this on a  
11 case-by-case basis for some of the issues that we'll be  
12 discussing today, we wanted to bring it to you in a much more  
13 generic manner, so that we can finalize our guidance.

14           There is really two areas of discussion today,  
15 and the first one was also summarized in the briefing book  
16 material that we had for T&E, and it's about duration  
17 requirements, the presence or lack of them in certain sections  
18 of Part 35, and also our proposed minimum number of hours of  
19 training and experience for certain categories of use in  
20 Subpart J. And obviously, we'll start with the duration  
21 requirement discussion.

22           As you can see by the chart, there are several  
23 sections in Subpart J that do not have any duration  
24 requirement associated with them. For those of you that  
25 aren't real familiar with the sections, 35.930 addresses

1 radiopharmaceutical therapy, 932 addresses hyperthyroidism,  
2 934 is thyroid CA, 941 is the use of the strontium 90 eye  
3 applicator, and 950 is sealed sources for diagnosis.

4           In the six-month category is 35.910, uptake  
5 dilution excretion, item (c), and 920, imaging and  
6 localization, item (c). But as you notice by the asterisk,  
7 and many of you know, there is an incorrect reference to a  
8 six-month duration requirement in item (c) of 910 and 920, in  
9 that currently it states that you could have used as a  
10 training pathway -- completed a six-month training program in  
11 nuclear medicine approved by ACGME or AOA.

12           There is no six-month training program in nuclear  
13 medicine approved by ACGME or AOA. It should read something  
14 to the effect that, "You have completed a residency training  
15 program approved by ACGME or AOA, which has as a component  
16 nuclear medicine, which is of various duration."

17           MEMBER NELP: I'm sorry. I didn't understand  
18 that.

19           MS. SCHLUETER: Currently, the text in 910(c) and  
20 920(c) is incorrect, literally incorrect. It states that an  
21 applicant may be authorized --

22           CHAIRMAN SIEGEL: Go ahead.

23           MS. SCHLUETER: Okay. May be authorized, if they  
24 have completed a six-month training program in nuclear  
25 medicine approved by ACGME or AOA. ACGME and AOA, as you

1 know, approve residency training programs. Some of those  
2 residency training programs have a nuclear medicine component,  
3 but that nuclear medicine component is of a varying duration.  
4 It is not six months. It may be one year, two year, three  
5 year, depending on the specialty board.

6 CHAIRMAN SIEGEL: Yeah. What it really should be  
7 --

8 MEMBER NELP: What it means -- you have to have  
9 six months of nuclear medicine training in a program that has  
10 been approved for nuclear medicine training by the ACGME.

11 MS. SCHLUETER: No. It could be any Board  
12 specialty program which is approved by ACGME or AOA, which has  
13 a nuclear medicine component.

14 MEMBER NELP: Well, I know of only two.

15 CHAIRMAN SIEGEL: Currently, that's correct. The  
16 only two are --

17 MEMBER NELP: There are only two -- The American  
18 Board of the -- or the ACGME-approved programs in radiology  
19 and the ACGME-approved programs in nuclear medicine. There  
20 are only two, and they both have nuclear medicine training  
21 programs, theoretically, of six months in duration in  
22 radiology and two years in nuclear medicine.

23 MS. SCHLUETER: The point is is that as that  
24 paragraph is currently written, it doesn't reflect what ACGME  
25 and AOA do, so it needs to be revised. The only other two

1 sections that do have a duration requirement explicitly stated  
2 in the regulations are 35.940(c), which is brachytherapy, and  
3 35.960(c) for teletherapy.

4           So out of all of those Subpart J sections for  
5 authorized users, there is only two with a duration  
6 requirement explicitly stated in the regulations.

7           Now, the issue of the duration requirement and  
8 concurrent training has never really been much of an issue  
9 with 35.910(c). It has been an issue from time to time with  
10 35.920(c), because that is the section which authorizes the  
11 use of materials for imaging and localization. So while  
12 resolving some of these T&E issues that I mentioned in  
13 developing the policy and guidance directive, and in  
14 consultation with OGC staff, NRC staff recently concluded that  
15 in fact there is no legal requirement for applicants to  
16 demonstrate a duration of at least six months to meet the  
17 requirements in 35.920(b).

18           We have had, though -- having said that, the NRC  
19 has had a policy, a past policy which has been based on  
20 Federal Register notices, statements of consideration,  
21 Part 35, SECY papers to the Commission, and Commission  
22 memoranda back to the staff, staff requirements memorandum,  
23 which does reflect a six-month duration requirement. Let me  
24 explain that a little bit further even.

25           Prior to 1976, the requirements were limited to

1 30 hours. From '76 to June of 1984, the duration requirements  
2 were for the items in 35.920(b), which were previously in Reg.  
3 Guide 10.8, Appendix A, to be completed in three months  
4 duration. From June 1, 1984, forward, or to present we could  
5 say, it has been a six-month training duration requirement.

6           However, all of the duration requirements and  
7 guidance on T&E for authorized use for imaging and  
8 localization has been in guidance documents. Those guidance  
9 documents were superseded by the 1987 revision to the rule.  
10 The revision to the rule, the rule as it states today, and its  
11 corresponding statements of consideration, do not discuss, nor  
12 explicitly state, or include, a reference to a six-month  
13 training duration requirement. Interesting?

14           MEMBER NELP: What does the rule state?

15           MS. SCHLUETER: The rule identifies a required  
16 number of hours for three categories of training and  
17 experience, and that's what we work with.

18           Now, in order to clarify this even further, this  
19 discussion with OGC and NRC and this determination that, in  
20 fact, there was no legal requirement has been recent, as  
21 recent as the last four weeks.

22           MEMBER NELP: I know that. I can refer to that.  
23 But could you give us the hours so we're all on the same --

24           MS. SCHLUETER: Sure.

25           MEMBER NELP: -- so we're on the same page here.

1 MS. SCHLUETER: I don't think I put it in here  
2 anywhere. In 93.920(b)(1), you have 200 hours of classroom  
3 training, which is very specific with respect to radiation  
4 biology, radiation safety, and so forth.

5 In 35.920(b)(2), you have a required 500 hours of  
6 supervised work experience, which is your hands-on laboratory  
7 experience. And in 35.920(b)(3), you have 500 hours of  
8 supervised clinical experience -- the actual patient  
9 evaluation, administration of the dosage to the patient,  
10 interpretation of results, and so forth. So one training  
11 element, two experience elements, 200, 500, 500, for a total  
12 of 1,200.

13 MR. CAMPER: And the important point here, too,  
14 is if ones goes through those parts you'll find that the  
15 connecting language is "and," which then, of course,  
16 translates into 1,200 hours.

17 MEMBER SWANSON: Which is, in effect, six months.

18 MR. CAMPER: Which is, in effect, six months.  
19 But as Janet will go through here in a moment, we have been on  
20 record as saying this training can be obtained concurrently.  
21 Well, "concurrently" means different things to different  
22 people. So what we're trying to do today is to -- is to  
23 clarify what we mean by "concurrent training."

24 MEMBER NELP: "Concurrently" means at the same  
25 time, I believe.

1 MR. CAMPER: Well, it does. We'll go through  
2 that.

3 But the point is is that Janet is setting up the  
4 background for you to understand that there is no requirement  
5 that it be six months. There has been some operative  
6 understanding that it's six months.

7 MS. SCHLUETER: That's right.

8 Now, all of this discussion of duration leads in  
9 to Part 2 of the discussion. And before I talk more about the  
10 chart and the table which is in your book, which I'll have up  
11 on the screen in just a few moments, I need to explain a  
12 little bit about the basis for our table and the assumptions  
13 that we used to get there.

14 First of all, no consideration was given to  
15 revising the Board certification pathway, or looking at the  
16 duration of these Board certifications, or what have you.  
17 This is all focused on the "or" category of training, the "or"  
18 pathway.

19 There was no allowable reduction in the required  
20 number of hours of classroom training. We consider the 200  
21 hours to be the right amount. There was no effort to look at  
22 that for possible area of reduction because it simply does not  
23 overlap with the required experience elements. It stands on  
24 its own -- the 200 classroom hours.

25 We did not consider Subpart J sections that

1 required only classroom, because in 35.950 that's the only  
2 kind of requirements you have. Or, classroom and either  
3 supervised work or supervised clinical experience, because if  
4 you only had training elements and experience elements, those  
5 are two very unique types of training and experience. They do  
6 not overlap. There are inherent differences in the training  
7 and experience.

8           What we did look at were those Subpart J sections  
9 that required all three training elements, and what I mean by  
10 that is classroom, plus supervised work, plus supervised  
11 clinical experience. That only leaves three sections that  
12 were eligible for some sort of consideration for exemptions.  
13 And since they did contain supervised work and supervised  
14 clinical, we considered them to be eligible for an exemption,  
15 and in theory they allow for concurrent training.

16           And as Larry mentioned earlier, the idea is  
17 concurrent training to what degree? That will be the question  
18 we'll try to answer.

19           As a result of all of the bases and assumptions  
20 that I mentioned previously, granting exemptions to the  
21 following sections was not considered. The first five listed  
22 there -- 920, 930, 32, 34, 41 -- all only require classroom  
23 training plus clinical training. The bottom one actually  
24 requires classroom training, and it goes on to further state  
25 "to include training on the use of the device." So it is, in

1 fact, classroom and/or clinical training. Those were not  
2 considered for exemption.

3           As I mentioned, the eligible sections turned out  
4 to be three of them, and I think it may work best to discuss  
5 940 and 960 first. You'll notice that this table is different  
6 than the table that you have in your book, and that's because  
7 a little further thought, shall we say, went into the numbers  
8 on the table for 940 and 960, and we realized that perhaps our  
9 logic wasn't carried through all the way.

10           Because if you look at 35.920, we have the total  
11 number of required number of hours as 1,200, which is item  
12 (b)(1), (2), and (3). If we had done the same thing for 940  
13 and 960, we would have 6,940 there instead of the 700 that you  
14 see in your table. Make sense? Everybody is nodding yes.

15           CHAIRMAN SIEGEL: Oh, sure.

16           MS. SCHLUETER: Okay. So, in 940 and 960(b), the  
17 third element of the (1), (2), and (3), 200, 500, item (3) is  
18 a three-year supervised experience. That includes one year in  
19 a formal residency training program and two years under the  
20 supervision of an authorized user.

21           So the total hours for 35.940 and 960 are based  
22 on item (b)(1), which is 200 hours classroom; item (b)(2),  
23 which is 500 hours of supervised work experience; and item  
24 (b)(3), which is the three-year residency training program.  
25 Each section has those three identical elements, for a total

1 of 6,940.

2           If we assume that the 500 hours of supervised  
3 work experience that is required by item (b)(2) of each of  
4 those sections is subsumed in its entirety during the three-  
5 year supervised work experience -- excuse me, supervised  
6 clinical experience -- then you can reduce the total number of  
7 required hours for categories 35.940 and 960 by 500 hours,  
8 because during that three years of training it is assumed that  
9 they will -- that the applicant, the authorized user, will  
10 have successfully completed 500 hours of supervised work  
11 experience.

12           Five hundred hours in a three-year residency only  
13 equates to about seven percent of the time, a very small  
14 fraction.

15           MEMBER NELP: I think you're mixing apples and  
16 oranges. The heart of the training experience that you're  
17 referring to occurs only over a two-year period. And it  
18 exclusively excludes the first year. It has nothing to do  
19 with radiation or nuclear medicine.

20           CHAIRMAN SIEGEL: Well, this is radiation  
21 oncology we're talking about right now.

22           MEMBER NELP: Oh, I'm sorry.

23           MS. SCHLUETER: Yeah, 940 is brachytherapy and  
24 960 is teletherapy.

25           MEMBER NELP: I'm sorry. Excuse me.

1 MS. SCHLUETER: So you have someone -- in other  
2 words, for item (b), this is a physician who is not Board  
3 certified. He is going through some other formal training  
4 program, and for item (b) it requires that that physician have  
5 200 hours classroom, 500 hours supervised work, and three  
6 years in a formal training program -- three years training,  
7 one year in a formal residency training program and two years  
8 under the supervision of an authorized user.

9 MR. CAMPER: Let me help to clarify that. In the  
10 500 hours that Janet is referring to, you have things such as  
11 ordering, receiving, and unpacking radioactive materials  
12 safely; checking survey meters for proper operation;  
13 repairing, implanting, and removing sealed sources;  
14 maintaining and running inventories on material on hand; using  
15 administrative controls to prevent the misadministration of  
16 by-product material; using emergency procedures to control by-  
17 product material. That's what the 500 hours consists of.

18 MS. SCHLUETER: So we're saying if we were going  
19 to look at an applicant coming in, wanting to grant an  
20 exemption to 940 or 960, we can -- we are assuming that the  
21 required 500 hours of work experience has been subsumed in the  
22 formal training, through the residency training program and  
23 under the supervision of an authorized user for that two years  
24 as required.

25 So you only get down to a reduction of 500 hours,

1 a seven percent reduction from what's on the books today.

2 Here's where it gets interesting. For 35.920(b)  
3 -- Barry is already shaking his head. So now we move up to  
4 the top line item. Okay. So for 920(b), once again, (b)(1),  
5 (2), and (3) require 200 hours classroom, 500 hours supervised  
6 work experience, and 500 hours supervised clinical experience.  
7

8 If we apply that same logic that we used in 940  
9 and 960 to 920, and say that the 500 hours of supervised work  
10 experience is subsumed in its entirety, one for one, in the  
11 500 hours supervised clinical experience required by 920(b),  
12 930, then you have a total required number of hours of  
13 experience and training of 700, for a difference of 500 or 42  
14 percent.

15 And remember, there are for physicians coming in,  
16 training pathway D, non-Board certified, that are looking for  
17 authorization for imaging and localization.

18 MEMBER NELP: You've lost me completely -- the  
19 transition. You switched now back to imaging?

20 CHAIRMAN SIEGEL: Yeah. Now we're talking about  
21 imaging.

22 MEMBER NELP: But you've used this as your  
23 example for the logic?

24 MS. SCHLUETER: Do you mean 940 and 960 as our  
25 example for the logic to be applied to 920?

1 MEMBER NELP: Yes.

2 MS. SCHLUETER: Yes.

3 MEMBER NELP: Why did you do that?

4 MS. SCHLUETER: It was a starting point for  
5 discussion.

6 MEMBER NELP: Okay.

7 MR. CAMPER: Now, the problem here is -- what  
8 we're trying to get to -- is one looks at the 500 hours of  
9 supervised work experience, you've got such things as  
10 ordering, receiving, unpacking, calibrating dose calibrators,  
11 calculating safety, preparing patient dosages, using  
12 administrative controls, and so forth.

13 Then, you go to the 500 hours of --

14 MEMBER NELP: Now, that's specifically under  
15 35.920?

16 MR. CAMPER: That's correct. And then you also  
17 have a 500-hour of so-called clinical experience, and that  
18 first category is what we call types and quantities  
19 experience. Then, you have your 500 hours of clinical  
20 experience, and there you have such things as examining  
21 patients and reviewing their case histories, selecting the  
22 suitable radiopharmaceuticals, administering doses,  
23 collaborating with the authorized user in the interpretation  
24 of results, patient followup. Okay?

25 And as Janet said, what we -- the logic that we

1 thought is a starting point in the discussion is is that,  
2 look, these things are occurring along a continuum. If one  
3 did the things that you have to do under the first category,  
4 types and quantities experience, 500 hours, certainly you're  
5 going to be doing those as part of the process of achieving  
6 many of the things described in the clinical phase.

7           So then what you're stuck with is, well, how do  
8 you properly weight those along the line? Because, in fact,  
9 if you stop and think about it, if you do 500 hours of  
10 clinical experience, and you really turn around and do 500  
11 hours of experience with types and quantities, you're going to  
12 be doing experience with types and quantities in the absence  
13 of clinical involvement, because 500 hours of pure experience  
14 -- opening packages, calibrating dose calibrators, and so  
15 forth -- is a lot of hours.

16           So the thing we had to wrestle with is, okay, if  
17 we can't come out and weight this continuum, but we understand  
18 that 500 hours of clinical experience must occur, is the  
19 relationship between those 500 hours of clinical experience,  
20 is it similar, does it parallel the duration of three years?  
21 Although the timeframes are different, of course. But are we  
22 subsuming those 500 hours of types and quantities within the  
23 500 hours of clinical experience? And it's a discussion  
24 starter.

25           CHAIRMAN SIEGEL: Let me open the discussion.

1 Why are we doing this now, ahead of the major discussion of  
2 training and experience?

3 MR. CAMPER: It's very simple.

4 MS. SCHLUETER: Yeah. It --

5 CHAIRMAN SIEGEL: It strikes me as a back-door  
6 approach to lower the training and experience requirements for  
7 imaging to four months when, in fact, six months isn't the  
8 right answer, four months isn't the right answer. Almost  
9 nobody really has 200 hours of classroom experience because  
10 it's virtually impossible to design 200 hours of meaningful  
11 classroom training.

12 Nobody in the world has ever spent 500 hours  
13 doing the work experience, not a physician alive has ever done  
14 it, and we have told you repetitively, politely, that you need  
15 to redo the whole approach to training and experience. And  
16 this patchwork fix is not a good idea, and I tell you, I  
17 really would be -- I think it's unconscionable for the NRC --  
18 for the ACMUI to sign off on this in a short discussion when  
19 this is a major, fundamental issue. So the -- I've said what  
20 I feel.

21 MR. CAMPER: I mean, whether you choose to sign  
22 off on it or not, of course, is --

23 CHAIRMAN SIEGEL: It's irrelevant.

24 MR. CAMPER: -- is your opinion. But here is why  
25 we're doing this. Yes, you are correct that we -- that the

1 training and experience criteria is problematic. We've  
2 discussed this at great length, and we recognize that when  
3 Part 35 undergoes a major revision there's a high probability  
4 that the training and experience criteria will undergo change  
5 as well, and there's a lengthy process that we'll go through  
6 as we do that.

7           But there is an immediate problem that faces us  
8 today, and the truth of the matter is is that whatever  
9 training and experience criteria we end up with in a revised  
10 Part 35 is three, four, five years away. It will take that  
11 long to have the major revision occur. But we get, right now,  
12 probably on the order of 20 to 25 physicians a year who are  
13 going the "or" pathway, who are seeking approval as an  
14 authorized user, and they're coming in and saying, "I have  
15 obtained my training concurrently."

16           There are organizations that are on record that  
17 are saying that -- that have quoted my predecessor as saying  
18 concurrent translates into 700 hours, and there is confusion.  
19 We have regions who come to us -- and technical assistance  
20 requests, and say, "Okay. How many hours are enough? What  
21 does 'concurrent' mean?"

22           Now, we have one of two choices. We can bring  
23 these cases to the ACMUI one by one, or we can develop some  
24 working criteria that with -- we're still going to go through  
25 a case-by-case review of each applicant, because we had to do

1 that. But we can have some guidance that the regions can use  
2 that has been scrutinized and hopefully ultimately approved by  
3 this Committee, or we can bring 20 of these things a year to  
4 the -- or whatever number is in question, to the Committee one  
5 by one.

6 But we can't -- we cannot not react to the  
7 applicants at this point in time, because there is going to be  
8 some change in our training and experience criteria.

9 CHAIRMAN SIEGEL: All right. But then let me ask  
10 you the following question. Let's assume that you agree that  
11 that's the way you've got to do it, and that it really is 700  
12 hours and you're stuck because of the language in the  
13 regulations. When the American Board of Radiology comes to  
14 you and says, "Well, gee, we've had a misunderstanding all  
15 along, and as of tomorrow we're going to notify our training  
16 program directors that they're really only required to provide  
17 four months of nuclear medicine training, to include the  
18 elements specified." How are you going to handle that?

19 Because, I mean, if -- why would radiology  
20 program directors commit to six months of training if the  
21 alternative pathway can be accomplished in 700 hours? And is  
22 that really what you want to be doing?

23 MR. CAMPER: Well, first of all, the 700 hours,  
24 again, is -- this is what -- we want find out what the  
25 perception is from this Committee. The logic has been

1 explained. There may be better ways to go, but we're trying  
2 to work through that.

3           But with regards to these organizations that you  
4 cited, I mean, the Board certification pathway, Boards have  
5 come to us previously and have said, "We are going to provide  
6 X amount of training. It entails the following." And along  
7 the course of time, we then -- we've done a staff review, and  
8 we've taken those submitted credentials and activities to this  
9 Committee, and they've said, "Yes. This Board certification  
10 passes muster and add it to your regulations."

11           If the Boards wants to change their process, they  
12 would still have to come in and go through the very same  
13 process once again, because currently their recognition in our  
14 regulations is based upon what they have previously told us.  
15 If they want to change their programs, and change what  
16 criteria a physician has to meet to be able to set the Board  
17 certifications, then they'll have to come in and tell us what  
18 they want to do differently and we will review each one of  
19 them case by case, just as we've done previously.

20           MEMBER NELP: When you wrote these regulations,  
21 it was your intention, and it was the intention of your  
22 advisors, that the language you put in there was equivalent to  
23 six months of training. There is no question about that.

24           MR. CAMPER: Well --

25           MEMBER NELP: That's the reason that the American

1 Board of Radiology then went to six months of training,  
2 because they didn't want to be undone by the cardiologists who  
3 are trying to get in the door of imaging. That's the  
4 political background. It's very straightforward.

5           A cardiologist -- I would imagine, of those 25  
6 people a year, they want to do nuclear cardiology. Is that  
7 correct?

8           MR. CAMPER: Many of the applicants want to do  
9 nuclear cardiology, yes.

10           MEMBER NELP: Ninety-nine percent of them. And  
11 they want to do it in four months because they don't want to  
12 do it in six months. So it's a political football, and I  
13 think we ought to put the issues directly on the table. It's  
14 clear that the implication from groups that you met with  
15 before was six months of training seems to be a minimum  
16 amount, in an environment of training that's equivalent to an  
17 ACGME-approved program.

18           It makes a person be capable of doing what he  
19 wants to do and doing it safely -- for himself, for the  
20 public, and for his patients. And why don't we put that on  
21 the table and say it like it is?

22           MEMBER BERMAN: But I think, then, at the same  
23 time you have to put on the table the total lack of reality  
24 between the kind of hours that are being required here for  
25 something that is of minimal hazard, compared to the hours

1 that are required to avoid the catastrophes that we were  
2 hearing earlier dealing with radiation therapy  
3 misadministrations. We're dealing with diagnostic use of  
4 radiopharmaceuticals.

5 I agree with Barry. There is no way that you can  
6 get 200 hours of classroom time devoted to the physics  
7 necessary for handling these diagnostic applications of  
8 radiopharmaceuticals. Yet, that's not even being code tested  
9 here.

10 I'm head of a nuclear medicine residency program.  
11 I had to structure the 200-hour course, and it's -- for the  
12 nuclear medicine residents, who are dealing with the entire  
13 body, not just with one organ, and not just with a limited  
14 number of radiopharmaceuticals, but everything, and it's hard  
15 to come up with the 200 hours.

16 But let's put that one aside and say we've got  
17 the 200. Now, opening up packages and doing all of this kind  
18 of calibration is another 500. We've already heard that there  
19 probably isn't a physician -- a nuclear medicine physician or  
20 a radiologist, or any of the others, who are doing those 500  
21 hours of that particular type of work.

22 I think we're dealing with something that is --  
23 that is -- on the face of it is just excessive. And what has  
24 come out here is a position saying that if you put together  
25 the hours that you need to have in order to handle the stuff

1 appropriately, and the hours of clinical experience, and allow  
2 those to be done at the same time, you end up with something  
3 that is kind of a reasonable compromise.

4 It has to be -- at least it --

5 MEMBER NELP: But it's all coming through the  
6 back door.

7 MR. CAMPER: Well, no, wait. Let me clarify  
8 something. Let's get ourselves focused.

9 I recognize, we recognize, that there are clearly  
10 differences of opinion, as Dr. Berman is pointing out, about  
11 what is the appropriate number of hours? Previously, there  
12 have been expressions by this Committee that, look, it's not  
13 about hours at all. It's about testing and demonstrating some  
14 level of competency. But I submit to you that's not the  
15 question before you.

16 The question before you is -- in 35.19 says the  
17 following, "Specific Exemptions. The Commission may, upon  
18 application of any interested person, or upon its own  
19 initiative, grant such exemptions from the regulations in this  
20 part as it determines are authorized by law and will not  
21 endanger life or property or the common defense and security,  
22 and are otherwise in the public interest.

23 The Commission will review requests for  
24 exemptions from training and experience requirements with the  
25 assistance of the Advisory Committee of the Medical Uses of

1 Isotopes." What we're focusing upon today is the granting of  
2 an exemption to our regulations, and in so doing what is the  
3 appropriate criteria, minimally, that we should accept in  
4 granting of an exemption?

5 It's not about whether the criteria is properly  
6 focused, whether testing is the way to go, whether who wants  
7 to do it, it's not about turfdom. It's about our granting an  
8 exemption.

9 MEMBER NELP: But, again, it's purely a political  
10 issue.

11 MR. CAMPER: Well, it might be. It may well be.

12 MEMBER NELP: And it has to deal with granting  
13 exemptions to cardiologists to retranslate the language, and  
14 you're trying to do it by retranslating the language you put  
15 into the reg. Now, if you want to grant them an exemption,  
16 grant them an exemption.

17 MR. CAMPER: We're not translating any regulatory  
18 language here. We are --

19 MEMBER NELP: Well, you just did. You --

20 MR. CAMPER: No, no. No, we're pursuing your  
21 advice on the granting of an exemption to existing regulatory  
22 language. We are not proposing any change to regulatory  
23 language. This is clearly about granting of an exemption.

24 MEMBER NELP: To whom?

25 MR. CAMPER: To --

1 MS. SCHLUETER: When a physician applicant comes  
2 to the NRC --

3 MEMBER NELP: No. When a nuclear cardiologist,  
4 or when a cardiologist wants to get imaging qualifications in  
5 a four-month period of time, when the intent -- when you  
6 originally intended it to be a six-month period of time,  
7 that's exactly what you're saying.

8 MR. CAMPER: Well, I wouldn't draw that --  
9 well --

10 MEMBER NELP: That's exactly what you're saying.

11 MR. CAMPER: No. What I'm saying -- I'm not  
12 drawing a distinction to cardiologists. We are saying that  
13 there are physician applicants --

14 MEMBER NELP: This would not exist if it weren't  
15 for that issue.

16 MR. CAMPER: Well, the point is the issue does  
17 exist. We do get applications, and we are discussing what  
18 criteria under which you think is advisable to grant  
19 exemptions. They do exist. They do come in. We don't create  
20 that. They come to us.

21 MEMBER NELP: I realize that.

22 MR. CAMPER: Now, the question is, what is the  
23 appropriate criteria, in the opinion of the Committee, that we  
24 should use as a minimum number of hours in granting an  
25 exemption? That's the question.

1 CHAIRMAN SIEGEL: No exemptions.

2 MEMBER NELP: See, the idea is if you take a  
3 professional like Barry Siegel, and myself, who spent  
4 cumulative over 50 years doing medical imaging --

5 CHAIRMAN SIEGEL: So 40 for you and 10 for me?

6 (Laughter.)

7 MEMBER NELP: -- doing medical imaging, it's very  
8 difficult for us to conceive that you could have a level of  
9 confidence which would do things properly, taking all of the  
10 things into consideration there, with less than six months of  
11 training. And that's why they built the hours up to equal six  
12 months. Unfortunately, we categorized them in a very awkward  
13 set of terminology.

14 CHAIRMAN SIEGEL: There was a time when the  
15 language was going to be 1,000 hours of combined clinical  
16 training and supervised work experience, without breaking it  
17 down into pieces, and that was going to make more sense  
18 because that was going to be the continuum.

19 MEMBER NELP: You're trying to undo what was  
20 improperly or awkwardly done by saying you want to grant  
21 exemptions. And you're going through a course in logic, which  
22 to me is not highly -- directly logical to the issue. And,  
23 you know, you've got a whole population of radiologists,  
24 because of your language and because of your change -- change  
25 the training programs for thousands of individuals in this

1 country, based on their interpretation and your interpretation  
2 of that language at the time the regulations were put in  
3 force. Now you want to change that.

4 I imagine if you consulted with them that you  
5 would not get the -- you would probably get a response and  
6 would have to more thoughtfully consider this whole issue.

7 MR. CAMPER: Please understand --

8 MEMBER NHELP: There are thousands and thousands  
9 -- hundreds of thousands of dollars, the way they plan their  
10 programs, around this one regulation.

11 MR. CAMPER: Well, please understand, we don't  
12 want to change these hours. That's not the thrust today.  
13 That's not the reason for raising this with you. As I said,  
14 ultimately, I suspect that our training and experience  
15 criteria will undergo change with the revisions to Part 35.

16 Our sole purpose is this question of what -- the  
17 granting of exemptions to existing regulations. It's not that  
18 we want to change the regulations, although I think we would  
19 agree with you that the current --

20 MEMBER NHELP: It seems to me you want to grant  
21 exemptions.

22 MR. CAMPER: Our regulations allow the capacity  
23 for granting exemptions under certain criteria.

24 MEMBER NHELP: So if you have this conversation  
25 with every director of a radiology training program in the

1 United States of America, you want him to come to you a priori  
2 and say, "Now, look, I'm planning this guy's career, and when  
3 I'm finished I want the exemption to apply to him. And I  
4 don't want to take any heat if you won't approve him for a  
5 clinical use of medical imaging after he does this."

6 And that's the problem that you have. You have  
7 these guys that are -- they're going to hear about this  
8 immediately, I'm sure.

9 MR. CAMPER: Well, I --

10 MEMBER NELP: Then you're going to have a hell of  
11 a lot of people knocking on your door, a lot more than you  
12 have now.

13 MR. CAMPER: Well, it certainly -- amongst the  
14 possible advice that you could give to us -- I mean, if one  
15 looks at 35.19 -- and I think I have someone here from -- no,  
16 I guess I don't.

17 MS. SCHLUETER: Marjorie is here.

18 MR. CAMPER: Oh, Marjorie is here? Oh, good.  
19 Marjorie? If someone -- if we look at 35.19, and I'll defer  
20 to counsel, but just not being a lawyer, if I look at 35.19,  
21 and we review requests for exemptions for training and  
22 experience requirements with this Committee -- and that's what  
23 we're doing here -- and the Committee advises that, "We don't  
24 think you should grant exemptions of this nature. We don't  
25 think you should grant them for reasons A, B, and C," then we

1 will take that advice under counsel.

2 CHAIRMAN SIEGEL: The Chair would entertain such  
3 a motion.

4 MEMBER WOODBURY: So moved.

5 MS. SCHLUETER: To this section?

6 CHAIRMAN SIEGEL: To what Larry just said.

7 MS. SCHLUETER: You need to be specific on what  
8 you would not grant an exemption to, 35.920(b) or T&E  
9 requirements in Subpart J in general? Because we have, on a  
10 case-by-case basis with this Committee, reviewed exemptions to  
11 other sections. Teletherapy comes to mind.

12 MEMBER FLYNN: And as a matter of fact, I was  
13 going to bring that up. In teletherapy, we had two  
14 applications that we looked at, and one was clearly  
15 acceptable, and was clearly not acceptable. It's too bad that  
16 we don't have at least 25 or 30 applicants to look at, because  
17 there is probably some variation as to how the --

18 MS. SCHLUETER: Now, that --

19 MEMBER FLYNN: -- how the work experience is  
20 being interpreted. Is that right? Well, there may be some  
21 variations as to what -- what constitutes supervised (quote)  
22 "work experience" and what -- there may be people who are  
23 really trying to stretch the definition here.

24 CHAIRMAN SIEGEL: Let me backtrack. I mean, let  
25 me back up a little bit to your question, Janet, and that is

1 that I am more comfortable for the moment recommending that  
2 you continue to come to the ACMUI to deal with individual  
3 cases for very specific situations. And I certainly am having  
4 the ACMUI recommend that you can do an across-the-board drop  
5 in the number of hours for granting exemptions, and then just  
6 let the staff go ahead and grant those exemptions.

7 I think there are strong principles that have  
8 been discussed for five years running and for 10 years before  
9 that that have to be dealt with in a very open, deliberative  
10 fashion before we just would come down and make this  
11 recommendation.

12 So the motion -- let's see how we can -- how we  
13 had that motion worded. David, you made it. Do you want to  
14 restate it? Let me state it for you, and then you can --

15 (Laughter.)

16 The Chair would entertain the following motion.  
17 That the ACMUI not recommend a reduction or -- or not  
18 recommend a minimum number of hours that be used for purposes  
19 of granting exemptions to the training and experience  
20 requirements in Subpart J. Period.

21 MEMBER WOODBURY: So moved.

22 CHAIRMAN SIEGEL: Is there a second? Is there a  
23 second?

24 MEMBER NELP: Second.

25 MR. CAMPER: That would apply to your --

1 MS. SCHLUETER: All of them.

2 MR. CAMPER: -- your 940 and 960 categories as  
3 well.

4 CHAIRMAN SIEGEL: And the reason I made or  
5 entertained the motion the way I did is I just think this is  
6 too important a topic to do in little bits and pieces, even  
7 though there might be some perfectly legitimate radiation  
8 oncology arguments to cut out seven percent, the seven percent  
9 and 42 percent on the table at once is just too much. And I'd  
10 rather just leave the language of the rule exactly where it is  
11 and not say that the ACMUI thinks you should mess with it  
12 right now. I think it's important that you go on and do the  
13 big discussion and not --

14 MS. SCHLUETER: That's true. But in the interim,  
15 we will have exemption requests coming to us.

16 CHAIRMAN SIEGEL: And we --

17 MS. SCHLUETER: And we'll have to bring those to  
18 you.

19 CHAIRMAN SIEGEL: That's fine.

20 MS. SCHLUETER: And at that time we'll have to  
21 identify the minimum number or the criteria that we would use  
22 to grant an exemption, if that applicant appeared to be  
23 qualified.

24 CHAIRMAN SIEGEL: Well, I think that the ACMUI  
25 policy in that case would be relatively straightforward.

1 We've been more often asked to identify whether the training  
2 that met the numbers was training of sufficient quality,  
3 rather than whether the hours were met. And I think our  
4 answer is simple if you bring those cases to us.

5           If they come in and say, "We have 300 hours of  
6 training, and we want to do what normally takes 1,200," we'll  
7 say no. On the other hand, if they say, "We've had 1,200  
8 hours of training, but the training has been -- 20 percent of  
9 it has been in a practice environment," rather than within the  
10 setting of an institution that has many approved training  
11 programs, and we can get a sense of the quality, then we might  
12 recommend that you approve that individual.

13           I think that's got to be, for us, a relatively  
14 clear policy until the big issue is faced. And I'll go down  
15 with the ship on that one, I'm telling you.

16           MS. SCHLUETER: So no recognition of concurrent  
17 training, concurrent experience?

18           CHAIRMAN SIEGEL: Except as it is listed in the  
19 incorrect version, Option C.

20           MEMBER NHELP: I think exemptions are like what  
21 was discussed just a minute ago. If someone has an unusually  
22 good background, and an unusually good training opportunity  
23 and experience that combines elements which you recognize of  
24 high quality, then you can grant an exemption. That's what  
25 the -- my understanding of what an exemption should be for,

1 but not based on simply hours or something like that.

2 MEMBER WOODBURY: If you follow that course,  
3 Larry, you know, you're going to have a flood of applications  
4 because why would anyone opt for six months if you can do it  
5 in four?

6 MR. CAMPER: Well, let me make a -- something to  
7 help clarify this, and then I think Marjorie would like to say  
8 something.

9 Rather than viewing what we're bringing what  
10 we're bringing to you as a relaxation or an attempt to relax  
11 regulations, I would suggest to you that it's an attempt to  
12 formalize the review process with this Committee's input. Let  
13 me explain what I mean.

14 We are on record as saying that this training may  
15 be obtained concurrently. Now, that's an interesting term if  
16 you stop and think about it. I bet you we get a lot of  
17 different opinions around the table as to what that might  
18 mean. And the reason that we're on the record as having said  
19 that is because from a practical standpoint, if one looks at  
20 the two categories of 500 hours, one quickly recognizes that  
21 you can do all of these things in a continuum along the way.

22 I mean, I can get the package to the front door.  
23 I can assay it. I can stick it in a dose calibrator. I can  
24 wipe the package. I can go give it to the patient, you know,  
25 and so forth and so on, from soup to nuts.

1           Now, arguably, that I'm doing that concurrently.

2 Well, then, what does that translate into? Because when  
3 someone has to say, "Okay. You've obtained your training  
4 concurrently," our reviewers look at this and say, "Well,  
5 gosh, you know, concurrently is subjective. What does that  
6 translate into in terms of number of hours?" And there comes  
7 the rub.

8           Now, historically, we have used this term  
9 "concurrent." The problem is when one explores this, and one  
10 talks with my colleagues in OGC, this idea this is obtained  
11 concurrently doesn't necessarily work real well because, in  
12 fact, what you're doing is seeking a granting of an exemption  
13 to the regulation. So what we're doing is we're trying to  
14 formalize that process with you, not circumvent it.

15           CHAIRMAN SIEGEL: Right. But --

16           MEMBER NELP: This is an example of concurrently.  
17 I go to medical school and I go to law school, and at the same  
18 time I graduate on the same day, and I get my law degree here  
19 and I get my medical degree here, and I did it concurrently  
20 because I spent extra time and extra effort which condensed  
21 into five years instead of seven or eight years. That's what  
22 concurrent means.

23           CHAIRMAN SIEGEL: Right.

24           MEMBER NELP: And it doesn't mean you say, "Well,  
25 we'll count this two for one."

1                   CHAIRMAN SIEGEL: The problem with the  
2 "concurrently" language is the fact that this thing got messed  
3 up in the way it got translated into Part 35 from the way it  
4 was discussed ad nauseam with the ACMUI at the time it was  
5 discussed 10 years ago. And that is that it was supposed to  
6 say a thousand hours of supervised clinical and work  
7 experience, and the assumption was is that the 200 hours of  
8 classroom training could go on at the same time that you were  
9 in this six-month thousand-hour clinical rotation and that was  
10 the concurrent.

11                   It was splitting the 500 and 500, which first of  
12 all is silly for the reasons we've already pointed out, but  
13 splitting those two has created a problem. That's the  
14 fundamental problem. The ACMUI, in the past, and at least I  
15 think most of the ACMUI for the past four years, has not  
16 wanted to back off from the thousand hours of training. And  
17 that's six months.

18                   MS. ROTHSCHILD: Marjorie Rothschild from the  
19 Office of General Counsel.

20                   I have a general comment, but now that Barry has  
21 mentioned this 1,000 hours I have a question for Barry. Maybe  
22 you could answer.

23                   You said when it was supposed to say a thousand  
24 hours. In what form? I mean, I was just looking through the  
25 proposed rule stage. I don't think it said a thousand. So

1 are you saying that was the ACMUI recommendation and it didn't  
2 somehow get into --

3 CHAIRMAN SIEGEL: It is my recollection, without  
4 having any of the records before me, that that was the  
5 recommendation of ACMUI.

6 MS. ROTHSCHILD: Okay.

7 CHAIRMAN SIEGEL: That would take us back roughly  
8 10 or -- at least 12 years, more like 12.

9 MS. ROTHSCHILD: Okay. Because at the proposed  
10 rule, proposed 920(b), I'm not sure if my math is correct but  
11 it doesn't look like it enumerates, you know, or even mentions  
12 a thousand hours. So you're saying maybe it was at even  
13 before the proposed rule?

14 MEMBER NHELP: When was that proposed?

15 MS. SCHLUETER: 1985.

16 MS. ROTHSCHILD: July 26, 1985.

17 MEMBER NHELP: Counselor, may I ask your legal  
18 definition of "concurrent"?

19 MS. ROTHSCHILD: What? I prefer not to get into  
20 --

21 MEMBER NHELP: It's a very serious question.

22 MS. ROTHSCHILD: Well, I think you can just give  
23 it the dictionary definition. But I think what we're --

24 MEMBER NHELP: What would that be?

25 MR. CAMPER: It's a continuum.

1 CHAIRMAN SIEGEL: It means simultaneously.

2 That's what "concurrent" means.

3 MEMBER NELP: That means at the same, right?

4 CHAIRMAN SIEGEL: Right.

5 MEMBER NELP: So it means you do two things, two  
6 different things at the same time.

7 CHAIRMAN SIEGEL: But concurrent isn't in the  
8 rule, anyway.

9 MR. CAMPER: No.

10 CHAIRMAN SIEGEL: Concurrent has been a policy  
11 statement and --

12 MS. SCHLUETER: Well, it hasn't been a policy --  
13 it has been a -- right, not a formal one.

14 CHAIRMAN SIEGEL: Operating --

15 MS. SCHLUETER: Well, it's been in the Federal  
16 Register notice as early as 1982, that the required training  
17 elements could be performed concurrently. So it goes back  
18 quite a ways. And, unfortunately, as I mentioned before,  
19 these are -- these statements are in guidance documents, which  
20 were superseded by the '87 rule.

21 MEMBER BERMAN: Well, no one would disagree about  
22 the concurrently. I mean, we've already pointed out you're  
23 not going to take a block of time and spend it purely on 500  
24 hours of opening up packages and testing radiation safety. So  
25 that concurrent is I think something that was probably

1 understood, even though it wasn't in the rules, was understood  
2 all along.

3           The question is whether or not the total number  
4 of hours of 1,000 has to be there cast in bronze. That's it.  
5 There are no exceptions. Or, since Larry has pointed out, Mr.  
6 Camper has pointed out that, in fact, there have been many  
7 exemptions that have been made, either through the NRC or  
8 because of what the NRC did through the agreement states, many  
9 over the last several years in which the total number of hours  
10 outside of the 200 hours for the course, this total number of  
11 hours has been 500 rather than 1,000.

12           Now, what we would be doing at the time of this  
13 would be going back, I think in a retro -- in kind of a  
14 reactionary fashion, going back to something and saying,  
15 "Well, wait a second. That was a misinterpretation." Now,  
16 you can impose again the 1,000 that hasn't been now imposed  
17 for a few years on a systematic basis.

18           And I think that, to me, that's a clear step back  
19 in -- at a time in which the public health and safety is not  
20 -- is really marginally effective, just to do it for the sake  
21 of politics.

22           MEMBER NELP: What are you going to do, though,  
23 Dan, with all of the program directors of radiology programs?  
24 How are you going to let them know that they've got literally  
25 many dollars and much time spent or committed to structuring

1 programs now that fit the spirit of this regulation at the  
2 time it was written?

3           MEMBER BERMAN: I think that Larry's answer was  
4 the appropriate one for that, which is that that mechanism of  
5 coming through the American Board of -- one of the Boards,  
6 either the American Board of Radiology or the American Board  
7 of Nuclear Medicine, would be the method by which that would  
8 be addressed.

9           So if having heard this, it's -- to me, it's a  
10 different issue, because they're talking about the desire to  
11 -- the reason I think it's a different issue is it's the  
12 desire to do all of nuclear medicine. That's what a  
13 radiologist does after his training, and it would seem to me  
14 that there would probably be a different set of considerations  
15 as to what is a necessary requirement to do all of nuclear  
16 medicine compared to doing it for diagnostic purposes on one  
17 particular organ.

18           However, they could come -- they would probably,  
19 possibly, would come back and say, "Well, now that you've  
20 allowed cardiologists to do it for one organ, we want to do it  
21 for the whole body with four months, perhaps, but to be more"  
22 --

23           MEMBER NELP: But the regulation doesn't say  
24 anything about any organ. It says "medical imaging" and that  
25 can be any organ you want to choose. It turns out that the

1 organ of interest is the heart.

2           MEMBER BERMAN: That's why it turns out that  
3 these applicants, these 20 to 30 per year that are going to  
4 turn into 100 per year at the present rate -- I think it -- 20  
5 to 30 comes to the NRC. In the whole country, there are  
6 hundreds per year coming through this mechanism. And when  
7 they're coming for this variance, they're not doing it for the  
8 whole body. If they were doing it, asking that there be  
9 imaging of the whole body with this much training, they would  
10 probably get turned down.

11           CHAIRMAN SIEGEL: Okay. But we are now raising  
12 again the whole issue of limited licensure.

13           MS. SCHLUETER: Right.

14           CHAIRMAN SIEGEL: And we're getting, once again,  
15 into the discussion of whether what the NRC is licensing has  
16 to do with the clinical competence necessary to study a bunch  
17 of organs versus the clinical competence necessary to study  
18 one organ. And we don't want to do that. We don't want to  
19 have that discussion again in this forum, in this length of  
20 time, without doing what we said we wanted to do now nine  
21 times, and that is discuss a paradigm shift and a whole new  
22 approach to this.

23           Consequently, there's --

24           MEMBER BERMAN: If I could make just one more  
25 comment.

1                   CHAIRMAN SIEGEL:  Sure can.

2                   MEMBER BERMAN:  I believe that to take -- to step  
3 back, and to go back now after having it become widely  
4 disseminated, that the NRC's interpretation that has been that  
5 these -- that the 500 and 500 could be reduced so that the  
6 total could be 700.  Having -- if we take the step back, I'm  
7 just saying that I think what you're doing is inviting, again,  
8 the messy political process --

9                   CHAIRMAN SIEGEL:  I disagree.

10                  MEMBER BERMAN:  -- that will occur with now the  
11 American Society of Nuclear Cardiology and all of the people  
12 who are the advocates of the single organ system going to  
13 their congressman and saying, "We're being blocked out, on the  
14 basis of politics, from doing what we -- what is appropriate  
15 for us to" --

16                  CHAIRMAN SIEGEL:  First of all, that will be  
17 terrific because that will be something that will force us to  
18 discuss this issue properly once and for all.  So  
19 congressional pressure to get us to really do this out in the  
20 open is okay by me.  That's number one.

21                  Number two, I'm not sure I understand what you're  
22 saying.  You're saying that agreement states are currently  
23 only requiring four months of training for licensure?

24                  MEMBER QUILLEN:  Can I comment on that?

25                  CHAIRMAN SIEGEL:  Please, Bob.

1           MEMBER QUILLEN: We did a survey of agreement  
2 states a couple of years ago on how many hours they were  
3 requiring, and I can say from that survey that it was a very  
4 inconsistent number. I mean, there was -- some agreement  
5 states were only requiring 500 hours, and it seems to me there  
6 was at least one that was requiring even less than that. It  
7 was like 200 or 250 hours.

8           CHAIRMAN SIEGEL: Good for them.

9           MEMBER QUILLEN: So there is not a consistency  
10 within agreement states.

11          MR. CAMPER: No, and it's not an item of  
12 compatibility in our regulations for the agreement states.

13          MEMBER NELP: Does the agreement state have --  
14 does the agreement permit them to license with lesser -- with  
15 lesser qualifications than NRC would license directly?

16          MEMBER QUILLEN: It's an issue of compatibility  
17 regulation. There's no compatibility criteria, so it's the  
18 option of the state.

19          MR. CAMPER: The answer to that is yes. The  
20 states have different criteria.

21          MEMBER NELP: I can -- yeah.

22          MR. CAMPER: In some cases, it's less than ours.

23          MEMBER NELP: I can -- in certain areas, I can  
24 impose more stringent regulations but never less regulations.  
25 In the case of an agreement state, they can impose less

1 regulations than the NRC.

2 MR. CAMPER: It depends upon the level of  
3 compatibility assigned to the regulation. Most of Part 35 is  
4 not an item of compatibility. Only when you get into  
5 assignment of compatibility do you get into this question of  
6 whether the state must be verbatim to us, division 1 that's  
7 called.

8 Or they can have -- get into areas where they can  
9 be more restrictive than we are, but not less restrictive, and  
10 you get into division 2 and division 3 when you get into that  
11 realm, or you have no compatibility. And for us, very little  
12 in Part 35 is an item of compatibility.

13 MS. SCHLUETER: Subpart J is not an item of  
14 compatibility, but it is important to note that the conference  
15 of radiation control program directors, which represents  
16 agreement state program managers, also formulates in its SR-6  
17 Committee suggested state regulations. And as recent as  
18 November of '94, they have revised their Subpart J compatible  
19 section of T&E to recognize other training pathways besides  
20 Board certification. And those are a reflection of the NRC's.  
21 They're almost identical.

22 Now, that's a set of suggested state regs. that  
23 each agreement state may or may not use. But they are not  
24 required to use those. Some do.

25 CHAIRMAN SIEGEL: Let me correct something I said

1 earlier. My recollection is slowly coming back here. It may  
2 be that there was nothing in official NRC language that ever  
3 combined the thousand hours. But as I recall, there certainly  
4 were strong recommendations from several professional  
5 societies that the numbers should be lumped into a single  
6 block of time.

7           And actually, the political history of this is  
8 fairly interesting, because if you recall, Dan, the  
9 cardiologists were at the time actually arguing for training  
10 as short as just a couple of months. The ABNM wanted two  
11 years but was willing to go as low as six months to  
12 accommodate the radiologists, who really wanted four months of  
13 training, and the radiology program directors of the United  
14 States swallowed six months quite reluctantly as a way of  
15 working out an apparent compromise that had seemed like the  
16 NRC could live with and the ABNM would sit tight with.

17           I really think that this topic is so important  
18 that for the ACMUI to do anything other than say, "We can't  
19 help you at the moment" would be a terrible mistake for the  
20 ACMUI, and if it forces the issue to bring up the paradigm  
21 shift discussion and get it on the table, all the better.

22           So I'm going to call the question unless anyone  
23 feels like we shouldn't do so.

24           MEMBER NELP: Call the question.

25           CHAIRMAN SIEGEL: Call the question. Fine. All

1 in favor of the motion as made, indicate by saying -- raising  
2 aye? All opposed? Dr. Berman is opposed. All abstaining?  
3 Are you still not official? He's still not a member yet, so  
4 we had -- who abstained?

5 MEMBER QUILLEN: I abstained.

6 CHAIRMAN SIEGEL: Okay. So one didn't vote  
7 because he can't, and one abstained, and the rest were in  
8 favor, save Dr. Berman who was opposed. Let the record so  
9 reflect.

10 Any more questions?

11 MS. SCHLUETER: Not from me.

12 (Laughter.)

13 Not today.

14 MR. CAMPER: I have two.

15 MS. SCHLUETER: Oh, wait a minute. Wait a  
16 minute. Larry, we do have that related topic.

17 MR. CAMPER: That's right. I'm going to bring  
18 that up in a moment.

19 MS. SCHLUETER: You're going to do that? Would  
20 you like my notes?

21 MR. CAMPER: No, go ahead. But just one question  
22 before she brings up the related topic, and that is, do you  
23 have any -- do you care to venture a working perspective on  
24 what "concurrent" might mean to us?

25 MEMBER NELP: I think it would be very, very

1 important to get a written definition of concurrent. I'd  
2 start with Webster. Did you have a --

3 MS. SCHLUETER: Can you grab your mike, please?

4 MEMBER NELP: I said it might be very important  
5 for you to have your definition of concurrent, and you might  
6 start with Webster, in case this discussion surfaces. I'm not  
7 sure that my definition is correct is what I'm saying. I'm  
8 not sure I can give you the correct definition.

9 CHAIRMAN SIEGEL: Where is the concurrent  
10 language? Where does it appear?

11 MR. CAMPER: Well, we have -- the language  
12 appears in communications which have been signed by management  
13 representatives of our organization, my predecessor amongst  
14 them.

15 MS. ROTHSCHILD: Larry, doesn't it -- excuse me.  
16 But --

17 MS. SCHLUETER: Historically or in --

18 CHAIRMAN SIEGEL: I mean, I'd like to see the way  
19 it's used in the sentences that we think are the operating  
20 sentences, to understand exactly what it means.

21 MS. ROTHSCHILD: It was in -- excuse me. I think  
22 it was in this -- there was a 1982 Federal Register notice.  
23 Now, remember, that was before even the proposed rule.  
24 Correct? And wasn't there some part -- now, that notice was  
25 not -- it was not part of a rulemaking, and it wasn't a

1 statement of policy.

2 MS. SCHLUETER: Well, the 1982 was because the  
3 1982 -- December 2, 1982, Federal Register notice was the one  
4 that increased the duration requirement associated with  
5 35.920(b) from three months to six months, effective June 1,  
6 1984.

7 CHAIRMAN SIEGEL: It doesn't sound like to me if  
8 you're increasing it to six months that you could make it  
9 concurrently with these time limits, because these time limits  
10 are designed to be six months.

11 MS. ROTHSCHILD: But that -- now, that pre-dated,  
12 though, this -- I mean, this current version of Part 35.

13 CHAIRMAN SIEGEL: So let me ask you a question.  
14 In the statements of consideration of the 1985 rule --

15 MS. ROTHSCHILD: Right.

16 CHAIRMAN SIEGEL: -- was the "concurrent" used in  
17 the statements of consideration?

18 MS. ROTHSCHILD: No, it wasn't. But it does say,  
19 while we're on that subject, that the criteria identified in  
20 these sections were developed by the staff with the assistance  
21 of the ACMUI over the past several years.

22 CHAIRMAN SIEGEL: No argument that we assisted  
23 you.

24 MS. ROTHSCHILD: Okay.

25 (Laughter.)

1           CHAIRMAN SIEGEL: We didn't always follow our  
2 recommendations, and I would -- as I've said before, there was  
3 -- it was a different breed of ACMUI 10 years ago than the  
4 last four years.

5           MS. ROTHSCHILD: Well, I think from a legal point  
6 of view what we're dealing with is the language of the reg.  
7 says -- it says 500 hours and -- but the staff has a  
8 historical interpretation or policy or position that at least  
9 it could be obtained concurrently. That's -- I think legally  
10 speaking, that's what you're dealing with.

11           CHAIRMAN SIEGEL: And my answer is is I'm sorry  
12 you're dangling, but that provides you with an opportunity  
13 really face this issue head on, as a way of getting out rather  
14 than asking us to recommend that you reduce the minimum number  
15 of hours, that we say that there should be a reduced number of  
16 minimum hours.

17           MS. ROTHSCHILD: But from a legal point of view,  
18 I'm wondering if we're mixing apples and oranges. The issue  
19 is not should this part, you know, provision of Part 35 now be  
20 amended to reduce the number of hours. The issue is there's a  
21 provision in the regulations for granting exemptions.

22           MEMBER NELP: And we advise that you do not do  
23 it.

24           CHAIRMAN SIEGEL: We advise that you keep coming  
25 to us.

1 MS. ROTHSCHILD: Okay. Well, I don't think  
2 there's any difference of opinion on that, and I don't think  
3 anybody proposed, did they, Larry, that necessarily that even  
4 there were agreement on some generalized criteria, was there  
5 -- would that necessarily --

6 MR. CAMPER: It would -- yes, it would. It would  
7 mean that you wouldn't necessarily have to bring every case to  
8 the ACMUI if the ACMUI has, in fact, endorsed some minimum  
9 level of language or minimum number of hours they would find  
10 acceptable for granting of an exemption.

11 We would still have to review each applicant case  
12 by case, but the regional reviewers could be doing so  
13 following a policy and guidance directive.

14 CHAIRMAN SIEGEL: Right. Well, I think we  
15 answered your question. Did you have another --

16 MS. SCHLUETER: Yeah, I guess.

17 Do you want to do this, Larry?

18 MR. CAMPER: Yes.

19 MS. SCHLUETER: Okay. I thought it was going to  
20 be, you know, just --

21 MS. ROTHSCHILD: Janet, before I sat down, I just  
22 had -- there was one dangling issue from my point of view,  
23 which was a little earlier there's been reference to whether a  
24 requirement is imposed in a license condition versus whether  
25 it's in a regulation, and certain procedures that apply when

1 you have requirements that are imposed by regulation, and  
2 that's true.

3           But it -- a requirement that's in a license  
4 condition is not somehow defective or inferior to a  
5 requirement that appears in a rule. There are just certain  
6 procedures that, you know, people are obviously aware of that  
7 apply when you have rulemaking. And licensing and license  
8 conditions -- that's a different subject. I just didn't --

9           CHAIRMAN SIEGEL: In a perfect world, I agree  
10 with you. But in a world where the regulatees often feel  
11 powerless relative to the regulators, it is a lot easier when  
12 the community at large is discussing a rule than when  
13 individual licensees are negotiating license conditions with  
14 the Nuclear Regulatory Commission, or the FDA, or what have  
15 you. So the world is not perfect, so we like rulemaking  
16 better as a general rule.

17           MS. ROTHSCHILD: Although I think we have heard  
18 it many times, at least from agreement states is, please, for  
19 our sake don't put your requirements -- or don't force us to  
20 put our requirements in regulations. It's much easier, gives  
21 us more flexibility, if they can be done, you know, as part of  
22 licensing. So I guess I'm just saying that -- that, you know,  
23 we hear different things.

24           So I just wanted to be clear that a requirement  
25 imposed on -- as part of a license condition is not somehow

1 legally inferior to, or suspect, because licensing happens to  
2 be different from rulemaking. I just wanted to correct any  
3 implication.

4 CHAIRMAN SIEGEL: But you can change license  
5 conditions tomorrow if you choose to, because you perceive a  
6 need to make a quick change, and the community disagree with  
7 you. Whereas, you can't do that with rules.

8 MS. ROTHSCHILD: Well, you can have immediately  
9 effective final rules, but it's very, very rare.

10 CHAIRMAN SIEGEL: They are quite rare.

11 MS. ROTHSCHILD: Right. And, of course, there  
12 are, you know, due process requirements when you're talking  
13 about orders and certainly enforcement action.

14 CHAIRMAN SIEGEL: No argument. Okay.

15 MEMBER BERMAN: I don't think you answered  
16 Mr. Camper's question. I think he has said, "Will you define  
17 'concurrently'?" And we're not going to define it.

18 But if someone comes to him as an applicant  
19 saying, "I don't have a thousand hours, I don't have 500 plus  
20 500. Instead, I've got something short of that," is -- and  
21 they don't have the -- I -- instead of having my full 1,200,  
22 I'm coming up with something more on the line of 700, is this  
23 Committee saying no exceptions? Anything less than a thousand  
24 for those two categories should come before the Committee? Is  
25 that what we're saying?

1                   CHAIRMAN SIEGEL: That's what the motion says.

2 The motion said not to recommend exemptions from the language  
3 in Part 35.

4                   Janet?

5                   MS. SCHLUETER: Okay. On a related issue, as I  
6 mentioned earlier, all Subpart J sections have required  
7 classroom training. And to date, virtually -- the NRC has  
8 virtually received no requests for physician applicants coming  
9 to us that have documented training where they have received  
10 some portion or all of the required classroom training in an  
11 off-site, non-traditional training mode -- for example, the  
12 use of videotapes, corresponding workbooks, CD-ROM, other  
13 telecommunication methods.

14                   We recognize that the use of these types of off-  
15 site training modes are common in the college graduate, post-  
16 graduate level education. So we assume that eventually the  
17 NRC will receive requests from applicants that have received  
18 some portion or all of the required classroom training through  
19 these non-traditional modes.

20                   So our questions to you today are based upon our  
21 review of these types of applications and, in other words, we  
22 need to have a feel from you whether or not there are specific  
23 issues that need to be addressed, such as is there some  
24 portion or some fraction of contact time that is necessary  
25 between the student and the preceptor, or the lecturer, or the

1 tutor, or whatever?

2           Is the use of one modality independent of all  
3 others sufficient, or should one modality be used in concert  
4 with another modality, such as a videotape and a workbook  
5 combined? Are there things in particular that we need to look  
6 at if we receive a request for physicians, or even other  
7 individuals? I mean, we have T&E criteria for radiation  
8 safety officers, and physicists, and so forth, that we should  
9 be particularly sensitive to when reviewing an application of  
10 this nature.

11           And also, what would come to concern would be the  
12 methods used by the training program for proficiency testing.

13           MEMBER NELP: Do you have a specific example, or  
14 is this just looking ahead in anticipation?

15           MS. SCHLUETER: Just looking ahead.

16           MEMBER NELP: I would suggest rather than getting  
17 into a detailed discussion of this issue that when this issue  
18 arises I would be happy -- and I'm sure others might be happy  
19 to help you evaluate that degree of -- or that kind of  
20 material. Supervision is a very important component, and I  
21 imagine there are some very innovative approaches out there,  
22 some of which might be very worthwhile and some might be very  
23 skimpy.

24           CHAIRMAN SIEGEL: I think the general sense of  
25 where we've been in the past is that we encouraged that --

1 have encouraged that the basic science training in all areas  
2 not simply be a recording of a number of hours but actually  
3 ultimately involve some certification by the person who did  
4 the training that the individual has mastered the material.  
5 That was part of the direction we were heading in the paradigm  
6 shift we were advising you about, and so to back track and say  
7 that we want to recommend videotapes at this point --

8 MS. SCHLUETER: Yeah. But that --

9 CHAIRMAN SIEGEL: -- that strike me as --

10 MS. SCHLUETER: -- the preceptorship would be  
11 with respect to the supervised work or clinical experience.

12 CHAIRMAN SIEGEL: No, no.

13 MS. SCHLUETER: Not classroom necessarily.

14 CHAIRMAN SIEGEL: We previously said that we  
15 think that there needed to preceptorship in relationship to  
16 the didactic basic science material as well.

17 MR. CAMPER: Well, the problem, though, that --  
18 again, is is that if one looks at the existing regulations  
19 today, it says 200 hours of classroom and laboratory training.  
20 Now, we can go back and find staff positions. We reviewed one  
21 the other day from 1987 I think it was. Someone had inquired  
22 about this, and we responded by saying that classroom hours  
23 mean the typical contact time between an instructor and a  
24 student that one normally finds, you know, consistent with the  
25 university approach.

1           Well, it's now 1995, and a whole lot of very good  
2 universities are using videotapes and maybe CD-ROMs. And the  
3 question that I have, then, is -- and our concern is driven by  
4 radiation safety considerations, not clinical competency. I  
5 guess my question really is is it, in the opinion of the  
6 Committee, that it's acceptable for physicians to obtain  
7 training in radiation protection, mathematics,  
8 radiopharmaceutical chemistry, and radiation biology, via  
9 videos and/or CD-ROM approach?

10           MEMBER NELP: That's certainly no different than  
11 reading a book. It might be much more effective, but that's  
12 not the educational process. The educational process involves  
13 a process of reiteration and testing of the material, and, you  
14 know, that's only part of it.

15           MS. SCHLUETER: Well, in other words, it wouldn't  
16 be enough for an applicant to just come in and document to us  
17 that they had completed X number of hours with five  
18 videotapes. I mean, we would have to take a look at exactly  
19 what did the videotapes contain? What was the interaction  
20 between the student and tutor or lecturer or preceptor or --

21           MEMBER NELP: That's what I'm saying. I can be a  
22 qualified carpenter if I buy five videotapes on woodworking  
23 and listen to them in my van. That's a start, but that  
24 doesn't make me qualified to do anything in a woodshop. I can  
25 -- there are programs out there. I called for a CME program

1 for physicians to have common training and interests.

2           There are CME programs where you can go to a  
3 hotel at a resort area and listen to a tape, and the tape goes  
4 on every week in continuum, and you can come in any day of the  
5 week and leave any day of the week and get credit for sitting  
6 in that room for what part of the time you sat in that room.  
7 That's totally ineffective.

8           MS. SCHLUETER: Well, we would want to see some  
9 measurement of proficiency of the student. I mean, we  
10 wouldn't just exercise some sort of carte blanche approval of  
11 non-traditional classroom training.

12           MEMBER NELP: May I make a suggestion that when  
13 this issue does come up in a format where you have a concrete  
14 example, then I think it would be worthwhile to talk about it.  
15 But you're talking about a theoretical consideration.

16           CHAIRMAN SIEGEL: Dennis?

17           MEMBER SWANSON: Just a comment. I think --  
18 didn't we -- we sort of addressed that when we did the  
19 training and experience requirements for the radiopharmacists  
20 in that we said 700 hours of -- in a structured educational  
21 program. And I would strongly suggest that that's -- that's  
22 probably the way that we need to look at this also.

23           CHAIRMAN SIEGEL: I mean, videotapes can be very  
24 helpful --

25           MS. SCHLUETER: Right.

1           CHAIRMAN SIEGEL:  -- as part of a structured  
2 educational program.  But they certainly shouldn't be the  
3 whole shooting match.

4           MEMBER FLYNN:  Larry, you're talking about  
5 undergraduate colleges now.  You're not talking about post-  
6 graduate medical education, are you?

7           MS. SCHLUETER:  We're talking about training  
8 programs that are designed to meet the required number of  
9 classroom hours identified --

10          MEMBER FLYNN:  Well, you gave examples of  
11 videotapes and CD-ROM and --

12          MR. CAMPER:  Well, I am aware of --

13          MEMBER FLYNN:  -- correspondence courses.  You  
14 can get credit for correspondence courses for undergraduate  
15 degrees.  That's true.

16          MR. CAMPER:  I'm aware --

17          MS. SCHLUETER:  I'm sure --

18          MR. CAMPER:  I'm aware of a graduate degree  
19 program that one can take to obtain a master's degree from a  
20 prestigious institution in a scientific technical discipline.  
21 It may well be health physics for that matter.  But their  
22 program is primarily -- I don't know if it's totally, but it's  
23 certainly primarily through videotapes, proctored testing, and  
24 interaction with instructors, long distance interaction with  
25 instructions.

1                   MEMBER STITT: That's right. I'm getting all of  
2 these hours cranked up as --

3                   (Laughter.)

4                   CHAIRMAN SIEGEL: All right. Have we sort of  
5 answered your question?

6                   MS. SCHLUETER: Yes.

7                   CHAIRMAN SIEGEL: We'd rather wait for a real  
8 example. I'm sort of reminded of a Mel Brooks routine in the  
9 2,000-year old man that -- a bunch of psychiatrists are being  
10 put to -- talked to at a -- by a talk show host, and one guy  
11 said he was a psychiatrist from Texas. And he said, "Do you  
12 mean the University of Texas?" He said, "No, the State of  
13 Texas. One day I was walking out in the prairie, I put my  
14 foot up on a rock, looked up at the sky, and said, 'I am a  
15 psychiatrist,' and I've been one ever since."

16                   And so I get the feeling that we can take this  
17 self-training stuff a little too far.

18                   (Laughter.)

19                   I had a dream that I had 200 hours of classroom  
20 experience, and, therefore, it must have happened.

21                   MEMBER WAGNER: How did you know that's the way  
22 we do it in Texas?

23                   (Laughter.)

24                   CHAIRMAN SIEGEL: Because I know.

25                   We need a break, but I'm told that the Solicitor

1 is here from the Office of General Counsel to discuss the  
2 petition to review the -- do you want to break? Let's take a  
3 five-minute break because we've been sitting a long time.

4 (Whereupon, the proceedings were off the record  
5 for a break from 3:54 p.m. until 4:02 p.m.)

6 CHAIRMAN SIEGEL: Okay. Take your seats. Time  
7 is money. We've lost the committee. Larry, are you coming?  
8 We're missing Bob, but that's okay. We're missing David. Oh,  
9 he's there.

10 Mr. Cordes, we're back on the record.

11 MR. CORDES: Good afternoon. I'm John Cordes. I  
12 am with the Office of the General Counsel at the Nuclear  
13 Regulatory Commission. My title is Solicitor, which means I  
14 am in charge of court cases, defending the NRC in court cases.

15 I have been asked to make a couple of remarks  
16 about one of our court cases that was filed several months ago  
17 by the two physician groups, Chou and Jing, (phonetic) the  
18 Radiopharmaceutical Rule.

19 I am really not going to take very much time. I  
20 have very little to say about this court case because it's in  
21 a very immature stage. All that has been filed in the case is  
22 a petition for review in the Court of Appeals, which is a one  
23 page document that says the rule is arbitrary and unlawful.  
24 That's all it says.

25 We did meet in the General Counsel's office with

1 one of the or maybe the only attorney in the case, a man by  
2 the name of Sheldon Truebatch, who is also the attorney who  
3 represented these groups several years in another lawsuit  
4 against the NRC involving equality management rule.

5           Mr. Truebatch did not have a lot of say about  
6 what the issues are in the case. I think he is still  
7 developing them himself. He has filed what is called a  
8 docketing statement in the Court of Appeals, which lists the  
9 issues in the case. They are phrased in a great level of  
10 generality.

11           It is my understanding that the principle  
12 grievance with the rule is a compatibility determinations in  
13 the rule, what aspects of the rule should be made applicable  
14 to agreement states. The petitioners seem to think that the  
15 NRC applied too much to agreement states.

16           There is also a reference to an alleged failure  
17 by the agency to follow the advice of this group, ACMUI.  
18 Again, I don't have the details on those issues because they  
19 haven't been fleshed out.

20           Let me just briefly explain the procedure. This  
21 is a Court of Appeals case. There is no trial, no evidence,  
22 no testimony. It's nothing like the O.J. Simpson case. It's  
23 much more kind of academic or boring than that.

24           Each party eventually will file briefs, probably  
25 40 to 50 pages. The 40 to 50 page briefs explaining their

1 positions. The Court of Appeals, a three judge court here in  
2 the District of Columbia will then hear an oral argument in  
3 the case, where each side will orally debate the issues. Then  
4 several months after that, the Court will decide the case.

5           The D.C. Circuit, where the case is pending, has  
6 a huge backlog of cases. They are way behind. This case  
7 likely will not be heard until the winter, at least. And  
8 probably won't be decided until at least a year or so from  
9 now. So there's really nothing imminent.

10           Mr. Truebatch has indicated to us that he intends  
11 to send us a letter, I may have mentioned this, specifying his  
12 issues in the hopes that perhaps the NRC staff could clarify  
13 some of the doctor's concerns and maybe the lawsuit would not  
14 be pursued. I don't know whether that is true.

15           I really have, I know you are way behind. Donna-  
16 Beth Howe, I think is waiting to speak. I'll be happy to  
17 answer any questions anyone has, but I really think my  
18 appearance here is sort of premature in that I have nothing  
19 really substantive to say about issues that may be of  
20 interest.

21           MEMBER NELP: We need more staff like you.

22           CHAIRMAN SIEGEL: Thank you. I appreciate your  
23 coming. Are there any questions?

24           MR. CORDES: Thank you. Nice to meet you all.

25           CHAIRMAN SIEGEL: Sorry we kept you waiting so

1 long.

2           Okay. Since there are a number of people who are  
3 here to hear the discussion of the guidance documents for the  
4 Radiopharmacy Rule, we are going to do that next.

5           So Donna-Beth. Just to keep you on track on the  
6 agenda, we will try our best to do Bob Ayres item on the  
7 Strontium 90 applicators yet today before we quit. But we'll  
8 probably put the dose range stuff on for tomorrow.

9           MR. CAMPER: As Donna-Beth is setting up, let me  
10 make an administrative announcement so we can use time.

11           Commissioner La Planque has indicated that she  
12 will be by to see the Committee tomorrow sometime between  
13 11:00 and 12:00. She is tied up in a briefing from 10:00 to  
14 11:30, but she will stop by to just speak for a few minutes  
15 and say goodbye. As you know, her term is coming to an end  
16 soon.

17           MS. HOWE: Okay. Today I am going to be talking  
18 to you about the Radiopharmacy Rule. I have titled it pre-  
19 draft regulatory guides. There's a reason for that.

20           Because a regulatory guide is not a draft  
21 regulatory guide until it's published in the Federal Register  
22 for public comment. So this is really a document that is  
23 before that stage.

24           We are hoping that at the end of this ACMUI  
25 meeting, we will have a clear description of your comments so

1 that we can work on those and consider them in developing the  
2 final draft regulatory guide for publication.

3 I wanted to give you a little bit of a background  
4 about the function of a regulatory guide, because it has come  
5 up before. One is, its primary mission is to address item by  
6 item how to provide information requested on NRC Form 313.  
7 That is, how to file for an NRC license.

8 It has a certain structure. In our draft reg.  
9 guides, we have for the most part adopted this structure where  
10 we identify the applicable regulations for each item to show  
11 licensees the basis for the information that we are asking.

12 We try to give them licensing criteria, so they  
13 will see what we're judging their answer against. We also try  
14 to provide them with some guidance in acceptable responses, so  
15 that if we saw a response that looked like this, they would  
16 know that that was acceptable to us and their application  
17 would go through fairly quickly and without too many  
18 questions.

19 The last thing we do is we have appendices. Now  
20 appendices are where we give model procedures and programs  
21 that we could consider to be the minimal acceptable programs  
22 or procedures for their license application. So appendices  
23 are a little bit different from the body.

24 Now today we're going to be talking about three  
25 pre-draft regulatory guides. The first one is the "Guide for

1 the preparation of applications for commercial nuclear  
2 pharmacies."

3           The second one is the "Guide for application for  
4 licenses to authorize distribution to various items to  
5 commercial nuclear pharmacies and to medical use licensees."

6           The third one is not really a reg. guide, but  
7 it's a proposed supplement to regulatory guide 10.8 Revision  
8 2. This is the "Guide for preparation of applications for  
9 medical use."

10           Now just quickly to give you a little bit of why  
11 each one of these reg. guides looks a little different from  
12 the one preceding it. For the commercial nuclear pharmacy  
13 guide, we are actually going to on the license authorize the  
14 possession and use of byproduct material. So you will see a  
15 good number of questions and guidance in these reg. guides  
16 that tells the information we need to see on setting up  
17 radiation safety programs.

18           They will all authorize the distribution of  
19 radioactive drugs to medical use licensees. That is a primary  
20 function for commercial radiopharmacy.

21           There may be some additional items on the  
22 license. They may be authorized to distribute sealed sources  
23 to medical use licensees. They may be authorized to  
24 redistribute radioactive drugs or sealed sources.

25           For the guide for the preparation and application

1 for licensed authorized distribution, this is primarily the  
2 manufacturers. These are the Squibbs, the New England  
3 Nuclear, the Duponts of the world. These are the  
4 manufacturers that are registered with the Food and Drug  
5 Administration, or possibly with the state food and drug  
6 group.

7           This particular license that they are issued does  
8 not authorize them to possess by-product material. They have  
9 to have another license that will authorize possession of  
10 byproduct material.

11           So when you look at these reg. guides, you'll see  
12 a lot of issues that say not applicable. Well, why don't they  
13 have a radiation safety program? It's not applicable. The  
14 radiation safety program is covered under a different license.

15           Many of these manufacturers are large entities  
16 that have research and development licenses and broad scope  
17 licenses. That's where they possess the material. So for  
18 this particular reg. guide, you're going to see issues that  
19 are more focused on labeling and the product.

20           For the commercial pharmacy, you'll see a lot of  
21 emphasis also on their possession and how they are doing  
22 things and how they are maintaining a safe radiation safety  
23 program within their facility.

24           Okay. On the next line. We have regulatory  
25 guide 10.8. As you are aware, the medical use licensees can

1 be authorized for any number of things. I put the maybe  
2 authorized on the license, because we have different levels of  
3 experience and facilities.

4 We may have people that are just doing the very  
5 first one is equivalent to 35.100. The second one is 35.200,  
6 35.300, 400, 500, 600. So we may have just a teletherapy  
7 license. We may have just an imaging and diagnostic, which  
8 would be say the cardiologist. So those are all the  
9 possibilities that you would have for those licenses.

10 Now the next point is that you have seen the  
11 three documents that were in your briefing book before,  
12 because in November, you saw an original version of the draft  
13 regulatory guides for the commercial pharmacies, the  
14 manufacturers, and the medical use licensees.

15 The document that you have in your briefing book  
16 is different from what you saw in November, because it  
17 includes information that we added to it, as a result of  
18 commission-directed changes when they approved the final  
19 radiopharmacy rule. It includes considerations of comments  
20 that you made during your November ACMUI meeting.

21 It also includes the January 4, 1995 final rule  
22 clarification. That came out of the ACMUI comments when it  
23 became clear to us that everybody on the ACMUI had a different  
24 interpretation of part of the labeling requirements in Part  
25 32.

1           If everybody misunderstood it, then maybe it was  
2 time to clarify the rule. So that was a labeling  
3 consideration.

4           Okay. It also includes things that the NRC self-  
5 identified for corrections and clarifications as we went  
6 through the draft reg. guide to see areas that we thought  
7 needed cleaning up, maybe a different focus.

8           We have regional comments, because we sent the  
9 draft reg. guide with included language for the standard  
10 review plan out in November. We got comments from the regions  
11 on the standard review plan. We've incorporated many of those  
12 into this version.

13           We had two letters, one from Dr. Mark Rotman, and  
14 another from the American College of Nuclear Physicians and  
15 Society of Nuclear Medicine in March, that was essentially in  
16 disagreement with our 10.8. In many cases, they jumped to an  
17 erroneous conclusion. Once they jumped to it, they had other  
18 things that they didn't like.

19           So we took that letter and we said, well maybe  
20 we've really got to go back and clarify where we were coming  
21 from, and try to take out some of the language that was open  
22 for misinterpretation.

23           Then finally, we took all of the above areas and  
24 we came up with a new draft. Then we submitted that to Dennis  
25 Swanson and to Marlin Pollycove, to get their comment to see

1 if we had essentially made some clarifications that were now  
2 understood by everybody. They gave us some very good  
3 comments.

4           We have tried to consider most of their comments.  
5 We still have a few issues in the draft reg. guide that we're  
6 going to take longer for us to come up with the right words  
7 and the right phrase. In some cases, we might have to go back  
8 to OGC before we can go out with the final draft reg. guide.

9           So this is kind of synopsis of why the document  
10 you are looking at today is different from the document that  
11 you looked at in November. There is a lot more information  
12 into it. It's a more polished document, but it's not the  
13 final document yet.

14           I think what I would like to do next, is I'd like  
15 to briefly go through how we changed, some of the major  
16 changes we made to each one of these documents to get it on  
17 the record. When I finish that, then I'm going to open for  
18 discussion to get any comments that I might have from the  
19 ACMUI.

20           CHAIRMAN SIEGEL: We should do that a document at  
21 a time, I think.

22           MS. HOWE: Do you want to do the chnages and then  
23 discuss the document, or do you want me --

24           CHAIRMAN SIEGEL: We've got to do one document.  
25 You tell us the changes, and we'll tell you if there's still

1 something that's troubling us. Then let's go on to the next  
2 document. Otherwise, we're going to lose our focus, I'm  
3 afraid.

4 MS. HOWE: That's fine. Okay for this particular  
5 guide, these changes, what I have done is I have thrown up a  
6 summary slide. It has the headings. But you will see in your  
7 package that I have things that look like slides right behind  
8 it, that go into more detail behind the headings.

9 Okay. For administrative changes, the difference  
10 between the document you saw and -- Sal, you'll leave that one  
11 up.

12 Another change was in the administrative changes.  
13 We had the technical editor up in the Office of Research go  
14 through the documents. So we had a number of administrative  
15 changes, which included adding figures for the regional  
16 offices in the agreement states, adding boiler plate and  
17 format changes that are specific to draft regulatory guides.

18 We added new regulatory citations. There were  
19 some cases where we had not, we'd referred to parts of the  
20 regulation within the body, but we didn't have it up in the  
21 citations section.

22 We renumbered certain items so that they were  
23 matching with the Form NRC 313. We guided applicants to use  
24 figures 1A and 2A in Appendix A. Most of those were just  
25 minor clean-up operations.

1           In the next area, we removed text that might be  
2 interpreted as requiring formulation or reformulation  
3 procedures. It was never our intent to ask for specific  
4 formulation or reformulation procedures, so we went through  
5 the radiopharmacy guide very carefully. Where we thought it  
6 might be misinterpreted, we took that language out.

7           We distinguished between photon high energy beta  
8 emitters, alpha low energy photon, low energy beta emitters in  
9 measurement, monitoring and personal dissymetry (phonetic)  
10 programs.

11           We revised the characterization, the kind of  
12 amendments expected. It was interpreted that we were asking  
13 for amendments for particular procedures on how to prepare  
14 radiopharmaceutical. We had not intended that to be  
15 interpreted that way, so we took the language out to make it  
16 clearer.

17           We had some areas that were focused primarily on  
18 radiation safety. They were clarifying that the institution  
19 is responsible for radiation safety programs for commercial  
20 pharmacies located in medical facilities. We've referred  
21 applicants to the ALARA effluents reg. guides.

22           We suggested that longer TLD exchange intervals  
23 would be justified, if applicants came in and requested it.  
24 We removed distinction between capsules and liquids for large  
25 quantities of radioiodine. We added radioactive halflife to

1 routine decay in storage authorizations.

2           We were asked by the ACMUI last time to make  
3 certain parts of the radiopharmacy reg. guide conform with  
4 regulatory guide 10.8, so we added calibration of two points  
5 on each scale and decade for survey instruments. We revised  
6 constancy, accuracy, linearity and geometry dependence to  
7 match Reg. Guide 10.8.

8           There were some errors in Reg. Guide 10.8 on  
9 linearity. We corrected those errors.

10           We reminded the pharmacy of the Part 35  
11 requirements on molybdenum breakthrough in being given to  
12 patients, being administered to patients.

13           We revised Appendix E to match Reg. Guide 10.8.  
14 We revised the product labeling section. That was in response  
15 to the changes from the Commission and also the January rule  
16 clarification.

17           For things I have put into a category called  
18 Others, we clarified that an authorized nuclearpharmacist can  
19 prepare or supervise the preparation of, earlier it just said  
20 they had to prepare.

21           We clarified the notification requirements. We  
22 distinguished between requirements and information needed in  
23 characterizing the type of distribution operations. We  
24 revised the redistribution of the generator section. We  
25 clarified that the ANP and the RSO need to approve but not

1 order all radioactive materials.

2 We let pharmacists know they could ask for  
3 exemptions, to measuring unit dosages of alpha or beta  
4 emitters, if the unit dosages were passed through from the  
5 manufacturer to the customer, with no manipulation or  
6 adjustment.

7 That's pretty much a laundry list of what we did  
8 in Reg Guide 6 for the commercial pharmacy. Do we have other  
9 --

10 CHAIRMAN SIEGEL: Dennis?

11 MEMBER SWANSON: I don't know how specific, I  
12 still have some minor wording changes. But I think what I  
13 would rather do is address two issues in that guide that I  
14 think are broader issues that I think we need some  
15 clarification on.

16 The first issue deals with the measurement  
17 accuracy of instruments to measure --

18 MS. HOWE: Dennis, can you give us a page?

19 MEMBER SWANSON: If you go to page 28 of the Reg.  
20 Guide, basically. It's for commercial nuclear pharmacies.

21 At the bottom of the page, it discusses what the  
22 central nuclear pharmacy needs to have in the way of  
23 instrumentation to measure alpha and beta emitting  
24 radionuclides. That is where I have a problem, I guess.

25 Right now, it says if you were redistributing

1 unit dosages of beta or alpha emitting radionuclides directly  
2 from the manufacturer to the customer, that instrumentation  
3 only needs to meet accuracy tolerances that enable you to  
4 prevent misadministration and detect gross errors by the  
5 manufacturer.

6 I think what we get down here is in the issue of  
7 semantics, in that when we in the centralized nuclear  
8 pharmacy, when we get prepared radio pharmaceuticals from a  
9 manufacturer, and let's talk about current beta emitter  
10 Strontium 89 P-32 sodium phosphate, P-32 chromic phosphate.  
11 Those are in vials, basically. Those are not unit dosages,  
12 per se.

13 MS. HOWE: Well, they could be in a vial that's  
14 unit dose.

15 MEMBER SWANSON: They could be in a vial that's  
16 unit dose, but I think this is where the semantics come into  
17 play.

18 I think we in pharmacy look at unit dosages as  
19 you take that vial and you draw up a dose for a patient.  
20 That's what we consider to be a unit dosage.

21 Getting to the issue at hand, if we look at, I've  
22 got to jump over to the end-user here, the medical use  
23 licensee. The NRC permits the medical use licensee to base  
24 their dosages upon the label, if they obtain a vial of the  
25 prepared agent from a manufacturer.

1 MS. HOWE: Yes. Or they obtained it from a  
2 pharmacy, and the pharmacy did the measurement.

3 MEMBER SWANSON: Right. Now can the centralized  
4 nuclear pharmacy, if they are simply drawing up a dose from a  
5 prepared radio pharmaceutical received from a manufacturer,  
6 also base measurements upon the manufacturers label.

7 In other words, as it currently states here if  
8 you go on, however, if you make adjustments to the  
9 manufacturers product, which I assume would mean drawing up a  
10 unit dose, the measurement accuracy of the instruments must  
11 meet tighter tolerances of 10 percent.

12 So what you are really creating here is a much  
13 tighter standard for the central nuclear pharmacy, than what  
14 you are for the end users. Did you really intend to do that?

15 MS. HOWE: Okay. There are two parts to this.  
16 One is, that we recognize that the end users may not have the  
17 ability to measure alphas and betas well at all. So if they  
18 got a unit dose that just went directly into the patient, we  
19 weren't going to require them to make the measurement if they  
20 could depend upon the label.

21 Now, if the pharmacy gets it and they draw it up,  
22 then I think we're assuming that they are now responsible for  
23 the measurement.

24 We've said instruments, and I talked to you about  
25 this earlier. Perhaps we have to change that wording, because

1 it would be more the method of determining the dosage.

2 If you used volumetric considerations with the  
3 activity the manufacturer gave you, and that was your  
4 procedure, that would be fine.

5 MEMBER SWANSON: Okay.

6 MS. HOWE: There would be no problem with that.

7 MEMBER SWANSON: That's the point I want I think  
8 clarified at this point.

9 I'd actually suggest if you go back to the mode  
10 therapy regulations that appears later on, there's a statement  
11 there that says, for unit dosages may rely on the provider's  
12 dose label for the radioactivity of the dosage and other  
13 dosage information. If the pre-calibrated dosage must be  
14 adjusted prior to patient administration, a volumetric  
15 calculation and measurement is acceptable.

16 I think that is great wording, and it needs to be  
17 applied to both the medical use licensee and also to the  
18 commercial nuclear pharmacy at this point also.

19 Again, I have no argument if commercial nuclear  
20 pharmacy or medical use licensee is preparing on site their  
21 own beta or alpha emitter, obviously they need very accurate  
22 instrumentation. But if you're simply drawing up doses of an  
23 agent received from a manufacturer, I don't think you want to  
24 set that tight of limits on either one of them.

25 MS. HOWE: Okay. We will accept a combination

1 between measurement and calculation. So that would be fine.  
2 We'll adjust the wording there.

3 We have seen commercial nuclear pharmacies that  
4 will, what they'll do is they won't have enough strontium left  
5 because of decay. They will pool things together.

6 Then they have tried to make measurements in dose  
7 calibrators. We would prefer they go back and use a volume  
8 activity calculation, because we think there's a lot more --

9 CHAIRMAN SIEGEL: It's more reliable.

10 MS. HOWE: It's more reliable. So that's what we  
11 are trying to do.

12 CHAIRMAN SIEGEL: While we're on page 28, before  
13 we go on.

14 MS. HOWE: Yes.

15 CHAIRMAN SIEGEL: The item about linearity.

16 MS. HOWE: Yes.

17 CHAIRMAN SIEGEL: Would it be 30 microcuries to  
18 be consistent with --

19 MS. HOWE: We discussed this among ourselves.  
20 The question was, and this is a good issue to bring up to the  
21 ACMUI. The commercial nuclear pharmacy is sending out  
22 activities at levels lower than 30 microcuries. There may be  
23 a fundamental concept if you are receiving something, a pill  
24 that's supposed to be 15 microcuries, do you give the  
25 radiopharmacy the same tolerance limits at 15 microcuries up

1 to 30 microcuries, or should it be 15?

2 If they are sending you a pill that's supposed to  
3 be 10 microcuries, should it be 10, close to 10 or could it  
4 vary all the way up to 30.

5 I think there might be a difference between your  
6 expectations of something coming from a pharmacy, and your  
7 expectations for misadministration in the medical. But I  
8 don't know. So that would be a good issue, a good item for  
9 you to discuss.

10 MEMBER NELP: Is that at 28?

11 MS. HOWE: It's page 28.

12 CHAIRMAN SIEGEL: Dennis, what do you think?

13 MEMBER SWANSON: What was the reasoning behind  
14 changing it to 30 microcuries for the medical use licensee?

15 CHAIRMAN SIEGEL: Keyed it to the quality  
16 management rule in the I-131 misadministration, plus coupling  
17 it with some realization that going down to 10 microcuries was  
18 technically not realistic, because those calibrators get noisy  
19 below 30 microcuries.

20 MEMBER SWANSON: Then it's unreasonable to  
21 require an accurate assay on the part of the centralized  
22 nuclear pharmacy for the same reasoning. If you can't measure  
23 that accurately anyway, then why are you imposing that rule on  
24 it?

25 CHAIRMAN SIEGEL: We certainly wouldn't want

1 otherwise working dose calibrators taken out of use because  
2 they couldn't deal with the range between 10 and 30  
3 microcuries. That would be a mistake. It would be burdensome  
4 expensive regulation.

5           That would be nice to know. In fact, we do our  
6 linearity tests to less than 30 microcuries, just because we  
7 want to know. But I'd hate to have to take it out of use for  
8 that last 20 microcuries.

9           Dennis?

10           MEMBER SWANSON: Correct.

11           CHAIRMAN SIEGEL: Lou?

12           MEMBER WAGNER: Yes, of course.

13           CHAIRMAN SIEGEL: Anybody else have a comment or  
14 concern? Dan, it's cool? So we recommend that you maybe make  
15 that 30 mics. again.

16           MS. HOWE: Okay. It may be the radiopharmacists  
17 when they are sending out these low activity ones. I know  
18 they have pre-stamped labels that say plus or minus so much  
19 percent. That may not be appropriate when they get down to  
20 the microcurie levels. I don't know. Okay.

21           MEMBER SWANSON: Again, another general issue.  
22 Page 35, where we talk about precautionary measures for  
23 handling millicurie quantities of radioiodine.

24           I thought we had discussed in the draft that the  
25 real concern with radioiodine dealt primarily when you were

1 dealing with liquid solutions, transfer of liquid solutions,  
2 dosing liquid solutions. In fact, when you're dealing with  
3 capsules, part of the advantages of working with iodine  
4 capsules is it alleviates most of the concerns regarding  
5 volatility.

6 All I am really saying here is that that somehow  
7 did not get reflected back in the rewrite here, in that the  
8 first paragraph under 10-10 should probably read, "Only  
9 applicants with operations -- performing radioiodizations,  
10 preparing radioiodine capsules from liquid solutions, and  
11 opening and dispensing from vials containing millicurie  
12 quantities of liquid radioiodine." You need to respond to  
13 item 10-10.

14 MS. HOWE: Yes. I think one of the reasons, and  
15 you may want to discuss this. We took out the reference to  
16 liquid because we received a number of questions about whether  
17 medical use licensees don't have to have bio assay programs if  
18 they are just dispensing capsules.

19 We don't have a specific exemption from the  
20 bioassay program, because they are using capsules. So this  
21 was an attempt to make that in parallel.

22 There still can be volatility questions that  
23 might be associated with bioassay.

24 MEMBER SWANSON: Again, I think this goes back to  
25 the model rules later on, on therapy. We need to make the

1 equivalent change in those model rules or model guidance, to  
2 only reflect bioassay requirements for medical use licensees  
3 for liquid radio-iodine.

4 MS. HOWE: I'm not sure the NRC is prepared to  
5 make that move at this point. I think, Larry, am I right, we  
6 have some TARs in on that issue.

7 MR. CAMPER: That's right. We have some TARs  
8 that we're evaluating right now. We've not done a closure on  
9 it.

10 MEMBER SWANSON: What's a TAR?

11 MS. HOWE: It's a technical assistance to the  
12 region.

13 MEMBER SWANSON: Okay.

14 MS. HOWE: That's a question that comes in from  
15 the licensee. The region gives it to headquarters because  
16 it's going to take a little longer to develop a policy.

17 MR. CAMPER: That's correct. We're not at  
18 closure yet on it.

19 MEMBER SWANSON: Okay. I think as long as our  
20 move is eventually towards recognizing that capsules are not a  
21 problem. However we get to that point, okay. I understand  
22 the compatibility issue though that you just mentioned.

23 I have a lot of specific wording issues. I don't  
24 know if we really want to address those types of things right  
25 now.

1 CHAIRMAN SIEGEL: Well, what's the mechanism for  
2 doing it if we don't do them right now? That's the only  
3 concern I have.

4 MS. HOWE: Sam, you think we could work with the  
5 Office of Research and NMSS to talk one on one with Dennis and  
6 find out his concerns and work on the wording?

7 MEMBER SWANSON: There's not, I shouldn't say a  
8 lot of them, there are just a few.

9 MS. HOWE: Sam seems to be shaking his head yes.

10 MEMBER SWANSON: Great.

11 MR. CAMPER: Dennis, a question on the bioassays  
12 on the capsules.

13 As I mentioned, we do have a technical assistance  
14 request that we're looking at, and we want to get to closure  
15 on this. But in your opinion, do you see a problem in terms  
16 of if a capsule is crushed or distorted in some fashion during  
17 the production process, bioassay?

18 MEMBER SWANSON: I could see if you wanted to  
19 have a bioassay, if that event occurred, yes. But I don't  
20 think you need bioassays routinely for people that are working  
21 with capsules.

22 If you look at radioiodine volatility in general,  
23 even with the liquids, it's not near the problem it used to  
24 be, because they finally got around to doing the appropriate  
25 Ph adjustment.

1           Certainly, as I said, the advantage of going with  
2 capsules is to get away even further from that problem. I  
3 think we need to recognize that within the NRC regulations,  
4 and not require bioassays routinely for people that are  
5 working with capsules.

6           But certainly, you could put a phrase in there  
7 that if the capsules were damaged or something, that it would  
8 be probably a good idea.

9           MS. HOWE: I guess I had one question to bring up  
10 to the ACMUI.

11           Dennis, in our last ACMUI meeting, you  
12 recommended that we have conformance with Reg. Guide 10.8 for  
13 the linearity geometry and dose calibrator.

14           It ends up, the radiopharmacy community has been  
15 dealing with a reg. guide for the last 10 years that the  
16 concepts are covered, but it's not exactly covered in exactly  
17 the same way.

18           MEMBER SWANSON: I actually noted that, which is  
19 one of the things I was going to discuss with you. It appears  
20 that the reg. guide actually now is in conformance with 10.8,  
21 but the model regulations that appear in the appendices  
22 actually have a tighter standard of plus or minus five  
23 percent.

24           Now I guess you can say if you as a centralized  
25 nuclear pharmacy want to adopt those model regulations which

1 are truly model, because they are even tighter, then that can  
2 be your decision. If I were a centralized nuclear pharmacy, I  
3 would probably apply for the standard 10 percent though.

4 MS. HOWE: Yes, now the appendix that we have  
5 that is modeled on 10.8, we brought over exactly the same  
6 numbers. So 10.8 has the same five percent tolerances that  
7 this one has. I know that was one of your comments,  
8 everything ought to be 10 percent because that's in the  
9 regulations.

10 I'm not sure how the radiopharmacy community is  
11 going to feel about all of a sudden seeing something that  
12 looks different from what they have been dealing with. Do you  
13 have any feel for that?

14 MEMBER SWANSON: Well that's a concern. I guess  
15 the question I'd ask you is why were not the model regulations  
16 changed to conform with the NRC regulations, basically, the  
17 Part 35 regulation?

18 MS. HOWE: The draft regulatory guide for the  
19 radiopharmacy was issued in 1985. The reg. guide for 10.8 was  
20 issued in 1987. I believe when they developed the reg. guide  
21 for 10.8, there are differences because there are trigger  
22 levels. The staff I think believed that maybe they should be  
23 taking action at a lower level, but the regulation was at 10  
24 percent.

25 But the radiopharmacy guide actually came first.

1 So the wording that was in the linearity geometry for the  
2 radiopharmacy guide preceded 10.8, but it was never developed  
3 as a final guide.

4 MR. CAMPER: Let me just, I don't know the answer  
5 to your question either. I wasn't in the staff at that time.  
6 Donna-Beth was here, I think, but it's hard to second guess  
7 now.

8 I think the important thing is though is that we  
9 align whatever needs to be aligned at this time. We have an  
10 opportunity to do that, because we are dealing with guidance  
11 here. Whether it's 10.8 or it's the pharmacy guide, they are  
12 guidance. We can align them up, and we certainly should.

13 Trust me. I've been in situations where when  
14 giving talks in professional societies, when not only this,  
15 but on the difference between Part 35 and 10.8, embarrassing  
16 differences have been pointed out to me. Ultimately, we can  
17 correct that.

18 Certainly, we can do something about guidance now  
19 in lining them up.

20 MEMBER SWANSON: And I would suggest we do that.  
21 I think it just adds a point of confusion.

22 Probably where it really came from, if you look  
23 at the previous Part 35, the limits plus or minus five percent  
24 that currently appear in the Appendix model regulations, were  
25 in fact the NRC regulations at that time.

1           Now when we did the revision of Part 35 in 1987,  
2 they changed those to the ANSI I think requirements of plus or  
3 minus 10 percent. Again, what has probably happened is that  
4 appendix just has not gotten changed, that model appendix.

5           Now this was something I pointed out when I did  
6 the review. I noted that it still didn't get changed. Again,  
7 I don't know if that's a problem with some compatibility issue  
8 or something, but it ought to be consistent.

9           MR. CAMPER: We'll take a look at it.

10          MS. HOWE: What we do is we actually picked up  
11 Appendix C from 10.8. 10.8 was in August of 1987, so it was  
12 done at the same time that the new medical use rules were put  
13 into place, because it was part of a package.

14          So what we did was, we picked up Appendix C  
15 directly from 10.8 and inserted it with the exception of some  
16 errors that were in linearity that we took care of.

17          So if there are higher numbers or lower numbers,  
18 plus or minus five percent versus the regulation 10 percent,  
19 that's because the five percent showed up in Appendix C.

20          MEMBER SWANSON: It probably got missed when they  
21 did the revision.

22          MR. CAMPER: It's hard to say. I suspect you are  
23 right. But I think the important thing is, is that with this  
24 recent rule change and the flexibility for procurement use for  
25 radiopharmaceutical that's in that for Part 35 licensees, this

1 exercise affords a good opportunity as I said, to line these  
2 up. We'll take a look at that and focus on it.

3 CHAIRMAN SIEGEL: A quick question on page 35.

4 MS. HOWE: Yes.

5 CHAIRMAN SIEGEL: This item about the pharmacy  
6 will agree to retrieve only those items, syringes, vials, that  
7 contain or are contaminated with radioactive materials  
8 supplied by that pharmacy.

9 MS. HOWE: Yes.

10 CHAIRMAN SIEGEL: Do they know? How do they know  
11 if they've got mixed waste, if you used the wrong term.

12 MS. HOWE: I think the mechanism is, they send  
13 drivers out with suitcases. The suitcases go out with the  
14 doses in them in the morning. They send them back out the  
15 next morning with the new doses and they bring back the old  
16 suitcases.

17 So they are dependent upon the medical use  
18 licensee not to slip anything in. But I think there is this  
19 exchange of suitcase type of thing in ammo carts that --

20 MEMBER SWANSON: That's actually what occurs.  
21 You get a syringe peg which has a label on it. You have your  
22 dose in it. Then you inject your dose. You put it back in  
23 there and send it back.

24 So the centralized nuclear pharmacies are  
25 receiving their pegs back with their labels on it, with a used

1 syringe inside of it. It's pretty hard to stuff two or three  
2 syringes in those things, so I don't think they get things  
3 that don't belong to them too often.

4 CHAIRMAN SIEGEL: Do you have a comment? I'll  
5 recommend you identify yourself for the record.

6 MS. SEIFERT: Okay. Cathy Seifert from Syn Corps  
7 International (phonetic).

8 The difficulty comes in that sometimes nuclear  
9 medicine departments are serviced by more than one nuclear  
10 pharmacy. Sometimes it would be difficult to know whether or  
11 not the particular waste came from your nuclear pharmacy. The  
12 individual picking up the suitcase to bring it back to the  
13 nuclear pharmacy would not have the expertise to look in there  
14 and know.

15 MS. HOWE: I think the main point of this was to  
16 make sure that the pharmacy is sending out certain kinds of  
17 things to the medical use licensee. Only those kinds of  
18 things are coming back to the pharmacy. So they are not using  
19 the pharmacy as a waste broker.

20 MS. SEIFERT: I agree certainly with the intent.  
21 But in a pragmatic perspective, sometimes it's difficult to  
22 execute it.

23 MS. HOWE: That probably only happens in big  
24 metropolitan areas, where you have got competition.

25 MS. SEIFERT: It happens on many occasions.

1 MS. HOWE: In the rural areas?

2 MS. SEIFERT: Not in rural areas, but there are  
3 lots of cities where there are more than one nuclear --

4 MS. HOWE: Okay.

5 MR. CAMPER: Well, the distinction becomes  
6 inspection space. And that we in the licensing process are  
7 looking for a commitment from the radiopharmacies that you are  
8 going to accept and retrieve waste only from your client's  
9 residual nature.

10 It's a non-problem unless during an inspection,  
11 while our inspections would determine that you appear to be  
12 functioning as a waste broker.

13 MS. HOWE: And that's the key.

14 MR. CAMPER: It's not that oh, guess what, we got  
15 a syringe from Pharmacy B, and we're Pharmacy A. That's not  
16 the problem. It's when you are starting to collect waste and  
17 function as a waste broker. Then that's the problem.

18 MS. SEIFERT: It's a problem for us when a  
19 nuclear medicine department slips something in that they  
20 didn't get from us and we're not licensed to have it either,  
21 like particularly a sealed source that they just happened to  
22 have sitting around.

23 Of course we deal with that when it happens but -  
24 -

25 MR. CAMPER: Now I know where all those old

1 radium sources are going.

2 CHAIRMAN SIEGEL: Okay.

3 MS. HOWE: I believe Mark --

4 CHAIRMAN SIEGEL: Dennis, any other items? Oh,  
5 Mark. Identify yourself.

6 MR. ROTMAN: For the record, Mark Rotman. If the  
7 committee will indulge me, can we go back to page 32 of this  
8 same guide, and look at number seven on the top.

9 The question I have, while you are all flipping  
10 through your pages is, it appears to read that everything that  
11 you distribute out of your commercial radiopharmacy is to be  
12 assayed in your dose calibrator.

13 The question I have, would that apply to vials of  
14 sealed multiple dose radiopharmaceutical that you would be  
15 redistributing after you received them from a manufacturer?

16 For instance, you get in a vial of I-131 capsules  
17 and it's designated to be a whole body scanning dose for a  
18 licensee, it's labeled by the appropriate company. Do you  
19 need to assay that before you send it out again?

20 It seems to me that it's already assayed in a  
21 manner that meets NRC regulations and FDA regulations. It  
22 would pose an ALARA consideration to take it out of its  
23 container, put it in your dose calibrator, only to confirm  
24 that it was correct and put it back in its peg and ship it  
25 out.

1 I'm just curious, was number seven meant to be  
2 that prescriptive or does it perhaps need some massaging of  
3 the language.

4 MS. HOWE: No. Number seven has not changed,  
5 with the exception that we distinguish between the photon  
6 emitting and the alpha and beta between seven and eight.  
7 Seven is an item that existed in the preceding Reg. Guide. We  
8 do require that dosages going out of the pharmacy be measured.

9 We have said further, somewhere else in here that  
10 the pharmacies can apply for an exemption for the beta and the  
11 alpha, that they are not making any manipulations to.

12 MR. ROTMAN: Number eight is very clear. It  
13 talks about alpha and beta emitting drugs.

14 MS. HOWE: Yes.

15 MR. ROTMAN: Number seven is also clear because  
16 it says every vial, syringe, ampule or capsule. Now there's a  
17 difference in that sort of prescriptive regulation.

18 That indicates to me that everything must be re-  
19 assayed, even though it would be not sensical, scientific or  
20 ALARA to do so. That is why I am specifically asking about  
21 number seven.

22 MR. CAMPER: You interpret that correctly. I  
23 would suggest that the rationale was for it, is probably for  
24 the same reason that we require that all photon emitting be  
25 reviewed by the Part 35 licensees. That is, is that mistakes

1 do happen.

2 MR. ROTMAN: But still the Part 35 licensees  
3 would be the ultimate recipient of whatever is in number  
4 seven, is still going to assay it again.

5 MR. CAMPER: For the photon emitter, correct.

6 MR. ROTMAN: So it seems a repetitious, useless  
7 assay for items that are not going to be manipulated by the  
8 radiopharmacy, other than to act as a wholesaler, so to speak.  
9 That's really what my itch is that I'm hoping you guys can  
10 scratch. Thank you.

11 MEMBER SWANSON: I interpret that a little bit  
12 different in as much as the regulations or the guidance  
13 document had previously defined redistribution, which is what  
14 I think you are talking about, Mark.

15 This sentence says distribution and does not  
16 address redistribution.

17 MS. HOWE: That's right.

18 MR. CAMPER: Yes. That's a good point.

19 CHAIRMAN SIEGEL: So a capsule would simply pass  
20 through? Not have to be measured?

21 MS. HOWE: No. I believe it still has to be  
22 measured.

23 MEMBER NELP: Why would you want to measure it  
24 though?

25 MS. HOWE: You want to make sure what is going

1 out the door of the pharmacy is what is supposed to be going  
2 out the door to the medical use licensee.

3 MEMBER NELP: But how I'm reflecting is if the  
4 capsule came in and had a beta emitter in it --

5 MS. HOWE: No. It's different, because item  
6 number seven refers to only photon emitting. Item number  
7 eight is the alpha and the beta. We have stated elsewhere  
8 that the commercial nuclear pharmacy can come in and ask for  
9 an exemption to having to measure the alpha and beta if they  
10 received it and did not manipulate it and send it directly  
11 through.

12 So we have covered your concern about a beta  
13 coming in and then being shipped directly, redistributed to  
14 the licensee for medical use.

15 MEMBER NELP: I was reflecting on that same  
16 exemption. There's no need to measure the photon emitter if  
17 the same company is a reliable company. That's what I'm  
18 saying. In other words, why do you want to handle it again.  
19 You induce, it's simple to do, but you also induce the  
20 opportunity for error and mishandling. It was the point that  
21 was brought up just a moment ago.

22 MR. CAMPER: I guess I would --

23 MEMBER NELP: I guess you could get an exemption  
24 for that. This is for redistribution.

25 MR. CAMPER: Well, I guess I would defer to the

1 radiopharmacist in the group. I mean is it a reasonable  
2 standard that a commercial radiopharmacy would assay all doses  
3 passing through its shop. Is that a reasonable thing to  
4 expect or is that overbearing?

5 MEMBER SWANSON: I'd be interested to hear from  
6 people actually running commercial. I don't think it's a  
7 great task.

8 I actually, I guess I'm curious, and I'd ask this  
9 to --

10 CHAIRMAN SIEGEL: By great, you don't think it's  
11 burdensome?

12 MEMBER SWANSON: I do not think it's burdensome.  
13 How much redistribution the business of the commercial  
14 centralized nuclear pharmacies is more dispensing of unit  
15 dosages. I don't think you are majorly in the redistribution  
16 business to begin with, but I'd be interested to hear comments  
17 on it.

18 MEMBER BERMAN: Depends on whether it's thallium  
19 or sesta (phonetic) maybe.

20 MS. HOWE: Thallium doesn't count. We don't  
21 regulate it.

22 MS. SEIFERT: Cathy Siefert again. I think your  
23 point is well take, thallium doesn't count. But the things  
24 that could happen within an agreement state that would  
25 regulate that to be in line with this sort of thing, could

1 impact us significantly.

2 One thing that comes to mind is I-123 capsules,  
3 which of course --

4 MS. HOWE: It's not ours.

5 MS. SEIFERT: It's not yours, but when an  
6 agreement state were to look at this, it would be extra  
7 exposure to the pharmacist who assay every single capsule  
8 individually and hundreds of them, perhaps a day, for no  
9 particular reason.

10 We don't see mistakes from a manufacturer in that  
11 regard. They have their own quality control programs. They  
12 come in labelled individually.

13 It seems unreasonable to require additional  
14 measuring of a gels (phonetic) like that, that's labelled  
15 appropriately.

16 CHAIRMAN SIEGEL: Especially given that medical  
17 use licensee is required to do that assay one more time.  
18 Would you really need three assays to be sure that the capsule  
19 contains 100 microcurie?

20 MS. HOWE: It is interesting, because we get a  
21 lot of questions from the medical use licensees, who say, "Do  
22 we really have to measure it again? It already got measured  
23 twice before."

24 CHAIRMAN SIEGEL: Twice may be enough. The  
25 question is, is where do you want the last one. I think we

1 have argued in the past in agreement with you that right  
2 before administration by the person who is going to be in real  
3 trouble when the mistake is made, is the best place for the  
4 last measurement. Whether you need three instead of two is  
5 arguable.

6 MS. SEIFERT: I think one way of handling this  
7 would be any dose that was manipulated in the nuclear pharmacy  
8 has to be assayed. To me, that would be reasonable.

9 CHAIRMAN SIEGEL: We would urge you to take a  
10 look at this one, as perhaps being overkill.

11 MS. HOWE: Okay. We'll look at item seven again.

12 CHAIRMAN SIEGEL: Okay. Dennis, so you're going  
13 to do your specifics on this by transmission to them?

14 MEMBER SWANSON: Yes.

15 CHAIRMAN SIEGEL: Are you going to mark up the  
16 document or are you going to write a letter or how are you  
17 going to do it, just out of curiosity?

18 MEMBER SWANSON: The way we have done it in the  
19 past, we have just gone through the pages and addressed them  
20 individually. Again, what I am talking about here, these tend  
21 to be mainly wording issues.

22 MR. CAMPER: In addition to Dennis' comments,  
23 Mark Rotman, Dr. Rotman has provided Dr. Siegel with an  
24 extensive set of comments, we had provided to Dr. Rotman at  
25 the same time we did you.

1           Mark had already taken a look at them once and  
2 made substantial changes. Then we provided a comment at this  
3 time we provided this to committee as well for his additional  
4 review. He does have a fair number of comments, so that the  
5 record will reflect that he has provided additional comments,  
6 and the staff will look at those as well.

7           CHAIRMAN SIEGEL: Okay. Good. Next.

8           MS. HOWE: Moving right along. We now have the  
9 draft regulatory guide for the manufacturers. We didn't have  
10 as many changes to this Reg. Guide, because the ACMUI didn't  
11 give us a lot of changes at the last meeting.

12           We did have administrative changes. As we did in  
13 the others, we added boiler plate and format changes for draft  
14 regulatory guides. We added figures for regional offices and  
15 agreement states. We've reordered some sequences in the  
16 packaging and shielding. We clarified some of the licensing.  
17 We clarified that the emphasis on this particular license is  
18 that they can not possess material under the license. And we  
19 added additional clarification as to what new licensees need  
20 to do for the possession license.

21           We have clarified the methods and procedures just  
22 for instrumentation measurement and calibration. There was  
23 some concern that we were asking for procedures to make drugs.

24           We revised the labelling section to bring it into  
25 conformance with the final rule, and also the rule

1 clarification from January 4.

2           We had inadvertently, in our diligence to remove  
3 all references to generators, removed the generator return  
4 program from the distribution license, so we put that back in,  
5 because that was an important program.

6           Do we have comments from the ACMUI?

7           CHAIRMAN SIEGEL: I do not. Dennis, do you have  
8 anything?

9           MEMBER SWANSON: No comments.

10          MEMBER NELP: No comments.

11          MS. HOWE: No comments? Okay.

12          CHAIRMAN SIEGEL: Okay. That was easy.

13          MS. HOWE: Our last draft reg guide is for 10.,  
14 is a supplement to 10.8. It became clear with the ACNP and  
15 the SNM letter that there may be a major misunderstanding and  
16 that the errata sheet may have somehow replaced all of Reg.  
17 Guide 10.8.

18                 So to really make that crystal clear, we renamed  
19 this from an errata sheet to a supplement, so it should be  
20 clear to everyone.

21                 We added additional language that said, 10.8 is  
22 still in existence. 10.8 forms the basis for most medical use  
23 radiation safety programs.

24                 So we renamed it to emphasize its relationship to  
25 Regulatory Guide 10.8. We did a major change in focus. One

1 of the comments was that we had somehow said that if you  
2 follow the manufacturers instructions, you were operating  
3 safely. That was not our assumption.

4 Our assumption was that you had 10.8 to cover  
5 basic radiation safety, if you were doing those kinds of  
6 practices. And that if you were going into preparing things  
7 other than from commercial distributors, that you might be  
8 going into additional radiation safety concerns.

9 So what we did was we changed the focus and said,  
10 licensee, 10.8 is your basis for your radiation safety  
11 program. You need to evaluate what you are doing and see if  
12 what you are doing can still be covered by the appendices and  
13 the guidance that we have provided in 10.8. If it can't be  
14 covered by that, then you need to provide us with additional  
15 information.

16 So we changed the focus so that 10.8 is clearly  
17 the basis from which you start, and you provide additional  
18 information when you go beyond 10.8. Clearly, 10.8 does not  
19 cover alphas and betas. So you will have to go beyond 10.8 if  
20 you are handling alphas and betas.

21 Then there may be other procedures that you are  
22 doing that you'll have to go beyond 10.8.

23 So the major change in focus was reclarified. We  
24 were not requiring formulation and reformulation procedures.  
25 Then we were focusing on radiation safety.

1           We revised Table 1. The revision of Table 1 will  
2 also simplify the license. We have put the focus on radiation  
3 safety.

4           There was an erroneous assumption that for some  
5 reason, we had determined 100 millicuries had some safety  
6 significance. When in fact, we were just using it as an  
7 administrative cut-off as to when we would ask for additional  
8 information. So we have taken all of that out of 10.8.

9           We made some major changes in focus, but actually  
10 in sentences, a lot of the 10.8 that we had before was still  
11 there. Do we have comments?

12           MEMBER SWANSON: Two comments. One is, as I  
13 mentioned before, if we go to page five, paragraph six, where  
14 it discusses assay and unit dosages of alpha or beta emitting  
15 radionuclides. Again, look at that wording very carefully.

16           In that, medical use licensees can receive unit  
17 dosages from a central nuclear pharmacy. Many of them also  
18 received the vials and draw them up themselves again. The  
19 wording needs to be looked at, and probably be consistent with  
20 what appears back in the model guide that I mentioned before.

21           I think the other concern I have goes to page  
22 eight. This issue on research, whether or not the research is  
23 covered by the federal policy for protection of human  
24 subjects, certainly there's nothing wrong with item number one  
25 on page seven.

1           Item number two though states that if research is  
2 not conducted, funded supported or regulated by a federal  
3 agency that's implemented the federal policy of protection of  
4 human subjects, the licensee may apply for and receive an  
5 amendment from the NRC. The licensee provides the following  
6 information.

7           If you look at A and B, the type of research  
8 isotope or isotopes involved, physical and chemical form and  
9 the activity, and be the sponsors of the research. If you  
10 require that kind of information, that means that the licensee  
11 is going to have to submit an amendment for each research  
12 project that they may get involved in.

13           I really don't think that is what you want.  
14 Maybe that's what you need to define to me. What is it that  
15 you want from these people that don't have these assurances.

16           Now Barry and I have talked about this. I can't  
17 imagine who would fit under this category, but it would seem  
18 to me that what you really want is that in fact there's an IRB  
19 in place to review this research, and that you are getting  
20 informed consent from the patient, and probably a notification  
21 that they are doing research. But do you want all this  
22 specific information?

23           MS. HOWE: In the past, we have gotten this kind  
24 of information in order to add line items to the license.  
25 That is one reason we have listed it the way we have. We are

1 open to looking at it again and following specific comments  
2 that you might have.

3           We have added specific line items to use specific  
4 isotopes and specific studies for those that are not broad  
5 scope licensees, but are limited specific. We're outside of  
6 the IND category that was automatically covered by regulation.

7           CHAIRMAN SIEGEL: I'm still confused by the term  
8 regulated by another federal agency.

9           MS. HOWE: Okay.

10          CHAIRMAN SIEGEL: Because of the fact that let me  
11 just tell you how the search works in my own broad license  
12 institution.

13          We obviously as a big academic medical center,  
14 have large amounts of research that is funded by the NIH,  
15 funded by the Department of Energy, and other sources, which  
16 is all very specifically regulated. And large amounts of  
17 research that's under FDA supervision, all of which comes  
18 under either DHHS regulations or the specific more stringent  
19 FDA regulations regarding human research. Those are no  
20 problem.

21          MS. HOWE: Those are covered by the federal  
22 policy.

23          CHAIRMAN SIEGEL: Absolutely. But in addition,  
24 there's a fair amount of research that is funded from private  
25 foundation sources, or simply done in the institution by the

1 staff of the institution, which is not conducted, funded,  
2 supported, or intrinsically regulated by another federal  
3 agency, but which is done in an institution that has told DHHS  
4 as part of its general assurances, that every bit of human  
5 research done within its walls will be in accordance with the  
6 uniform federal policy.

7           To my way of thinking, and we've talked about  
8 this three or four times before, it's still not coming across  
9 that that qualifies as regulated by another federal agency and  
10 it needs to.

11           MS. HOWE: So in that case --

12           CHAIRMAN SIEGEL: Because that's a contract with  
13 the Department of Health and Human Services.

14           MS. HOWE: Okay. So you have a contract with  
15 Health and Human Services. Do they come in and monitor those  
16 programs?

17           CHAIRMAN SIEGEL: Absolutely. DHHS inspects.  
18 They don't monitor the specific research. They inspect the  
19 activities of our IRB. They periodically look at the adequacy  
20 of informed consent.

21           FDA is obviously in and out for things that are  
22 FDA relevant.

23           MS. HOWE: But Health and Human Services has the  
24 ability to ask you for the informed consent for those things  
25 that are not funded by the federal agencies, and they have the

1 ability to ask you for the informed consents and the IRB  
2 approval for those things that are not funded or sponsored by  
3 the federal agencies?

4 CHAIRMAN SIEGEL: Yes.

5 MR. CAMPER: Does that then translate then,  
6 Barry, into the fact that if item D is presented, that negates  
7 the need for us to see items A, B, and C?

8 CHAIRMAN SIEGEL: Well, that's what I think. I  
9 must admit, my legal certainty is not absolute here.

10 But from a practical point of view, in terms of  
11 your real need, I don't think that -- if item D is a general  
12 assurance that all human research conducted within the  
13 institution follows the uniform federal policy, then the  
14 assurance has been made to DHHS, and that assurances include  
15 that the IRB review and informed consent.

16 I think you ought to stop there. I think that's  
17 enough.

18 Now whether you take it to the next step, does  
19 that mean DHHS can come in and specifically inspect the  
20 research that it didn't fund? I honestly don't know the  
21 answer to that. That's a good question, a darn good question.  
22 I don't know the answers. But I don't think it is a practical  
23 issue, because I think that the behavior of the institution  
24 given that assurance, is that the research is conducted in  
25 compliance with the rules.

1                   MEMBER SWANSON: In other words, when we do an  
2 IRB review of a protocol or if we have policies and procedures  
3 in place for IRB submission of research protocols, we don't  
4 differentiate in the institution that this research is  
5 conducted by a federal agency that blah, blah, blah. It's in  
6 general, any research study conducted on human subjects must  
7 have IRB approval and there must be an informed consent,  
8 period.

9                   MS. HOWE: I suspect we'll probably have to find  
10 out more about the general assurances, and go through our  
11 general counsel to see how they interpret things.

12                   CHAIRMAN SIEGEL: I wish you would, because  
13 actually, I see this as being a very thorny problem if really  
14 pushed to the extreme. I think what you all envisioned and  
15 what we envisioned in discussion with you, is almost a non-  
16 issue, because there are virtually no institutions where this  
17 would apply.

18                   This exception could turn out to be 40 percent of  
19 the research with byproduct materials, in which case, you are  
20 going to be buried in these issues, and they are going to be  
21 irrelevant.

22                   You are going to be spending, I think you are  
23 going to find that a large amount of the research is not  
24 conducted, funded, supported or directly regulated by one of  
25 these other federal agencies, but it is indirectly regulated

1 by way of a general DHHS assurance filed by the institution  
2 that says, everything we do on humans follows your rules.

3           The question is whether that contract does the  
4 job.

5           MS. HOWE: I think one of the things we have to  
6 deal with is that when the rule was being developed, what we  
7 were hearing from ACMUI et cetera was that almost all the  
8 research is going to be covered. Now we have it down in black  
9 and white.

10           There is a question about the general assurances.

11           CHAIRMAN SIEGEL: I can tell you, because I made  
12 the statement before, that covered to me included the general  
13 assurance, which I interpret as meaning covered. I think OGC  
14 needs to help on this one, to decide whether that, if they  
15 look at some typical DHHS assurances, and what those really  
16 involve, whether that means covered.

17           Otherwise, this is going to be a big problem. I  
18 don't think you want it to be a problem, because there's no  
19 evidence that it's causing a problem in the community. I  
20 mean, there aren't bodies out there as a result of this  
21 research that's being done without meeting this federal  
22 regulation. It's a non-issue.

23           MR. CAMPER: Well, it sounds like we need to have  
24 some dialogue with OGC and probably also with --

25           MS. HOWE: Health and Human Services.

1                   CHAIRMAN SIEGEL: You really do.

2                   MEMBER SWANSON: We've looked at this a couple  
3 times. Barry and I have had several discussions. I think we  
4 both remain confused, which kind of gives you a message as to  
5 what is going to happen with the regulated community on the  
6 issue.

7                   CHAIRMAN SIEGEL: So I'm inclined to agree with  
8 what you said first, Larry. If D is applicable, then it  
9 really becomes part of item one.

10                  Now it is possible, an institution can write, I  
11 think can write a DHHS assurance that says, what we are  
12 telling you only applies to DHHS funded research. But I don't  
13 know of any universities that do it that way.

14                  First of all, I mean, it violates the Helsinki  
15 principles and all those other good things that we really all  
16 believe in. I can't imagine why you would have two sets of  
17 books for your IRB, one that meets the federal policy and one  
18 that's different, because they all have to conform to the  
19 Helsinki Doctrine.

20                  MS. HOWE: Well, I clearly think our intent was  
21 not to stop medical research at broad scope licensees, which  
22 are the ones that are affected the most.

23                  MEMBER NELP: Virtually no journal which  
24 publishes scientific results would publish it also. I mean  
25 it's all down the line.

1           No drug company would give you money to do  
2 research, unless you --

3           CHAIRMAN SIEGEL: No, but if it's a drug company,  
4 Buzz, it's not an issue. Because then it's under FDA  
5 jurisdiction.

6           MEMBER NELP: Well, yes. If they think they are  
7 going to take the FDA. But the drug company will ask you for  
8 your credentials before they will give you the money, with the  
9 idea that if the work is successful, eventually it will have  
10 to go to the FDA. The FDA may be on the sidelines.

11          MS. JOHNSON: I'm Terry Johnson, the Radio Safety  
12 Officer at George Washington University.

13           I recently had to file a broad license  
14 application where I addressed this issue, because I was asked  
15 by the licensed reviewer to supply a lot of information about  
16 this human research. It seemed arbitrary to me and also to  
17 members of the committee at George Washington University.

18           But anyway, in looking up the regulations, I am  
19 almost, I can't recall word for word what it says, but I am  
20 very certain that it doesn't have any reference to funding.  
21 That is to say, the sections of the FDA regulations that  
22 require an IRB, and assigned the functions to an IRB, and that  
23 require an RDRC for that matter and assign the functions of an  
24 RDRC, do not make reference to the research being funded.

25           It's just when drugs are administered to human

1 beings, or in the case of the RDRC, if radioactive materials  
2 for any purpose are administered to human beings, the  
3 functions of the RDRC and or the IRB come into play. Funding  
4 has got nothing to do with it.

5 MS. HOWE: Terry, you're absolutely correct. But  
6 what we were doing is following the federal policy. The  
7 federal policy does address funding, sponsoring.

8 MS. JOHNSON: The point is, if you are going to  
9 administer it to human beings, radioactive materials would  
10 have to go through the IRB. That is determined from the  
11 regulations of the FDA.

12 MS. HOWE: As long as it is coming from FDA, then  
13 it is covered in the very first part, because FDA would be  
14 regulating it. Then that would be human research that is  
15 conducted, funded, supported or regulated by a federal agency  
16 that has adopted the federal policy. FDA has adopted the  
17 federal policy, so if it's an IND, it is covered and regulated  
18 by FDA. So that research comes under the category where you  
19 don't need an amendment.

20 It is when you aren't funded, supported,  
21 conducted or regulated by a federal agency that you have to  
22 have an amendment. So the question now is, whether things  
23 that are under a general assurance to the Department of Health  
24 and Human Services comes under --

25 CHAIRMAN SIEGEL: Constitutes regulated.

1 MS. HOWE: Comes under Part One, where you don't  
2 need an amendment, or it comes under Part Two.

3 MS. JOHNSON: The point is, is there a loophole  
4 in FDA regulations, where somehow you can put radioactive  
5 material in a person's body, without going through the RDRC or  
6 the IRB.

7 CHAIRMAN SIEGEL: Yes, absolutely. You are  
8 confused. Let me clarify.

9 The FDA, unless the study is being done  
10 specifically under the requirements of 21 CFR 361.1, which  
11 makes the RDRC regulations applicable, or unless the study is  
12 part of an IND, the FDA has no involvement in the loop  
13 whatsoever.

14 I'll give you a perfect example. I am an  
15 authorized user in a medical institution. I want to use an  
16 FDA approved drug as part of a research project that is not  
17 funded by anybody. I just want to do the research.

18 If my institution does not have an IRB, hasn't  
19 filed general assurances, then the research is not regulated  
20 by anybody, other than the Helsinki principle.

21 MS. JOHNSON: Oh, I understand that. That's for  
22 a drug that's on the market, in other words. But if you're  
23 using it for different purposes.

24 CHAIRMAN SIEGEL: I can tell you that most  
25 research with byproduct material uses FDA approved drugs in

1 the research setting. That's the component I am terribly  
2 concerned about here, because these are things that are not  
3 FDA regulated.

4 MS. HOWE: If they are done under the right  
5 criteria, they are specifically exempted from the IND by FDA.

6 CHAIRMAN SIEGEL: Correct.

7 MS. JOHNSON: I was aware of that. I thought you  
8 were talking about new formulations. Yes, existing  
9 formulations that are on the market can be used for our  
10 purposes.

11 CHAIRMAN SIEGEL: And that is what I'm concerned  
12 about. The RDRRC regulations solve the problem when it's  
13 through 361.1 research. But it's a terrible problem if you  
14 use an FDA approved drug in your institutionally funded  
15 research. You are doing it out of your own back pocket.

16 We have got to make sure these DHHS general  
17 assurances apply. You've just captured a lot of stuff you  
18 didn't want to deal with.

19 MEMBER NELP: That's a principle of bio-medical  
20 ethics, that every individual investigator has to comply with,  
21 to ethical stance and in the regulatory. I mean, in his own  
22 institution.

23 CHAIRMAN SIEGEL: Right, Buzz. But unless the  
24 institution has a legal contract with the federal government,  
25 then the NRC's concern is applicable. Do you understand what

1 I am saying?

2 MEMBER NELP: Well, I do --

3 CHAIRMAN SIEGEL: DHHS has general assurance that  
4 says, all the research in the institution will be conducted in  
5 accordance with the uniform federal policy on protection of  
6 human research subjects, which is a contract that then tells  
7 the DHHS that it has the ability to reach beyond federal  
8 funding. That is my interpretation.

9 Absent that, then the research is not otherwise  
10 regulated by the federal government. The mere fact that we  
11 are following ethical principles will not be adequate  
12 assurance to the NRC. They then want to see it for  
13 themselves.

14 MEMBER NELP: Well, I think it's overkill,  
15 frankly.

16 CHAIRMAN SIEGEL: It's overkill unless this  
17 general assurance --

18 MEMBER NELP: I mean, everyone has an IRB that  
19 will certify that it's taking the interest of the experimental  
20 patient in that institution under full consideration.

21 MS. HOWE: I think you are right when you talk  
22 about institutions.

23 MEMBER NELP: Those are things that include  
24 informed consent and so forth.

25 MS. HOWE: But we also have private practice and

1 smaller group practices that want to participate in research  
2 projects that are not in any way funded or connected with  
3 federal agencies.

4 I can think of one example that I heard about.  
5 That was where they wanted to determine whether for airplane  
6 pilots, if you have a heart condition then you may be  
7 grounded. How do you determine whether the airplane pilot  
8 really can fly the airplane, even though they have the heart  
9 condition.

10 Well, they wanted to do a research program where  
11 they put the pilots that were grounded through a flight  
12 simulator, and then do a thallium stress test afterwards to  
13 see what their stress level was before and after.

14 Now, that was not regulated by any federal  
15 policy. It was a small. It was a physician that wanted to do  
16 this, because he was interested in flying.

17 MEMBER NELP: But who did he get, he must have  
18 had some mechanism to inform the patient of the experimental  
19 procedures, get the patient's consent.

20 MS. HOWE: He should have. But he didn't have  
21 any formalized mechanism.

22 MEMBER SWANSON: And I think that's the question  
23 the NRC is asking, actually is what mechanism is in place to  
24 ensure that that happens. At least I think that's what your  
25 true interest is in this issue. Okay?

1           MEMBER NELP: You certainly don't want to be in a  
2 position to approve his medical research, if he doesn't have  
3 any other source of approval.

4           CHAIRMAN SIEGEL: That's not true. That is  
5 exactly what it says.

6           MEMBER NELP: Why would you want to do that?

7           CHAIRMAN SIEGEL: It says that absent any other  
8 way of getting this approved by the standard mechanism, it is  
9 going to require a license amendment.

10          MS. HOWE: And in the license amendment, we will  
11 at the minimum require informed consent and institutional  
12 review board approval.

13          CHAIRMAN SIEGEL: But do you see the circular  
14 problem? I mean if here's a guy in a private practice who  
15 isn't going to be able to get an institutional review board  
16 approval because most IRBs are unwilling to accept the  
17 liability of approving the research of someone who is not  
18 under their institutional purview, and in fact, DHHS  
19 assurances say that in addition to approving the research, you  
20 monitor the research.

21                 If you can't have any regulatory control over the  
22 investigator, you can't monitor the research. So it's  
23 circular.

24                 However, once again, and I know you understand,  
25 if this issue of research that isn't funded isn't also

1 captured by the general assurance, then you have got a very  
2 large issue in institutions, that you are going to end up  
3 requiring license amendments for, that you don't want to be  
4 buried under, and we don't want to have to provide you with,  
5 because they are unnecessary.

6           MEMBER NELP: As I understand your argument, if I  
7 am the guy, I am a pilot and I'm a cardiologist. I want to  
8 test my pilots in a flight simulator and I'm in private  
9 practice. I want to use systamivy (phonetic) because it's  
10 under the NRC, I can apply with the NRC and they can approve  
11 my human research.

12           CHAIRMAN SIEGEL: If you also document that you  
13 are going to get informed consent, and that an IRB has  
14 reviewed and approved your research protocol and your informed  
15 consent document, which I submit you probably won't be able to  
16 do.

17           MS. HOWE: And we may not exactly approve it, but  
18 we'll give you a license condition that permits you to use the  
19 material in that manner.

20           MR. CAMPER: That's right. We'll permit your use  
21 of the material. The conduct of that research, provided  
22 certain criteria.

23           MEMBER NELP: Under certain circumstances, but  
24 you won't approve the circumstances? If I won't submit my  
25 protocol to you, and you will approve my protocol. I can go

1 out and put together a review board --

2 MEMBER SWANSON: Yes. You will.

3 CHAIRMAN SIEGEL: No. You can't.

4 MS. HOWE: An institutional review board doesn't  
5 have to be approved by the FDA. The only one that is approved  
6 by the FDA is the RDRC.

7 MEMBER NELP: Many small hospitals put together  
8 human subjects review boards.

9 MS. HOWE: The only ones that are registered with  
10 FDA are the RDRC. Are you registered with the institutional  
11 review boards?

12 MEMBER NELP: If I had -- (indiscernible) --  
13 practice in a hospital, I could go to that review board. So I  
14 suppose I could do it. It's a little unusual.

15 But the point is, I don't think the NRC wants to  
16 get in this issue of approving the ethical aspects.

17 CHAIRMAN SIEGEL: Well, I think you've also got  
18 yourself in another legal issue here, which is, an  
19 institutional review board is a term defined in the uniform  
20 federal policy.

21 Therefore, if you have an institutional review  
22 board that hasn't filed assurances with any federal agency, is  
23 it an institutional review board?

24 MS. HOWE: I don't believe it has to file  
25 assurances. There is a definition for an institutional review

1 board in the federal policy.

2 MEMBER NELP: It does to the federal government.  
3 If I put in an NIH grant, I have a check sheet. One of the  
4 questions, in my university, I have this IRB, and fully  
5 complies with all the federal regulations of IRBs.

6 MS. HOWE: It says an IRB meets an institutional  
7 review board established in accordance with, and for the  
8 purposes expressed in this policy. Then it talks about the  
9 approval.

10 CHAIRMAN SIEGEL: And who do you tell? I mean,  
11 you must tell someone that you have an IRB. Right?

12 MS. HOWE: No. FDA is only where it's FDA. You  
13 can have other institutional review boards that don't have to  
14 do anything with FDA.

15 MEMBER NELP: Correct. The IRB, this concept  
16 came from the National Institutes of Health.

17 CHAIRMAN SIEGEL: No, it didn't.

18 MEMBER NELP: Yes, originally.

19 CHAIRMAN SIEGEL: Well, you can believe that if  
20 you wish. It came from first --

21 MS. HOWE: It came from the Science and  
22 Technology.

23 CHAIRMAN SIEGEL: First out of the end of World  
24 War II, and then second out of the Helsinki Declaration that  
25 protection of human subjects should be assured.

1           But I guess, Donna-Beth, I'm not sure that you  
2 can have something that you call an IRB that is free-standing  
3 and completely independent of the federal government.

4           MEMBER NELP: You can have a human subjects  
5 committee in a community hospital. I don't know if they call  
6 it an IRB. They probably don't have any connection with  
7 federal funding.

8           CHAIRMAN SIEGEL: All right.

9           MS. HOWE: I think you can, because I think  
10 institutional review board is like a generic word. The ones  
11 you normally think about are associated with an FDA or some  
12 other federal agency. But I think you can have one that  
13 isn't.

14          MEMBER NELP: I will tell you that this format  
15 for the IRB was generated from the things you have said. But  
16 it was the National Institute of Health, was told we will not,  
17 you can not give out any further money for human research  
18 until you follow this policy.

19          CHAIRMAN SIEGEL: Got it.

20          MEMBER NELP: It had nothing to do with the FDA.  
21 It came from the National Institutes of Health. They said,  
22 unless you can assure us now, since there's been so much  
23 attention to the ethical aspects of human research, unless you  
24 can tell us that you are going to follow these ethical  
25 guidelines, we won't give you any money.

1           Once you say you have this in place, that's all  
2 we want.

3           CHAIRMAN SIEGEL: Got it.

4           MEMBER NELP: You are responsible for governing  
5 yourselves. We don't want anything to do with it. You just  
6 have to ensure us that you're going to do it. That is the  
7 IRB. That is accepted by all the federal agencies.

8           But I would venture to say --

9           CHAIRMAN SIEGEL: Okay. Enough said.

10          MEMBER NELP: If you go to Twin Lakes Minnesota  
11 Community Hospital, they'll have a human subjects committee,  
12 they'll put a human subjects committee together for you, but  
13 they may not have a "IRB."

14          CHAIRMAN SIEGEL: All right. You've beat this  
15 one to death. But I think a tenth of the discussion was clear  
16 about this item D as a potential problem.

17          Any other concerns on this?

18          Was that the end of your slides?

19          MS. HOWE: Yes. It is.

20          CHAIRMAN SIEGEL: All right. Good. Thanks,  
21 Donna-Beth.

22          Bob Ayres.

23          MR. AYRES: We talked to about this a little bit  
24 before, so I'll keep it short.

25          Several months ago, our researchers from the

1 National Institute of Standards and Technology came to us. We  
2 held a meeting. They presented some of their latest data on  
3 their calibration measurements on these devices. The next  
4 slide simply summarizes it.

5           There are some unique things about it. There are  
6 eight known manufacturers of these devices, but only one still  
7 in the business. So the bulk of them are orphan devices,  
8 which gets into a little bit different space.

9           The NRC's position has been lately up to this  
10 point, that its the manufacturer's responsibility to take care  
11 of calibrations and so forth. But here we have an instance  
12 where we have a number of orphan devices.

13           The results that they presented was that they  
14 found the range in agreement or disagreement between the  
15 devices that they had been doing calibrations for customers,  
16 ranged from 55 percent less than the national standard, which  
17 they by law are, to 61 percent greater.

18           If you calculate that in a range from the highest  
19 to the lowest value, in other words, if one physician had one  
20 each of the two devices, there would be 136 percent difference  
21 between those, a factor of, about a factor of three.

22           If you had your nominal value was 100  
23 millicuries, that would say one is less than 50, and the other  
24 is 150. I did my math wrong. But that's about a range of  
25 three between the highest and lowest values. For four of the

1 manufacturers, they were within 25 percent of the NIST value.

2           One of the other problems is some of the  
3 manufacturers, particularly four of them, NIST had a very  
4 small number of measurements, five or less, which means that  
5 they didn't have very good statistics on the calibration  
6 accuracy for those devices, for those particular  
7 manufacturers.

8           We discussed this, and we are proposing to issue  
9 a contract with NIST. This is not in place yet, and in fact,  
10 there are several administrative procedures that we need to go  
11 through, in particular, an OMB clearance. Even though it's a  
12 voluntary program, we still have to get clearance to do a  
13 survey. We are proposing to do this in the next fiscal year,  
14 fiscal '96.

15           What we want NIST to do for us is perform some  
16 additional measurement comparisons so they can improve the  
17 statistical validity of the data. They are going to do this  
18 by sending out an invitation letter to our licensees and  
19 perhaps agreement state licensees, asking if they wish to  
20 volunteer in the program.

21           As part of that, send a survey along asking them  
22 if they participate, to provide certain information which of  
23 course describes their source, manufacturer, serial number, et  
24 cetera.

25           The way they are going to do the measurements, is

1 they are sending a radiochromatic film which they have  
2 developed, calibration techniques that they can accurately  
3 determine from densitometer measurements, the radiation  
4 exposure film, have the participant expose the film with their  
5 eye applicator, and return it.

6           They will ask information about how the  
7 participant did that. They will also survey them on their  
8 treatment protocols as it relates to the calibration device.

9           Also, the participants' identity will not be  
10 provided to us under the contract. It's just a set of  
11 measurement data and a survey of the result.

12           We're going to also ask them to do a statistical  
13 analysis of the measurement data, which they have a lot of  
14 expertise in, and particularly, compare the extent and  
15 magnitude of the existing calibration error as it pertains to  
16 different source vendors. Remember, all these are essentially  
17 orphan devices.

18           Then last but not least, is go out and contract  
19 the services of some medical experts who are routinely doing a  
20 considerable amount of work, considered experts in the field  
21 on using these devices, and ask them to assess the medical  
22 significance of what they have found, in terms of measurement  
23 error data. That's it.

24           MEMBER NELP: Could I ask one question.

25           MR. AYRES: Yes.

1                   MEMBER NELP: And no one else gets to ask it.  
2 How many of these devices are in use in the practice of  
3 medicine in the United States today?

4                   MR. AYRES: The estimate is about 300. We're  
5 also estimating that we have, I got, looking at our license  
6 data base, 56 identifiable licenses. But that does not  
7 include broad-scope. So we don't know how many are out there  
8 in our broad-scope licensees. But certainly, probably a  
9 comparable number are medical broad scope.

10                  MEMBER FLYNN: I can tell you, there's a lot of  
11 art behind how the treatment is actually given also. Even if  
12 you could calibrate all the devices in the country the exact  
13 same way, whether it's film or extrapolation chambers,  
14 however, some authorized users are holding the devise  
15 stationary for these small pterygia in the eye. Some are  
16 rotating them over a surface. Some are using local anesthetic  
17 in the eye. Some may use a little sterile water.

18                  Because the dose falls off so rapidly, if you  
19 have the contact surface, the active surface, sore surface, if  
20 you are rotating it, making small concentric circles, as some  
21 do, you hold it stationary, there's a lot of difference in the  
22 actual dose that is delivered.

23                  I think besides secondary infections and  
24 scleromacia and lens opacification, there's a wide range in  
25 complications that are reported.

1           It doesn't seem to parlay that well with dose.  
2 This may be one of the reasons. But also, it's the technique.

3           MR. AYRES: Yes. The other thing is in  
4 regulatory space, we've got a problem. These devices come  
5 under a quality management program and a plus or minus 20  
6 percent rule. We are aware of the calibration errors.  
7 Something needs to be fixed, either the calibrations or our  
8 regulations.

9           So what we would like to do is find out, get some  
10 advice on where we should address the problem.

11           CHAIRMAN SIEGEL: How are written directives for  
12 strontium 90 eye applicators being written at the present  
13 time?

14           MEMBER FLYNN: Time.

15           CHAIRMAN SIEGEL: Hold device against eye for one  
16 minute.

17           MEMBER FLYNN: Ninety seconds, 30 seconds,  
18 depends on the --

19           CHAIRMAN SIEGEL: So therefore, the 20 percent  
20 error is if you get the time wrong. Correct?

21           MEMBER FLYNN: That's how the misadministration  
22 have occurred primarily, by time. Someone forgot to stop the  
23 stop watch.

24           MR. AYRES: The place where it could relate to  
25 dose, would be a physician who trained on a device that was at

1 one end of the scale, and then started using a device at the  
2 other end of the scale. His first two or three treatments  
3 might have to require, might be some problem until he  
4 readjusted for the different exposure.

5 CHAIRMAN SIEGEL: But the written directive would  
6 have been right. He would have said 90 seconds, and then he  
7 would have found out that it wasn't a big enough dose, and he  
8 would have gone back, and found it again. But he would not  
9 have violated the written directive.

10 MR. AYRES: The actually written directive, I may  
11 stand corrected on this. I believe it's got to be in the  
12 terms of the dose, and then translated into time.

13 CHAIRMAN SIEGEL: That's right.

14 MR. CAMPER: Actually strontium 90, I don't have  
15 the follow-up document that was sent out, but if you look down  
16 through there, you won't find strontium 90 specifically  
17 identified. That's why we sent up the follow-up document.

18 MR. AYRES: Yes. That's a problem. It was not  
19 thought of when the quality management rule was originally  
20 issued. Then it was added on. Then this problem comes to  
21 light. We need to come to some sort of closure.

22 CHAIRMAN SIEGEL: I actually think it's very good  
23 that you are doing this, even though it's a relatively small  
24 problem. I think we pointed out when we discussed this the  
25 last time, 18 months ago or thereabouts, that we wanted to

1 make sure that in the process of getting these things better  
2 calibrated, we didn't screw up all these empirically  
3 determined protocols that seemed to be working. It's a loose  
4 end that needs addressing.

5 CHAIRMAN SIEGEL: Jeff, do you have a comment?

6 MR. WILLIAMSON: Yes. The comment was that I  
7 think, at least in my experience, absorbed dose in some form  
8 or another is usually the practical prescription end point. I  
9 mean, one divides the dose rate into that and calculates the  
10 time.

11 It's probably very likely that the 136 percent  
12 might overstate the problem to some extent. There are two  
13 broad families of calibration standards. The Amersham  
14 standards, the NIST standard. It looks like currently, they  
15 may be about 30 percent apart.

16 MR. AYRES: No longer. That has been corrected  
17 to closer. But these are some of the more orphan devices. My  
18 math is narrowed to 300 percent spread. I did not calculate  
19 it correctly between the highest and lowest. But it is a  
20 loose end that needs addressing.

21 CHAIRMAN SIEGEL: Dennis.

22 MEMBER SWANSON: You are contracting with NIST to  
23 evaluate this. Have you taken a look at how much it would  
24 cost you more to simply contract with NIST to have all these  
25 people sent in to recalibrate it against the NIST standard?

1                   MR. AYRES: I'm sure -- no, we haven't, because  
2 I'm sure it would be substantially more. Their calibration  
3 technique is a lot more exacting than this film survey.

4                   Of course, any of the results they get from this  
5 will be provided back to the people that participate, so they  
6 can make an evaluation, whether they want to do something  
7 about it themselves.

8                   CHAIRMAN SIEGEL: Bob, thank you. Sorry we  
9 delayed you so long, but we'll let you take the day off  
10 tomorrow after all.

11                   I guess we'll do dose ranges tomorrow. Does  
12 anybody strongly object and feel the need to conclude that  
13 tonight?

14                   MR. TAYLOR: When do you want to do that?

15                   CHAIRMAN SIEGEL: Let's see. Let's do it first  
16 thing. Is that okay? Bright and early. That will be a nice  
17 thing to start our day off.

18                   We are adjourned for the day.

19                   (Whereupon, at 5:37 p.m. the proceedings went off  
20 the record.)

21

22

23

24

25

