

Official Transcript of Proceedings

NUCLEAR REGULATORY COMMISSION

Title: Advisory Committee on the
 Medical Uses of Isotopes

Docket Number: (not applicable)

Location:
 Rockville, Maryland

Date: Friday, May 12, 1995

Work Order No.: NRC-214

Pages 373-568

**1323 Rhode Island Avenue, N.W.
Washington, D.C. 20005
(202) 234-4433**

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

UNITED STATES OF AMERICA
NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON MEDICAL USES OF ISOTOPES
(ACMUI)

+ + + + +

FRIDAY, MAY 12, 1995

+ + + + +

ROCKVILLE, MARYLAND

+ + + + +

The Advisory Committee met at the Nuclear
Regulatory Commission, Two White Flint North, 11565 Rockville
Pike, Room T2B3, at 8:22 a.m., Barry A. Siegel, Chairman,
presiding.

MEMBERS PRESENT:

BARRY A. SIEGEL, M.D., Chairman

DANIEL F. FLYNN, M.D., Member

JOHN GRAHAM, Member

WIL B. NELP, M.D., Member

ROBERT M. QUILLEN, Member

JUDITH ANNE STITT, M.D., Member

DENNIS SWANSON, M.S., BCNP, Member

LOUIS WAGNER, Ph.D, Member

1 ACMUI STAFF PRESENT:

2 Torre Taylor

3

4 ALSO PRESENT:

5 Janet Schlueter

6 Sally Merchant

7 Patricia Rathbun

8 John E. Glenn

9 Mark Rotman

10 Patricia Holahan

11 Chairman Ivan Selin

12 Commissioner Gail de Planque

13 Myron Pollycove

14 Steve McGuire

15 Stewart Schneider

16 Larry W. Camper

17 Josephine M. Piccone

18

19

20

21

22

23

24

25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

I N D E X

	<u>AGENDA ITEM</u>	<u>PAGE</u>
	Discussion of Dose Ranges in Written Directives	376
	Discussion of Revisions to Regulatory Guide 10.8	416
	Status Report on Implementation of the Quality Management Plan Rule	479
	Update on the National Academy of Sciences Study	488
	Summary of "Business Process Re-engineering"	496
	Update on Rulemaking	509
	Administrative Matters	559

P R O C E E D I N G S

1

2

3

(8:03 a.m.)

4

5

6

7

8

CHAIRMAN SIEGEL: We are back on the record, and we are starting this morning's meeting. The first item of business is one of the last items of business from yesterday afternoon, a discussion of dose ranges in written directives. Larry?

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

MR. CAMPER: Good morning. Thank you, Barry.

Well, continuing our discussion on

noncontroversial topics, do we want to go through any

questions on T&E before we get into this?

CHAIRMAN SIEGEL: Sure.

(Slide)

MR. CAMPER: Sure. Now, seriously we do want to

talk this morning about the use of or, more correctly, I

should say the inability to use a range on a written

directive.

Next slide.

(Slide)

MR. CAMPER: We published an article in the

September-October '94 issue of the NMSS newsletter after we

learned from inspection findings that licensees in some cases

were using a range on written directives. Primarily they were

1 using them on written directives associated with the use of
2 sodium iodide, but in some cases also in teletherapy.

3 The article was fairly short and sweet. We
4 attempted to provide clarification. And, in essence, in that
5 article we said that you cannot use a range in a written
6 directive with regards to a dose nor can you use a range with
7 regards to overall treatment period in teletherapy.

8 Well, following that we got some inquiries,
9 including a letter from our old colleague Dr. Marcus, who was
10 fairly critical of the agency's position. And then there
11 started some telephone calls as well.

12 As a result of that, we then prepared another
13 article in the March '95-April '95 issue in which we attempted
14 to be more clear as to our position, the rationale behind that
15 position, and how we thought the community might deal with
16 this problem or this issue. Following that, there were more
17 phone calls, primarily from individuals involved with the use
18 of sodium iodide, and we ended up with about 30 telephone
19 inquires.

20 The biggest problem expressed from the callers
21 was that the idea of not being able to specify a range for the
22 use of sodium iodide on a written directive was very
23 problematic for them because they had no way of knowing
24 exactly how much material they would get from the
25 radiopharmacy. If I order 30 millicuries, for example, I

1 might get 28 and a half. I might get 32. And, therefore,
2 this idea that I would put down one number they felt was
3 problematic.

4 The bottom line that we expressed in those
5 newsletter articles and in those telephone conversations was
6 that you could not use a dose or dosage range. And, as I
7 said, there was a considerable concern about that, at least in
8 the 30 callers.

9 Next slide.

10 (Slide)

11 MR. CAMPER: All right. Now, the impact of this,
12 then, we think is the following. We think that from a therapy
13 standpoint it's a minimal impact because typically authorized
14 users are actively involved in therapy applications. They are
15 there. They help in the administration of along with the
16 technologists. They're actively involved. And the ability,
17 then, to sign the written directive, to have the amount
18 specified prior to administration doesn't impose a substantial
19 burden.

20 With regards to diagnostic of greater than 30
21 microcuries, you may require that they would review their
22 clinical procedures manual, put some steps in the clinical
23 procedures manual; for example, to say to their technologist
24 staff that the dose for a clinical procedure is X microcuries
25 for uptake and scan and that this dose may be administered

1 provided it doesn't exceed more than 10 percent of the
2 assigned value.

3 We also made it clear that this was not a new
4 requirement, that it was a clarification. It's bad enough
5 that it's not a new requirement. But it was a clarification
6 of the language in the rule. This was nothing new, nothing
7 different.

8 Next slide.

9 (Slide)

10 MR. CAMPER: Now, why did we take this posture?
11 Well, once we learned of this problem, we conferred with the
12 Office of General Counsel and determined through interactions
13 with them that before we prepared the first article and our
14 rationale from the staff perspective and in our discussions
15 with General Counsel was that it is the responsibility of the
16 authorized user to clearly state the amount of activity or the
17 dose of radiation that is to be administered to the patient.

18 In many institutions, the authorized user is not
19 present at the time of administration of greater than 30
20 microcuries of sodium iodide, even up to and including those
21 cases where whole body scans for metastases are performed
22 using as much as 10 millicuries of iodine. Now, I recognize
23 that in many of your institutions, you may be there, but in
24 some cases they're not there. So that was a problem, we
25 thought.

1 And then, finally, only the authorized user
2 should decide the actual amount to be administered to the
3 technologist. Now, this wasn't an issue of the amount to be
4 administered is 30.4 millicuries. It's the fact that the
5 authorized user knows that 30 millicuries or 30.4 millicuries
6 are being administered versus having a range in which the
7 technologist ultimately is in the final position to know how
8 much material is being administered to the patient. So we
9 thought that was problematical.

10 Next slide.

11 (Slide)

12 MR. CAMPER: Now some operating parameters come
13 to mind. We recognize that when you order a dose of sodium
14 iodide, you don't know in advance exactly how much material
15 you're going to get.

16 But that doesn't preclude the authorized user
17 from requesting the sodium iodide dose in a range. You could
18 tell the radiopharmacy. Your chief technologist or you could
19 call up and say "We want 25 to 30 millicuries of sodium
20 iodide."

21 Then once you receive the material, it needs to
22 be assayed prior to administration, obviously. There's a
23 requirement in 35.53 that it be assayed prior to
24 administration.

1 Once you receive the material, you become aware
2 of what the actual amount is. You can then modify the written
3 directive at that point. Then, of course, the authorized user
4 would sign and date prior to the actual administration of the
5 material.

6 Next slide.

7 (Slide)

8 MR. CAMPER: Now, some questions to pose to the
9 Committee. And then I'll follow those questions in our
10 discussion with some possible solutions or options for dealing
11 with this issue.

12 The first question is: Does this position pose a
13 problem for the nuclear medicine practitioner?

14 MEMBER NELP: I've never really heard of this
15 being a problem. And I guess it surfaced without my hearing
16 about it in the nuclear medicine community.

17 MR. CAMPER: When you say it's not a problem --

18 MEMBER NELP: I mean, I would never order a
19 range. I mean, for therapy we just don't. I've never
20 operated that way. And I don't know of anybody else who has.
21 But where are these people practicing that you're referring to
22 specifically?

23 MR. CAMPER: Well, Sally, you took most of the
24 phone calls. Do you have any idea? I don't think we want to

1 mention the institutions. I would say that it comes from
2 large and small institutions.

3 CHAIRMAN SIEGEL: I can give some examples. In
4 fact, I can give some of the examples that Carol posed, the
5 sum of which are real, but I see it as a small problem.

6 An example is you see a patient today. And you
7 work in an environment where there's no material on hand. And
8 ordering material takes a long time because of the budgetary
9 process in a particularly strapped institution. And you order
10 the material to be delivered two days from now because that's
11 how long it takes to get the purchase order issued. And you
12 order 12 millicuries.

13 The patient then doesn't show up for an
14 additional three days because the patient gets confused. And
15 the physician is not on site. And now the dose is only 10
16 millicuries. It's outside of the 10 percent because it's
17 decayed down. And the technologist is stuck and has to track
18 down the physician, get a telephone or fax authorization for
19 the new written directive. And it's sort of inconvenient. So
20 that's one kind of a potential practical issue that will occur
21 almost never, but could inconvenience some people. That's
22 number one.

23 Number two is the issue of if I know what I want
24 to do medically and I don't care what the actual amount is

1 within this medical range, why are you troubling me for
2 another piece of paper? And I guess I understand that.

3 The truth of the matter is -- and Carol really is
4 right -- that I may see a patient with hyperthyroidism and I
5 may say I want to give that patient 8.9 millicuries of I-131.
6 Buzz may see that patient, and he may say "I want to give that
7 patient 15 millicuries because that's the way I treat the
8 patient." And Dennis' doctor might see that patient and say
9 "I'm going to use five millicuries."

10 What Carol is saying is given the biological
11 variability of the thyroid gland in therapy, given the fact
12 that there is such a wide range of practices, if she wants to
13 write a prescription that says 7 to 12 millicuries, it's
14 because she honestly believes that it doesn't make a darn bit
15 of difference whether the patient gets 7 millicuries or 12
16 millicuries. And she's medically right in saying that.

17 Now, is that a practical problem? Is she tilting
18 at windmills, as she often does? I don't know the answer, but
19 I see her point.

20 I also thought yesterday as we listened to the
21 brachytherapy discussion that the prostate implant stuff is a
22 pretty good example where it would be practical to be able to
23 say what I hope to achieve is somewhere between 12,000 and
24 16,000 rads and if I'm lucky, when I get all those seeds in

1 the right place, I'll do so and it would be nice if I could
2 write my prescription that way.

3 I can't tell if this is a --

4 MEMBER STITT: I have a comment on that, too,
5 Barry, because when I saw it on the agenda, I actually thought
6 it was referring to brachytherapy. It's been traditional to
7 talk about low-dose rate, which has been done since late
8 1800s.

9 I want to give something between 20 and 30
10 centigray to a particular area to treat cervix cancer, and
11 that's standard of practice. But it's not the way the laws
12 are written that we have to deal with.

13 MR. CAMPER: Well, let me pick up on that for a
14 minute. You just said something, Dr. Stitt, that's very
15 interesting. It's our third question. It's probably amongst
16 my list of questions, the one that I consider to be the most
17 important. That is: If, in fact, it is the standard of
18 practice to order in a range; for example, in your case
19 brachytherapy, and if it were a standard of practice within
20 the use of sodium iodide -- I'm not sure I'm getting that
21 signal, but I may be hearing the signal certainly in the world
22 of brachytherapy that it is, in fact, the standard of
23 practice.

24 It concerns me immensely, I must tell you, if our
25 regulatory requirement or the interpretation of that

1 regulatory requirement would be contrary to the standard of
2 practice.

3 And if that's the case -- and I'd like some
4 indication from this Committee if it is because if it's the
5 case when I explore some of the options in a few minutes, I'd
6 like some indication from you at this point if it is standard
7 of practice.

8 Then what we might do under the options because I
9 find -- first of all, I find the arguments you've made, Dr.
10 Siegel, compelling arguments. I think they don't occur very
11 often. I think most times people can deal with this in a
12 fairly straightforward fashion. But those are compelling
13 arguments.

14 But, most importantly, if it's contrary to the
15 standard of practice, that's a significant problem, I would
16 suggest.

17 MEMBER NELP: And I think there's some confusion,
18 too, from Barry's argument and your argument. We're talking
19 about a dose range, not a millicurie range or not a -- I mean,
20 you implant X amount of implanted material radiation expecting
21 to get a dose in this range. I give a patient 10 millicuries
22 of radioiodine, and I estimate this will give 10 to 12
23 thousand rads, this particular dose.

24 But I must say I've never heard anyone say "Well,
25 I'd give them somewhere between 7 and 12 millicuries. It

1 doesn't make any difference to me." That seems to be very,
2 very unusual.

3 MR. CAMPER: Yes. The practice of the two
4 modalities I think is --

5 MEMBER NELP: And you wouldn't say "Well, I'll
6 put 5 to 10 millicuries of these seeds in there" because
7 you'll tell exactly the kind of seeds you want in there and
8 anticipate a range in dose, --

9 CHAIRMAN SIEGEL: Buzz, let me ask you a
10 question, though.

11 MEMBER NELP: -- I believe. Isn't that correct?

12 MR. CAMPER: That's correct

13 CHAIRMAN SIEGEL: Let me ask a practical
14 question. You've got a patient in your clinic. Let's just
15 assume for a moment that you don't keep I-131 in stock like I
16 do. So it's never a problem.

17 You've got a patient in your clinic who's got to
18 get out of there in a hurry. And you call Syncore up, and you
19 say "I've got a patient. I want to give the patient 10
20 millicuries," and Syncore says "I've got 8."

21 You don't say "Okay. I'm not going to treat the
22 patient." You take the eight.

23 MEMBER NELP: I may not. I may or may not, --

24 CHAIRMAN SIEGEL: No, you may not.

25 MEMBER NELP: -- depending on the situation.

1 CHAIRMAN SIEGEL: I mean, I really see this as a
2 small issue. But I do think that this is one where the
3 regulatory posture is probably unnecessarily constraining the
4 reasonable practice of medicine because the fact that Dr.
5 Marcus wants to write a range doesn't mean that Dr. Marcus is
6 saying that the technology is picking the dose. What Dr.
7 Marcus is saying is "I couldn't care less whether it's 6
8 millicuries or 12 millicuries." And that's her medical
9 judgment. That really is her prescription.

10 Would I do it that way? No. Do I think it's the
11 standard of practice? I agree with Buzz. I think people ask
12 for 10. And if they find out it's only eight, then they'll
13 write a written directive.

14 I do it a different way. I mean, I write the
15 order, which you can view as the written directive, but we
16 have a subsequent part of a flow sheet that we go through.
17 And it's really the bottom line that makes this is ready to go
18 in the patient. And if it turns out it's different than what
19 I originally wanted, I've basically already gone through the
20 change procedure.

21 But if I were the only physician in a small
22 clinic in Montana and today I'm at the other hospital 75 miles
23 away, it's pretty inconvenient if I get a different dose. It
24 means I've got to fax and they've got track me down and blah
25 blah blah.

1 MEMBER NELP: I have a comment. I might disagree
2 with Dr. Stitt. Maybe she's misinterpreting what I think she
3 meant to say. But I think in terms of the biological dose
4 rate, she's rate. I mean, for low-dose brachytherapy, we know
5 that a certain range -- we're aiming for a certain range.

6 When we write the prescription in low-dose
7 brachytherapy, I don't know of anyone who is writing a range.
8 Usually it's a total dose to Point A. It doesn't matter how
9 you get there, whether it's 45 centigray per hour or 55
10 centigray per hour. But the prescription is a dose, not a
11 dose rate.

12 And I don't know anyone in brachytherapy who is
13 writing a dose range as a total dose to a certain area except
14 for the misadministration in western Massachusetts where a
15 strontium applicator treatment was written as a very large
16 dose range, which I thought was not appropriate. But there
17 was also misadministration associated with it.

18 MEMBER STITT: Let me clarify that. In typical
19 low-dose rate and brachytherapy -- and, in fact, there's even
20 something that we had in our pile of dead trees yesterday that
21 described it well, where you have to make something, a
22 specific comment, about your prescription but you actually do
23 it as you get toward the end of treatment so that you might
24 put applicators in place, look at the plan, see how the
25 patient's doing. And you've got somewhere between, say, 25 or

1 even 20 centigray or 30 centigray leeway that you want to
2 carry out during that treatment.

3 So at some point you're going to make a statement
4 "I'm going to make a treatment decision of this particular
5 dose," but there is quite a range of acceptable within that.
6 And depending on how the patient is doing, the time of day, if
7 they need to get the train to get home, that appropriate
8 therapy could be anywhere within that range. So you will come
9 up with the final decision.

10 MEMBER NELP: Oh, I agree with you. What's
11 medically appropriate, there's a range, but you're going to
12 have to prescribe. Eventually you're going to have to
13 prescribe an actual dose before --

14 MEMBER STITT: The point is that there's quite a
15 leeway. But if you've made a statement and it's in print, you
16 could end up with a misadministration because of the way the
17 laws are written or the regulations are written. And that
18 doesn't have anything to do with --

19 MEMBER NELP: You can change -- the quality
20 management rule allows you to change the prescription any time
21 during the procedure prior to the termination of the
22 procedure. I was involved in the discussions originally
23 before the QM rule.

24 We wanted to make sure that was the case because
25 some patients become medically unstable during the implant,

1 not tolerating the implant very well. You want to be able to
2 change the prescription as necessary.

3 MR. CAMPER: You certainly may modify the written
4 directive.

5 MEMBER FLYNN: You may modify the written
6 directive prior to completion of the --

7 MR. CAMPER: Prior to completion. That's
8 correct.

9 MEMBER STITT: I just want to put one little pot
10 shot in there. I agree with you, and that's what we're told.
11 But I do as you do and the rest of us do, consultations and on
12 several of them I have done this year, the aura that the
13 doctor did something a little bit no-no because they made some
14 changes in writing to try to avoid a misadministration.

15 And, as I've dealt with different regions, it was
16 very clear in their minds that they were trying to catch these
17 people doing something that was wrong. And there's a real
18 adversarial type of relationship there.

19 CHAIRMAN SIEGEL: The whole goal of the quality
20 management program and of the written directive is to provide
21 a high level of confidence that the medical wishes of the
22 authorized user are carried out by the individuals who are
23 supervised by the authorized user. Correct?

24 MR. CAMPER: Absolutely.

1 CHAIRMAN SIEGEL: That's the philosophy. And I
2 guess the real issue is: If it's the authorized user's wish
3 for the patient to have 6 to 12 millicuries of I-131 and the
4 dose's within that range, why is that not a valid written
5 directive in a purely legalistic sense, irrespective of
6 whether it's the standard of practice?

7 Mark has a comment.

8 DR. ROTMAN: For the record, Mark Rotman.

9 Three things I'd like to bring up. First of all,
10 as a practicing pharmacist, both in the traditional world and
11 in the world of radioactive drugs, I have filled radioactive
12 drug prescriptions that were written in ranges going all the
13 way back to the middle '70s, when I worked at the University
14 of Washington Hospital Centers and clinics for Dave Allen, who
15 worked for Wil Nelp. So it happened there back in the '70s.
16 It still happens today. It happens in my practice of
17 radiolabeling monoclonal antibodies.

18 Because of the nature of radioactive drugs, the
19 nature of the difference in assay accuracies of dose
20 calibrators, it's virtually impossible to pin down an exact
21 number.

22 Now, does it really make a difference? Because
23 my understanding is the written directive is not a
24 prescription. The written directive is an NRC-created term.
25 And the prescription is something completely different that is

1 a legal order from the physician to the pharmacist to fill a
2 drug.

3 So if the prescription has a range, so be it.
4 The final administered dose to the patient is the written
5 directive. Now, if you've somehow created an additional piece
6 of paper that is burdensome because somebody has to copy down
7 a number from what was assayed in the dose calibrator onto the
8 written directive, then that's another issue completely. But
9 traditionally in the practice of regular medicine,
10 non-radioactive drugs, dose ranges are implied and explicitly
11 asked for all of the time.

12 Think about the last time you had a bad acre pain
13 and the doc wrote a prescription for a pain killer that said
14 "one or two tablets ever four to six hours as needed for
15 pain." I mean, those dose ranges are built in implicitly.

16 The difference is with traditional drugs, they
17 come from the manufacturer as a strength and they do not decay
18 away. So that you don't have the inventory variability
19 problem.

20 With radioactive drugs, you have an inventory
21 variability problem. Many, many, many times I have been asked
22 "What have you got in stock? This patient needs to be treated
23 today." And if I tell them what I've got, "That will have to
24 do" is the answer I often get. That isn't exactly what they
25 wanted, but they'll take it.

1 So we need to separate prescription order, which
2 is a state board of medicine and pharmacy type of issue, from
3 an NRC issue, which is the written directive. Perhaps --

4 MR. CAMPER: The question, Mark, that would
5 argue, then, that clearly on a prescription -- and you've made
6 valid arguments for the use of a range. But from the
7 standpoint of a written directive, then, are you saying that
8 it is appropriate to have a specified amount?

9 DR. ROTMAN: Well, the written directive is
10 something the NRC created so that you can have a paper trail
11 to know what the doctor ordered and what was actually
12 administered. It's different from the prescription.

13 If the prescription was written as a range in
14 order to order the material and get it in, what most people do
15 is once it comes in, they assay it in the dose calibrator.
16 And then they write on the written directive exactly what the
17 dose calibrator said for fear that if they wrote down 28
18 millicuries and 31 millicuries came in and they administer 31
19 millicuries, somebody is going to question that as "Is that a
20 recordable event because it's just a tiny bit over 10 percent
21 from what I ordered?"

22 If those 28 millicuries were assayed on the dose
23 calibrator at any 3 different radiopharmacies, you would not
24 get 28 exactly in the 3. There's a variability built in that
25 we have to live with.

1 So by cutting it to 10 percent and calling that a
2 recordable event and asking people for that sort of precision
3 with something that has as much built-in variability is
4 getting to be unrealistic.

5 But there really are two issues here. What Dr.
6 Marcus wants to order for her patients and call that a
7 prescription is one thing. What the NRC is going to require
8 on their written directive is another.

9 Now, in communication between the NRC and Carol
10 Marcus, you guys have referred to written directive as
11 prescriptions in writing. Now, you may have crossed over a
12 line that got yourselves into trouble by referring to written
13 directives as prescriptions. And unless you want to make that
14 distinction rather clearly that it isn't a prescription, that
15 it's just a way to record what was actually administered to
16 the patient, you may have crossed over into the board of
17 pharmacy's and the board of medicine's bailiwick.

18 MR. CAMPER: If we have in any of our
19 communications used the terms interactively of "written
20 directive" and "prescription," we did not intend to do that.
21 You're absolutely right. The term "written directive," as I
22 mentioned yesterday, was specifically developed. We avoided
23 the use of the term "prescription" because it has its own
24 meaning.

1 The written directive, though, was not prepared
2 so that there would be a record of. It was prepared so that
3 there would be a clear written direction to the technologist
4 as to how much material to be administered to the patient or
5 the radiation therefrom to the patient.

6 It really wasn't an after-the-fact record so the
7 inspectors could then go look and see what you actually did.
8 Rather, it was to be proactive.

9 DR. ROTMAN: Well, whatever was intended somehow
10 has become different from reality. At my institution written
11 directives are not put in writing until the actual dose assay
12 is provided to the physician who is going to administer the
13 material.

14 MR. CAMPER: That's consistent with the
15 objective.

16 CHAIRMAN SIEGEL: There's a specific instruction
17 before the --

18 MR. CAMPER: Exactly.

19 CHAIRMAN SIEGEL: -- administration of the
20 radioactive material. That's a written directive.

21 DR. ROTMAN: That's certainly true, but if you
22 read the quality management rule, it appears as if the
23 intended treatment plan is written ahead of time and then it
24 is followed and if there are deviations from the intended
25 treatment plan, those are to be reviewed. And if you wait

1 until the material arrives and then write your intended
2 treatment plan, it seems like the intended treatment plan is
3 influenced directly by what is available, not what was
4 intended by the doctor to begin with.

5 Now, I know we've gotten way away from what your
6 original --

7 MR. CAMPER: I was getting ready to say we were
8 --

9 DR. ROTMA: So let me back off.

10 MR. CAMPER: A different but related issue.

11 DR. ROTMAN: I just wanted to make the point dose
12 ranges occur in nuclear pharmacy and in traditional pharmacy
13 practice routinely. There are significant technological
14 problems in supplying exactly what the physician ordered for a
15 variety of reasons. And the prescription is really a
16 different document than the written directive. Those are the
17 three points I wanted to make. Thanks.

18 CHAIRMAN SIEGEL: And just a comment. Certainly
19 in the diagnostic study issue the range is common practice.
20 That is the standard of practice. And, in fact, you all
21 acknowledge that in the NUREG on management of medical
22 programs when you talk about the responsibilities of the
23 authorized user. It says "Typically the authorized user
24 defines acceptable ranges for patient dosages for specific
25 studies in a diagnostic clinical procedures manual."

1 So it's quite acceptable to write down that for
2 bone scintigraphy, the range of doses for MDP is 10 to 20
3 millicuries. And that gives the technologists a lot of leeway
4 based on what's available.

5 MR. CAMPER: Right.

6 CHAIRMAN SIEGEL: And the reason the authorized
7 user gives that leeway is because the authorized user really
8 doesn't care because you'll get a passable study either way.

9 MR. CAMPER: So I'm sensing, then, with regards
10 to the first question that it may be problematical, and you've
11 given an example.

12 CHAIRMAN SIEGEL: For some instances.

13 MR. CAMPER: With regards to the third question,
14 it sounds like it just might be the standard of practice.

15 CHAIRMAN SIEGEL: It could well be the standard
16 of practice except that NRC is trying to push it from not
17 being the standard of practice by way of the written
18 directive.

19 MR. CAMPER: Okay.

20 MEMBER WAGNER: This is what I guess is grating
21 at me here, the fact that it is quite clear that this is
22 trying to direct the standard of practice in medicine, which I
23 don't think the NRC has any business doing.

24 This is a matter -- look at the questions up
25 here. Do nuclear medicine practitioners refer to prescribe

1 written directives with a range? They clearly are confusing
2 these two.

3 And it is quite clear that the written directive
4 is written for the NRC. It is quite clear that this whole
5 thing is done for the NRC, not for medical practice.

6 MR. CAMPER: Well, I wouldn't agree with the fact
7 that we're confusing the two. We know the difference. Now,
8 let me make my point. We know the difference between a
9 prescription and a written directive, and we know that we
10 created the written directive.

11 I mean, for example, we could have used other
12 words here. We could have said: Do you prefer to state the
13 amount of activity to be administered on a written directive
14 in a range? I mean, that's just words.

15 MEMBER WAGNER: Then why do you ask why it's the
16 standard of practice?

17 MR. CAMPER: Because --

18 MEMBER WAGNER: Because the prescription's the
19 standard of practice when you order something.

20 MR. CAMPER: The reason we're asking --

21 MEMBER WAGNER: The written directive is
22 different. How people do a written directive is a different
23 idea.

24 MR. CAMPER: The reason we're asking you if it's
25 the standard of practice, because a problem has surfaced.

1 We're trying to find out how severe this problem is and what
2 we might do to alleviate that problem.

3 And, therefore, if you're telling me that it is
4 the standard of practice and our regulatory interpretation is
5 in conflict with the standard of practice, I need to know
6 that. That's very disconcerting and it causes me to want to
7 do something about it, to make some suggestions how we might
8 resolve this problem. That's why we're asking the question.

9 MEMBER WAGNER: Then I would agree with you
10 because, I mean, Point Number 4 is again a question that is
11 really difficult for me to comprehend how it can be asked:
12 Does the ACMUI believe it to be acceptable for technologists
13 to decide?

14 The implication is the technologist is deciding.
15 The technologist is not deciding if it's within the range.
16 The question is just inappropriate for the situation that's
17 occurring if a range is given. The technologist is not making
18 that decision.

19 MR. CAMPER: Well, the question is the
20 technologist. If you prescribe a range, the technologist is
21 the person who ultimately makes the decision as to how much
22 will, in fact, be administered.

23 Now, I understand. Arguably, the doctor has
24 already set the boundaries. That's your point. I understand
25 that. But in the opinions of some, that decision as to how

1 much should actually be administered, the amount, should be
2 the medical practitioner, not the technologist. That's why
3 the question is being asked.

4 MEMBER WAGNER: But the practitioner made that
5 decision. The practitioner said --

6 MR. CAMPER: I understand.

7 MEMBER WAGNER: -- "I don't care as long as it's
8 in this range" --

9 MR. CAMPER: I understand.

10 MEMBER WAGNER: -- or "My prescribed dose is in
11 this range."

12 MR. CAMPER: Well, I find the last question sort
13 of interesting having administered a lot of sodium iodide in
14 my time as a technologist a few years ago. Having
15 administered therapy doses, having administered whole body
16 scans as a technologist and actually making that decision,
17 it's kind of interesting from my perspective to see that
18 question being asked.

19 And I think your points are well-made. The
20 technologists follow the directions of the physician. And as
21 long as you were within the range prescribed or in this case
22 identified in the written directive, you were confident that
23 you were carrying out the wishes of the physician and you were
24 okay. No one ever questioned that.

1 But you get into a situation when regulations
2 exist, they have to be interpreted. And when they are
3 interpreted, you get into tar babies sometimes, and I think
4 this is one of those. And what we're trying to do is find out
5 how much of a problem this is and so forth.

6 What I'm hearing, then, a clear sense on each of
7 these, that, yes, in some cases it's not a tremendous burden,
8 but, yes, it is problematical. It may well be in conflict
9 with the standard of practice.

10 It seems appropriate in the mind -- is there a
11 clear consensus from the physician that it's appropriate and
12 acceptable that the technologists ultimately make that
13 decision along the range? I assume there's a consensus of
14 opinion on that.

15 CHAIRMAN SIEGEL: If the physician directs a
16 range, the technologist gets within the range, then the
17 physician's orders were followed.

18 MR. CAMPER: Right. Okay. We're clear. Let's
19 move to the options or possible solutions, then.

20 CHAIRMAN SIEGEL: On the other hand, let me just
21 say one thing, that there always is the potential for abuse.
22 And I think none of us would feel very good if there were
23 physicians out there who preprinted a bunch of written
24 directives that said "5 to 30 millicuries" and technologists

1 simply zoomed in and said "Sign here" with a form all filled
2 out that just had the patient's name.

3 But the goal of this whole process was to have
4 the authorized user, especially for I-131 therapy and
5 diagnostic imaging, either see the patient or at the minimum
6 know something about the patient to make sure that this I-131
7 is being given to the right human being for the right reason.

8 And so one could imagine that this range could be
9 abused. But, again, I don't think that's likely, worst-case
10 scenario.

11 MR. CAMPER: Well, let me make an observation
12 about that. If one goes back and looks at -- what brought
13 this written directive about? I mean, acknowledging up front
14 that the frequency of occurrence of misadministration is
15 small, always has been, still is, even smaller now, it
16 appears.

17 But in some cases -- and we do tend to be
18 reactionary regulatory agency, sometimes even to singular
19 events. Perhaps in the minds of some that's appropriate. In
20 the minds of others it's overreaction. You'll get a lot of
21 opinion across the spectrum of opinion.

22 But there have been cases where, as I said
23 yesterday, things were not written down, it was in the mind of
24 the physician, he gave verbal instruction. When queried, it
25 was this thing "Oh, yes. I have that here." They pull this

1 little piece of paper out of their briefcase, and there it is.
2 And technologists have made mistakes because of that.

3 Today, though, I must tell you that in some cases
4 I've had some sense that the practice you've just described
5 is, in fact, going on. Written directives are created in
6 advance, and they're just signed off at the last minute. I
7 think there may well be some of that going on.

8 But, again, the thing we've got to try to do is
9 to make, on one hand, to try to meet the intent of this
10 regulation, at the same time clearly not interfere with the
11 practice of medicine and not be overbearing.

12 So with that in mind, I've heard your points.
13 Now let's kind of explore some options for possibly doing
14 something about this. We could, for example, revise the
15 language for a written directive to allow a range,
16 specifically in rule language, allow the use of a language in
17 a written directive. That would require a rule change.

18 Now, the question we ask ourselves, then: Okay.
19 On one hand, if this problem is a big enough problem that you
20 might want to do something about it alone in rule space, you
21 could possibly pursue that pathway.

22 On the other hand, given that we're headed for a
23 major revision of Part 35 and I can predict I think with a
24 fair degree of confidence that the quality management rule
25 probably won't look just like it does today in Part 35, if it

1 survives at all, then the question becomes: Is that a
2 worthwhile approach? Is the problem big enough to do that?

3 CHAIRMAN SIEGEL: No.

4 MR. CAMPER: And the answer I'm hearing is no.
5 All right.

6 CHAIRMAN SIEGEL: That's my opinion. Would the
7 rest of you agree?

8 MEMBER NELP: I think it's a non-problem.

9 CHAIRMAN SIEGEL: Continue.

10 MR. CAMPER: So we have a consensus on that?

11 CHAIRMAN SIEGEL: Yes, sir.

12 MR. CAMPER: Okay. We certainly could exercise
13 enforcement discretion in this area. That's a fairly easy
14 thing to accomplish. We would simply direct the regions, in a
15 sense would direct the regions to -- if they find that cases
16 where a range has been used in written directives, that it is
17 a no never mind. So note it, and that is it. That might be a
18 problem if there is a misadministration and a range is used
19 depending upon the circumstances associated with the
20 misadministration.

21 Not all misadministrations result in enforcement
22 activities. Some do because of programmatic problems with the
23 quality management program. Either it hasn't been developed
24 or it's not being carried out.

1 I think we're now past most instances when it
2 hasn't been developed. I mean, people have a program in
3 place. They've adjusted them as a result of the first 1,200
4 letters we sent out. So now you're in the range of you might
5 not be carrying out your own QM programs.

6 So conceivably you could have some enforcement
7 issues there. But otherwise if we just simply find dose
8 ranges used, no problems, no misadministrations, we could
9 instruct our inspectors to "Okay. Fine. Just note it and
10 carry on."

11 What's the reaction of the Committee to that
12 option?

13 MEMBER NELP: Ease up.

14 MR. CAMPER: Ease up?

15 CHAIRMAN SIEGEL: It makes sense. And in a way,
16 I suspect OGC would probably disagree. And I think they
17 probably already have. But in a way I don't think that's
18 inconsistent with the language of the quality management rule
19 because it never explicitly says that the written directive
20 can't include a range.

21 MR. CAMPER: Well, before we sent the newsletter
22 out, we did confer. And their interpretation was that a
23 number is what the rule says. That's their interpretation.

24 CHAIRMAN SIEGEL: I understand.

1 MR. CAMPER: So the feeling about enforcement
2 discretion is generally?

3 CHAIRMAN SIEGEL: Sensible.

4 MR. CAMPER: Sensible?

5 MEMBER FLYNN: Can I ask a clarification now?
6 We're talking about nuclear medicine diagnostic or nuclear
7 medicine diagnostic in nuclear medicine therapy?

8 MR. CAMPER: I'm talking about any modality
9 affected by the quality management rule. My opening position
10 would not be to indiscriminately ignore them in sodium iodide,
11 but pay attention to them in therapy. I would want to
12 practice a uniform policy.

13 And I guess what I need to know from you: From
14 the therapeutic end is that a problem in terms of the
15 teletherapy and brachytherapy, is that an appropriate posture
16 to take?

17 MEMBER FLYNN: I don't think it is. I think a
18 range is not appropriate for teletherapy or radiation
19 oncology. We're going to give between 120 and 240 centigray
20 per day with the teletherapy? I don't understand what the
21 range means for teletherapy.

22 MEMBER NELP: This is 100 seeds. Do you see
23 that?

24 MEMBER FLYNN: Right.

1 MR. CAMPER: What I'm saying is not so much from
2 a practice standpoint is it appropriate. I'm saying if our
3 inspectors where to find a written directive or a range for
4 teletherapy or brachytherapy had been specified, as opposed to
5 an exact amount of dose to be delivered.

6 MEMBER FLYNN: That would be a big problem. I
7 think that would be against the standard of practice of
8 radiation oncology. In radiation oncology a dose is
9 prescribed, not a range.

10 CHAIRMAN SIEGEL: But then it's going to be
11 regulated in an independent way, then, because it's not the
12 standard of care. I mean, see, here you're saying, Dan,
13 "Oops. My God. It's not the standard of care" and then "We'd
14 better make sure the NRC enforces the standard of care."

15 The NRC does not enforce the standard of care.
16 We do. So the fact that we've got a little flexibility in NRC
17 regulatory space doesn't change the standard of care. We set
18 it. We determine it. And we don't need the NRC's help.

19 MEMBER FLYNN: Well, I think in radiation
20 oncology -- I mean, Judith can speak up, but I think the only
21 thing we're encouraging is there are some practitioners out
22 there that would -- the only individuals in my opinion to then
23 use a range would be those that would be deviating from the
24 standard of care and would be doing so to avoid the
25 consequences of deviating from the standard of care, being

1 able to use a "Well, I'm within NRC guidelines because, well,
2 I was supposed to take the implant out at 6:00 o'clock, but I
3 put a 12-hour range. So I decided I'd wait until the next
4 morning and take the implant out."

5 I think that's foolish. That would just create
6 confusion in the radiation oncology if you came out with a
7 range acceptable for brachytherapy, when the implant would be
8 taken out.

9 MEMBER STITT: Actually, that's how people
10 practiced for years. I mean, I think you have to separate the
11 regulatory business from the practice of medicine business.
12 Flexibility just makes it easier to practice medicine.

13 And depending on which part of radiation oncology
14 you look at, you're right. People aren't going to write a
15 therapy cobalt prescription to say "Give between 100 and 240
16 centigray per day," but that's just because the practice of
17 medicine is that way. When you start looking at iodine-125
18 seeds or even iridium seeds, the flexibility makes it easier
19 to practice medicine.

20 So I don't see -- I certainly agree with what
21 Barry said. The practice of medicine is regulated by those
22 who are practicing medicine. And this would make it somewhat
23 less onerous to have a regulation that's not going to be so
24 confining.

1 MR. CAMPER: Well, why don't I say this for sake
2 of time? If it turns out that we decide to pursue the
3 enforcement discretion route, then what I would do is we would
4 consider what's been said here today, the difference between
5 the use of sodium iodine and the use of teletherapy or
6 brachytherapy.

7 And if, for example, we were to draw a
8 distinction between those two in terms of enforcement space, I
9 would want to run that guidance to the regions by Drs. Stitt
10 and Flynn before we send it out and get your specific opinion
11 and feedback about what we said in writing about enforcement
12 discretion policy. Okay?

13 But at this point I don't know whether we're
14 going to with that option or not. We certainly have your
15 advice on record. And it is an option of consideration. But
16 I've heard this difference, which may or may not be so subtle.

17 Another possible way of looking at this would be
18 to say "Look, this is not a big deal, guys because our
19 regulatory threshold is greater than 10 percent." It's not
20 equal to or greater than 10 percent. That's an error. It's
21 greater than 10 percent, and that's the recordable event.

22 So if it's below the threshold or recordable
23 event, what's the big deal? You don't need to do anything
24 about it. It doesn't trigger the regulatory threshold.

1 If one looks at 35.53, you are required to assay
2 the dose before administration. It doesn't specify the
3 tolerance, just says you've got to measure and record what you
4 prescribed and what you administered.

5 So if the difference between what you actually
6 requested and what you actually get an administer is below 10
7 percent, just don't pay any attention to it. It's no big
8 deal. It doesn't trigger our regulatory threshold.

9 CHAIRMAN SIEGEL: I actually think that is the
10 standard of practice.

11 MR. CAMPER: Right. So, I mean, we --

12 CHAIRMAN SIEGEL: If I tell my pharmacist I want
13 10 millicuries of I-131 in a syringe and it comes out 11, I
14 take it because it's not ALARA to force it down to 10.

15 MR. CAMPER: Right.

16 CHAIRMAN SIEGEL: It makes her exposure higher.

17 MR. CAMPER: I understand. And I'm simply saying
18 you could just keep operating with that mindset where we have
19 this 10 percent that we can work with in terms of a recordable
20 event. As long as we stay below that, just administer the
21 dose and it's a regulatory no never mind.

22 CHAIRMAN SIEGEL: Right.

23 MR. CAMPER: I mean, you could operate under that
24 mindset is all I'm saying as an option, amongst the other
25 options. By contrast, you could say: Look, we're going to

1 make sure there is a specific dose and the authorized user is
2 going to be involved in every case. And you're going to go
3 about changing your business in how you do it differently.

4 You will be involved. For example, under the
5 administrative procedures idea, you could set up in your
6 procedures that the dose will be administered provided it's
7 within 10 percent. If you assay the dose and you find that
8 it's greater than 10 percent, come see me, and I'll modify the
9 written directive as an administrative procedure. You could
10 do that.

11 So, I mean, in one case you're actively
12 physically involved, you see them all, you sign them, et
13 cetera. In the last bullet you set up a set of administrative
14 procedures for dealing with it. So those are other options.
15 So I've already discussed about five options, I guess.

16 So I think the last two or three options kind of
17 look at this and say, you know, the burden here is not
18 profound, and there are things that you can do about it from a
19 practice management standpoint to deal with this problem and
20 keep it a no never mind or there might be some things that we
21 could do also to help alleviate what might be a burden.

22 Do you have much of a reaction to those last two
23 or three options?

1 CHAIRMAN SIEGEL: Well, I mean, the specific dose
2 is the status quo. And the administrative procedures are a
3 modification on the status quo.

4 MR. CAMPER: That's right.

5 CHAIRMAN SIEGEL: No, no reaction. I mean, I
6 really think -- you spent a lot of time on this. I really do
7 think this is a small problem in the final analysis. And I
8 really support the underlying philosophy of the quality
9 management program, which is that for therapeutic procedures
10 and for procedures that involve large doses of I-131, it's
11 appropriate for an authorized user to be in the loop and make
12 a decision and give the directions.

13 Whether you deal with this issue right this
14 minute or whether you keep that clear target in mind as your
15 Part 35 revision starts to roll up is I think semi-irrelevant.
16 What's important is you want to get that accomplished without
17 having the paper trail burden and without having the standard
18 of care modified in the process.

19 And maybe with clever language the next time
20 around, this will be less of an issue. The real issue for the
21 directions of the authorized users that are in accordance with
22 the standard of care, which we'll define, followed out by the
23 people working under the supervision of the authorized user.

24 In Dan's case -- and I agree with him. I don't
25 know a teletherapy physicist in the world who would write -- I

1 mean, physician who would write 120 to 240 centigray per day.
2 They won't write --

3 MEMBER FLYNN: There was a misadministration that
4 I looked into where the radiation oncologist, who wasn't
5 Board-certified, gave verbal instructions and then was unclear
6 about the dose. But of the 3,000 radiation oncologists in the
7 United States, I don't know one --

8 CHAIRMAN SIEGEL: But you all are not taught to
9 write your prescriptions and your treatment plans in a dose
10 range. You're taught to say fractions of 200 centigray per
11 day, and you've got machines that are capable of making those
12 measurements to the nearest millisecond in terms of the
13 timers. And so you do it that way.

14 You've got problems with brachytherapy because
15 you don't know at the front end exactly where the sources are
16 going to be, but the quality management rule allows you to
17 make adjustments. And it's really only with I-131 where there
18 really is this great therapeutic flexibility and the potential
19 to inconvenience and occasional physician who works at two
20 remote sites that this comes up.

21 So maybe enforcement discretion is the best way
22 to deal with it for 1995, but keep that clear objective for
23 1998 or '7 or whatever.

24 MR. CAMPER: Yes. I think if in the final
25 analysis when we revise Part 35 if you assume for the sake of

1 the discussion that the quality management component of Part
2 35 would survive, I think that the interaction with the
3 regulated community the next time around will be a lot more
4 focused upon: Okay. If this thing is going to be around,
5 what should it really look like?

6 I mean, I think the first time around my
7 impression was there was an opposition to the idea
8 philosophically amongst many. I think if you get to the point
9 where it's going to be in there for the sake of discussion --
10 and that will need to be discussed. I don't know that it will
11 survive or not. But if you get to that point, then clearly
12 the focus becomes: Okay. If you're going to have it, what
13 should it look like? And what would not be a burden to the
14 community?

15 Okay. Thank you very much.

16 CHAIRMAN SIEGEL: Any final comments, anyone?

17 (No response.)

18 CHAIRMAN SIEGEL: Okay. Good. Janet, talking
19 about revisions to Regulatory Guide 10.8.

20 MS. SCHLUETER: Good morning. Originally we had
21 a couple of hours blocked out for this discussion, but I don't
22 think it will be long. And we won't go into that detailed of
23 a review of the work that we've done thus far, but I will
24 provide you an overview of the project. And you have received
25 four licensing modules in draft for discussion.

1 For those of you who are less familiar with
2 Regulatory Guide 10.8, this is a sample copy. And this is the
3 book which medical use applicants use to complete their
4 license application forms and submit to the NRC for review.

5 It basically contains a body portion up front
6 full of general information, appendices in the middle to give
7 model procedures, and exhibits at the end which are model
8 forms to be used. The current version that we're all working
9 from is Revision 2, which was published in August of 1987,
10 after the rule was published in April.

11 You've heard us talk about the five-year medical
12 management plan that the NRC uses to manage at least a portion
13 of its regulatory program. I'm the project manager for the
14 medical management plan, and it has approximately 90-some
15 action items in the plan over a 5-year period of time. It was
16 implemented in October of '93. The revision of Reg Guide 10.8
17 is one of those such action items in this five-year plan.

18 The scope of the current revision that we're
19 working on now and that we have before you is fairly limited.
20 The purpose is to consolidate licensing guidance that we have
21 currently in internal policy and guidance directives, standard
22 review plans, and similar types of documents so that Reg Guide
23 10.8 becomes a more comprehensive licensing manual, both for
24 applicants, licensees, and the NRC staff.

1 The idea is to add as much information to Reg
2 Guide 10.8 that the applicant will be able to submit a very
3 comprehensive license application. The NRC staff will have
4 more information on the front end for which to conduct its
5 review. And the process of identifying deficiencies through a
6 letter back to the applicant and so forth we hope to be
7 increased and more efficient in that the volume of information
8 provided to the applicant will be greater.

9 So what we're trying to do now is to add modules.
10 We're referring to them "modules" for lack of a better term.
11 We're trying to add modules to 10.8 to provide this licensing
12 guidance for all types of medical use currently authorized.

13 There are three modules that we have that will be
14 affected by the final patient release rule. Out of the total
15 of seven licensing modules, the three that will be affected by
16 this release criteria are: The radioactive drug therapy
17 modules, mobile medical service module, and manual
18 brachytherapy, for the obvious reasons.

19 If the limits change on when you can release a
20 patient, therefore, the guidance will change on what radiation
21 safety instruction is appropriate, when can you let them go,
22 how much activity can you administer in a mobile medical
23 service scenario and so forth. So in some ways these draft
24 licensing modules and Reg Guide 10.8 are evolving now and will
25 continue to do so in the next few months.

1 As I mentioned, the medical management plan has
2 as part of it this major revision to Part 35 that we've been
3 referring to over and over again. Naturally when Part 35 is
4 revised, we'll have to turn around and overhaul Reg Guide 10.8
5 again to reflect the new rule.

6 But we needed a fix now. We needed to provide
7 more comprehensive licensing guidance in the interim. That's
8 what we're trying to do with this project now: beef up Reg
9 Guide 10.8 to consolidate our licensing guidance for all types
10 of medical use, Band-Aid fix, let Part 35 rulemaking run its
11 course, and then overhaul 10.8 again.

12 In order to accomplish this, as project manager I
13 arranged for a task force which consists of headquarters and
14 regional staff. We have about 12-13 members or so. And we
15 developed working groups to develop the seven different
16 licensing modules.

17 There have been four developed so far, and you
18 have received those four. Our NRC regional offices are
19 lagging just a little behind you in the sense that you
20 actually have all four in your book. They have received two
21 along with the agreement states, other NRC offices here at
22 headquarters. And the second set, the radioactive drug and
23 mobile medical services, are en route to the regions.

24 So they're lagging a little bit behind you. If
25 you were to call them about the specifics, they wouldn't have

1 it in front of them at this time. So you have four. You
2 have: The manual brachytherapy; teletherapy; radioactive drug
3 therapy, which is new; and mobile nuclear medicine.

4 The manual brachytherapy, as it stands now, this
5 guidance is currently located in our standard review plan and
6 internal licensing guidance. There really isn't a
7 set-specific document to provide just guidance on manual
8 brachytherapy.

9 The teletherapy is a current draft reg guide,
10 1985 I believe, pretty old. And there's really nothing much
11 new in the teletherapy arena, as you know. So it's a matter
12 of taking this old draft reg guide and changing its format and
13 placing it into Reg Guide 10.8.

14 Also when the draft teletherapy reg guide was
15 developed, there wasn't the specific requirements and criteria
16 in Part 35 that there is today. So some of this information
17 that was currently in the old draft teletherapy guide has, in
18 fact, been superceded by the rule and can be, thus,
19 eliminated.

20 Radioactive drug therapy is a new module for us.
21 In mobile nuclear medicine, we have a current policy and
22 guidance directive on mobile nuclear medicine. It's been out
23 for about three years. And since then we've seen different
24 scenarios evolve in the mobile medical service arena. And,
25 therefore, there are things that we need to do to that module

1 now to reflect current practice and current licensing
2 practice.

3 The ones which are still in development are the
4 ones listed there to be issued for first-round comment. And
5 that's remote after-loading brachytherapy, which, in fact, is
6 a revision of the existing Policy and Guidance Directive 86-4,
7 which we discussed yesterday. And some of that licensing
8 guidance, as you mentioned, needs to be revised and, as we
9 also discussed, will probably be codified in Part 35
10 eventually.

11 The gamma stereotactic radiosurgery is new. We
12 have issued licenses for gamma stereotactic radiosurgery, and
13 we have done that based on teletherapy guidance and also just
14 good health physics practices and have come up with licensing
15 guidances for the gamma knife.

16 We also have in parallel with this effort a
17 research contract study that has just been completed on
18 quality assurance, quality control in the gamma knife, which
19 has provided us some useful information that will be
20 incorporated into the module.

21 The training and experience module is going to be
22 guidance that was based on the draft P&GD that we issued in
23 '94, which was the center of our energetic discussion
24 yesterday afternoon.

25 CHAIRMAN SIEGEL: What's it going to say?

1 MS. SCHLUETER: Well, it has other issues in it
2 besides the hot one we had yesterday. So it's going to talk
3 about things like, let's see -- I wish I had it in front of
4 me.

5 CHAIRMAN SIEGEL: That's okay. I actually don't
6 --

7 MS. SCHLUETER: They're a little bit more generic
8 and administrative in nature, and maybe we won't explain that
9 right now.

10 CHAIRMAN SIEGEL: It was a rhetorical question.

11 MS. SCHLUETER: Okay. I'll take it as such.

12 In order to do this interim Band-Aid fix on Reg
13 Guide 10.8, we originally thought that we would just develop
14 the licensing guide as modules and let it go at that. But
15 then as we know, there have been clarifications that have been
16 needed to Reg Guide 10.8 since it was issued in 1987, things
17 that we felt like either were inconsistent with the rule,
18 weren't clear enough, we could have provided additional
19 information on and so forth.

20 So we thought, "Well, we can't let the licensing
21 guidance modules go out alone. People won't really understand
22 the project in toto and how it all fits in with Reg Guide 10.8
23 and so forth. So while we're doing the modules, let's
24 overhaul the general body of Reg Guide 10.8," the information
25 that's contained up front in Pages 1 through 16.

1 So what we've done thus far is to make a
2 first-round draft of the revision of the body of Reg Guide
3 10.8. And now we're working on the modules as well.

4 The draft modules and the revised body of Reg
5 Guide 10.8 are scheduled to be issued for public comment in
6 accordance with the medical management plan this fall. But we
7 need to recognize also that there are some outside forces
8 which affect the timing of this project.

9 As I mentioned already, there's one rulemaking,
10 patience release, which will have some effect on three
11 modules. That rule is scheduled to go to the EDO for
12 Commission approval late June of this year. If it's on track,
13 then we can stay on our track of the September-October time
14 frame. If that gets waylaid, we're going to be a little
15 behind.

16 Also, as I think we'll hear later this morning on
17 BPR, the business process re-engineering, there is this other
18 parallel, much more comprehensive, effort in the licensing
19 guidance arena and how NRC processes license applications and
20 so forth that could affect the final product in the sense:
21 How will we distribute this document for public comment? What
22 will it look like? Will it be attached to other licensing
23 guidance?

24 I think Dr. Cool's idea is that there be one
25 single huge regulatory guide for all materials licensing. So

1 Reg Guide 10.8 could be lumped into a much more voluminous
2 volume of information for materials licensees in general.

3 So we have to feel these things out and see what
4 their impact will be on the modules in the project that we're
5 doing thus far. But it is interesting to note that one of the
6 major concepts of BPR is these independent management teams.
7 We had, in fact, already begun that approach to this project a
8 year and a half ago, when we developed these working groups,
9 and sought regional and headquarters expertise to develop the
10 guidance that we have thus far.

11 So we're working along in parallel lines, but
12 we're cognizant of each other's efforts. And it could affect
13 the timing of this project.

14 Now, in light of all of that information, as I
15 mentioned early on, my goal this morning was not to go into a
16 detailed review of these licensing modules. And that is
17 because what you have before you is a version which has just
18 gone to our regional offices, two out of the four. The other
19 two are en route.

20 I have received a significant amount of comments
21 from the regions on the manual brachytherapy and the
22 teletherapy modules. Those need to be considered by the
23 working groups, and the modules need to be revised. The
24 radioactive drug and the mobile nuclear medicine they do not
25 have.

1 Once those modules are revised based on regional
2 comment and so forth, I think that that would be a better
3 opportunity for ACMUI members to focus more intensely on each
4 module.

5 We do think it's important, very important, that
6 we have your input on these modules before they go for public
7 comment, which is scheduled this fall.

8 So I might suggest to you, Mr. Chairman, that we
9 do one of two things: We either send to you all draft
10 licensing modules in a more final state late summer and
11 solicit ACMUI comments through you in a written format or
12 however you choose to do that back to us or we or you decide
13 to convene a subcommittee of the ACMUI and actually work
14 through the language of the draft modules late summer in a
15 working group environment here in headquarters and so forth.
16 That would take a good day, day and a half, I would imagine,
17 at least, because there are seven, much less the general body
18 up front of Reg Guide 10.8.

19 So we're very interested in getting the comments.
20 I don't want to do that today. I don't think people are even
21 prepared. I think it would be premature and a waste of your
22 time to go into that detailed of a discussion. I am going to
23 step through them, though, for general concepts of the
24 modules, but not in detail.

1 CHAIRMAN SIEGEL: I guess there's a third option,
2 --

3 MS. SCHLUETER: Sure.

4 CHAIRMAN SIEGEL: -- which is that any one or two
5 of us at a given moment could come in and meet with staff as
6 consultants, --

7 MS. SCHLUETER: Certainly.

8 CHAIRMAN SIEGEL: -- not requiring the public
9 meeting format that a formal subcommittee meeting would
10 require, and give you our thoughts. Then those more digested
11 thoughts could be presented at a subsequent formal ACMUI
12 meeting as a way of getting commentary.

13 So I think all three options work. My sense is
14 that it's important enough to discuss these with staff so that
15 people just writing their comments and sending them back to
16 you will not be as effective as the opportunity to sit down
17 with you.

18 And so I think I'll leave it to you whether you
19 would prefer to do using groups of us as consultants, like
20 brachytherapy, teletherapy. You could have --

21 MS. SCHLUETER: Right.

22 CHAIRMAN SIEGEL: -- Judy and Dan and if you get
23 the brachytherapy therapists on board come in and meet with
24 some staff for part of a day or as a subcommittee. For the

1 other things you may want to get a slightly different group.
2 And we can do either one.

3 MR. CAMPER: Yes. Good suggestions. I think
4 with regards to the idea of subgroups or parts of the
5 Committee -- and it may be an administrative issue, but I want
6 to make certain that the guides undergo the review and the
7 opinion on record of the ACMUI. Now, your subcommittee
8 approach would cause that to happen.

9 CHAIRMAN SIEGEL: Correct.

10 MR. CAMPER: It's not clear to me as I sit here
11 right now, though, that two or three individuals meeting with
12 -- how would you then translate that into the Committee's
13 review of --

14 CHAIRMAN SIEGEL: If we did it that way, then the
15 Committee would have to review it at the time of the next
16 formal meeting. And, as I think we did with the patient
17 release criteria, a group of individuals came in as
18 consultants. And they provided a report of what they had
19 discussed with the clear understanding that what they had
20 discussed was not the actual formula process of consensus
21 generation. It's a fine point. And I understand the FACA
22 requirements that make the distinction.

23 But subcommittee meetings are fine. It's just
24 that it puts the additional burden on you of booking a room,

1 noticing it in the Federal Register and all of that, but it's
2 easy. Let's do it.

3 MR. CAMPER: Well, if we took the approach that
4 you were discussing just a moment ago, you end up then with
5 the ACMUI's comments and formal review, if you will, being on
6 record during the public comment period, --

7 CHAIRMAN SIEGEL: Correct.

8 MR. CAMPER: -- which is okay, but --

9 CHAIRMAN SIEGEL: You'd rather have them first?

10 MR. CAMPER: I'd rather have them first. I'd
11 rather --

12 CHAIRMAN SIEGEL: Then let's do subcommittee
13 meetings. I mean, I think we'd better get moving, but I think
14 if you want groups of three or four of us to come in as
15 subcommittees to look at different chunks of these during the
16 summer, we'd better start thinking about dates real soon.

17 MR. CAMPER: Well, last evening at about 9:00
18 o'clock Janet and I were discussing that very thing.

19 MS. SCHLUETER: 10:00, Larry.

20 MR. CAMPER: Was it 10:00? I'm sorry. I'm
21 getting into the Barry Siegel syndrome. I could have sent her
22 an E-mail, but I didn't have a computer at home.

23 Really, the timing would almost have to be
24 certainly by the end of July at the latest.

1 CHAIRMAN SIEGEL: Let's do it. I mean, we don't
2 have to do it right now, but --

3 MR. CAMPER: We could set it up.

4 CHAIRMAN SIEGEL: -- let's you and I go figure it
5 out.

6 MR. CAMPER: Yes. And we could set up a
7 subcommittee meeting that would occur here if we have staff
8 access and so forth and so on.

9 CHAIRMAN SIEGEL: Yes. It needs to be here.

10 MS. SCHLUETER: Okay. So having settled that or
11 somewhat settled that, I'll give you just a brief overview of
12 what we were trying to do with the body of Reg Guide 10.8 and
13 walk through the modules just briefly to let you know some of
14 the highlights of the modules and their purpose.

15 The body of Reg Guide 10.8 in Rev. 2 is Pages 1
16 through 16. It's been expanded to about Pages 1 through 40.
17 And when I said that to Myron, he got real excited. And he
18 said, "Oh, my God. You're going to require even more
19 information from them?"

20 And I said, "Well, the idea is to provide more
21 information on the front end so that the licensee or the
22 applicant has a better idea of all the information that NRC
23 needs during the license review process." We were trying to
24 give more comprehensive information.

1 The NRC has a system in place where you put out a
2 reg guide for the public and then internally you have what you
3 call a standard review plan, which is usually the reg guide
4 with possibly some reviewer notes thrown in throughout to add
5 additional guidance to the reviewer.

6 Well, that concept is fine, but we decided that
7 perhaps we'd move away from that slightly and increase the
8 body of knowledge in Reg Guide 10.8, anything that the
9 licensee or applicant may even by chance need to know and make
10 it more comprehensive so that our standard review plan
11 internally will look something more like a model license and a
12 checklist.

13 The kinds of things that we did under the minor
14 administrative cleanup are minor, you know, things like our
15 regional offices have changed addresses, moved around. We've
16 added an NRC regional map. We're going to add an agreement
17 state map into the body up front, conforming changes to
18 references to the regulations, such as Part 20, that have
19 changed, and so forth.

20 The new information that we've added to the body
21 of 10.8 to make it more comprehensive and hopefully more
22 efficient in the licensing process are things like we need to
23 add a discussion on the need for a QM plan which somehow in
24 all of this flurry of activity on QM I failed to put in thus

1 far. So it's not in the copy you have now. I don't know how
2 we forgot about QM, but we did.

3 CHAIRMAN SIEGEL: I can understand why you did
4 that.

5 MS. SCHLUETER: So we'll need to add something,
6 bringing it to their attention, of course, that there is a
7 need for certain types of use to have a quality management
8 program.

9 We have enhanced a discussion on the role of
10 executive management. This does stem out of the draft NUREG
11 1516 on management of radioactive material safety programs at
12 medical facilities. This is to heighten the awareness of
13 executive management, if you will, of their responsibility
14 over the licensed program.

15 We also added new things like reference to the
16 training and experience criteria for authorized nuclear
17 pharmacists that didn't exist before January 1, 1995. It's
18 important to note. And we lay out the criteria or, else, we
19 reference it where it can be found. I can't remember which.

20 And we discuss a little bit about measurement of
21 alpha and beta dosages; in other words, reliance on the
22 manufacturer and so forth. So this is another parallel effort
23 that I didn't mention. We've had to move along with
24 Donna-Beth's and Sam's efforts in the radiopharmacy arena in
25 order to have Reg Guide 10.8 reflect those changes as well.

1 Everything that happened to Part 35 with radiopharmacy,
2 meaning 35.52, .53, and so forth, we need to reflect as well.
3 So we evolve and evolve and continue to evolve. And it's got
4 to stop somewhere this fall.

5 Also a reminder of air emissions control and
6 compliance with Part 20 limits there. It wasn't there before.
7 And we've added some information on waste management, like
8 returning sources back to a manufacturer.

9 Earlier version just says "Well, you've got to
10 have waste disposal procedures. And if it's not the normal
11 decay in storage, tell us what you're going to do." So we've
12 tried to provide more comprehensive guidance in options that
13 licensees currently exercise to get rid of their radioactive
14 waste.

15 Now, in order to give some credit here to the
16 people who actually wrote these modules, it wasn't me. We had
17 staff members in the medical and academic sections, as I
18 mentioned, and also regional people. Trish Holahan was the
19 primary author on the manual brachytherapy. On teletherapy it
20 was Jim Smith. On radioactive drug therapy it was Sally
21 Merchant. And on mobile medical services it was Torre Taylor.
22 And they had regional components to assist them in this
23 effort.

24 So I'll talk about these modules, but they
25 deserve the credit in time and effort in writing them. That

1 means I don't really know anything about them and you're going
2 to have to ask them. Right?

3 The manual brachytherapy module was created to do
4 many things, one of which was to address the use of the
5 strontium-90 eye applicator, because there is no specific
6 licensing guidance laid out explicitly for the use of that
7 device, although we have it in other licensing guidance
8 documents that we're using.

9 It also addresses temporary implants, permanent
10 implants, and eye plaques that use iodine-125 or palladium-103
11 seeds. Eye plaques are considered an interstitial treatment
12 and are authorized under 35.400.

13 It also discusses topical, interstitial, and for
14 NRC purposes the fact that inter-cavitary equal inter-luminal.
15 There is no distinction in our minds.

16 There's an enhanced training program for nursing
17 and ancillary staff and contractors. It goes into things like
18 the awareness of the quality management program and what that
19 means to individuals who are caring for the patient and
20 others.

21 We suggest that the training be very specific for
22 nurses caring for these patients in brachytherapy. For
23 example, there needs to be training where dummy sources are
24 shown to the nursing staff so that they'll be familiar with
25 the size and appearances of these sources, emergency kinds of

1 drills, if you will, and in the event that a dislodged source
2 is noted by nursing staff, what do they do, who do they call.
3 This gets into some of the discussions that we went through
4 yesterday as well, reacting to emergencies.

5 Also it discusses necessary components of the
6 radiation safety program, such as facilities and equipment,
7 what type of shielding do you need to have available for
8 implant patients' rooms and remote handling devices as well,
9 personnel monitoring if it's required by 20.1502 and how do
10 you give them instructions on the use of that device and
11 records that are associated with the uses of those devices,
12 handling of sources, equipment that's necessary, training of
13 personnel, and so forth, also implant source records.

14 What that really means is your use log, where did
15 you take the sources to use, who did you implant, what room
16 was it in, what time did you take them, who took them and so
17 forth; and inventory. You need to have a locked safe, a
18 trained staff, a map of the source location, verification of
19 the sources upon receipt from the manufacturer, when you took
20 them to the room again and when you returned, did you have the
21 same number or do you suspect there's been one lost and so
22 forth, the normal radiation safety protection procedures that
23 you would have when you conduct implant therapy.

24 Area surveys, the quarterly surveys that are
25 currently required and post-explant and patient prior to

1 patient release as well and, as I mentioned, temporary
2 implants and permanent implants and the release of patients.

3 There's an important item to note under the
4 permanent implant portion I think in the sense that we remind
5 licensees that a patient who has undergone an implant
6 procedure for a permanent therapy procedure, licensees are
7 reminded that once that patient is released from confinement
8 pursuant to 35.75, the NRC does not hold the licensee
9 responsible for the implanted material.

10 However, we've had cases, had licensees come to
11 us that had exhibited good health physics practice in the
12 sense that if they released a patient today and that patient
13 had a medical emergency and died on Saturday or Sunday or so
14 forth, they would take it upon themselves to contact the
15 embalmer, mortician, or whatever, and at least let that
16 individual know that yes, there are iodine 125 seeds implanted
17 in the neck and so forth and so on.

18 So we would expect that. And we see that
19 licensees demonstrate this type of health physics practice.
20 And naturally we endorse that, but we remind licensees that
21 once the material is released, it is released from your
22 control. We are no longer responsible for it as far as the
23 NRC is concerned.

24 I did want to mention, I didn't mention before,
25 that each module has a glossary attached to it. And that's

1 just to sort of help the reader, help the individual who may
2 not be perhaps so familiar with the medical use area, maybe
3 the management types and so forth. It's a pretty basic
4 glossary, nothing too exciting.

5 The second module is teletherapy. It basically
6 -- sorry. I guess we should open it up for comments on each
7 module. Sorry about that.

8 CHAIRMAN SIEGEL: Any big picture items on the
9 brachytherapy module?

10 MEMBER FLYNN: Yes. I'm really happy to see this
11 here because I've been looking for this for four years now.
12 The training for nursing staff is 1,000 percent better than it
13 was in the past.

14 MS. SCHLUETER: Great.

15 MEMBER FLYNN: And I really want to compliment
16 you on that. I had a few comments, but I'm not going to give
17 them now.

18 MS. SCHLUETER: Okay.

19 MEMBER FLYNN: But it's excellent.

20 MS. SCHLUETER: Well, if you even want to mention
21 those to Trish directly, we'd be happy to make those
22 modifications now.

23 Did you have comments, Dr. Stitt?

24 MEMBER STITT: No. To keep it short, I won't
25 except I agree strongly with what Dan had to say.

1 CHAIRMAN SIEGEL: Good.

2 MS. SCHLUETER: Good.

3 CHAIRMAN SIEGEL: All right.

4 MS. SCHLUETER: Great. Now, teletherapy.

5 There's not much to say about teletherapy, really, in a sense
6 that: Has teletherapy changed? No. Have the devices
7 changed? No. Is its use increasing? No.

8 We had a draft licensing guide that was put out,
9 as I mentioned. A lot of that information has been codified.
10 The idea simply is to change the format of that old reg guide
11 and dump it into Reg Guide 10.8 as a licensing module. And
12 there's not much new there. I'll step through these items
13 briefly if you'd like. It does discuss the T&E for
14 physicists, but that's in the rule now, as described in
15 35.961.

16 Under facilities and equipment, it goes into
17 things like a detailed diagram of the facility, the viewing
18 system that we mentioned yesterday, the television monitors,
19 warning systems, access control, shielding, interlocks, all
20 the things that you would expect to have, emergency
21 instructions for when the source fails to retract.

22 And it provides model procedures in that area as
23 well as model procedures for operating procedures; sample
24 survey reports to the NRC; safety checks; instrument
25 calibration; monthly spot checks; daily QC inspection and

1 servicing of units; waste disposal, which again includes
2 returning the sources to the manufacturer; and recordkeeping
3 requirements as well as a glossary.

4 Radioactive drug therapy is a new one. And up
5 until just a few months ago, we were calling it
6 radiopharmaceutical therapy. But we're getting in line with
7 the radiopharmacy rule jargon. We changed the title to
8 radioactive drug therapy.

9 In the very beginning it references the human
10 research requirements that are outlined now in 35.6 that were
11 codified as part of the pharmacy rule. And it references
12 Appendix Y, which also we discussed yesterday as well.

13 It, too, has a training program for nursing staff
14 and others and is only a slight modification of that that we
15 put in for the manual module because many of the things
16 applied.

17 Obviously we don't need to know about sealed
18 sources. So we made it relevant to drugs. But there are a
19 lot of the same components there: QMP, posting, handling
20 contaminated items, visitor control, patient release, and so
21 forth.

22 We also describe the necessary components of the
23 radiation safety program facilities and equipment, including
24 shielding, the detailed diagram to indicate the shielding and

1 control of emissions, but this is all very dependent on the
2 types and quantities that you're going to be using.

3 So it's very general guidance in the sense that
4 we could not get very prescriptive because we expect the
5 applicant to come in and to demonstrate to us that depending
6 on the amount of material, types of materials and quantities
7 that they would be handling at any one time, that they have
8 sufficient facilities and equipment in the way of shielding
9 and handling equipment, emissions control and so forth.

10 So we give this broad picture example of what we
11 would expect, but we're not very prescriptive at all. And
12 perhaps this is where your comments now or later would be
13 helpful in the sense that: Is it too wide open? Is it too
14 general? Is it too generic? Do we need to be more
15 prescriptive?

16 For example, on a discussion of instrumentation
17 calibration and measurement of alphas and betas, there's not a
18 lot to say other than the rule allows you to rely on the
19 manufacturer. And we think that perhaps if you're not going
20 to do that, you're going to come up with a volumetric
21 calculation or you need to demonstrate to us that you have
22 some other mechanism or instrument specifically designed to
23 measure the alphas and betas. And if so, give us that
24 information.

1 We'd like to take a look at what you have because
2 as the radiopharmacy guide I think pointed out yesterday,
3 there's not a lot of specifics to be laid out for the
4 measurement of alphas and betas. And this is another area
5 that we'd like for you to think about and what kind of
6 guidance would be appropriate to give to the licensee here.

7 As you know, now the appendix to Reg Guide 10.8C
8 I think it is or D is for photon emitters. I mean, it didn't
9 ever consider alphas and betas. So is there guidance that we
10 can give to applicants or licensees that would be helpful in
11 this arena?

12 CHAIRMAN SIEGEL: I think there's a generic
13 answer to the question that we've given before. And that is
14 it's premature to put specific guidance in given that there
15 aren't any approved drugs for doing this in the United States.

16 And as long as specialized places like the NIH
17 and the University of Washington are doing this with in-house
18 products, they have a responsibility to write their licenses
19 in a way that shows that they can do it safely.

20 But it would be a mistake for you to put anything
21 terribly specific in in anticipation of the approved drugs
22 that aren't on the street yet. The minute you know one is
23 coming, that FDA is at that point, then it's time. It will be
24 time to put something in.

1 But you might get yourself down the wrong path if
2 you put too much specific information in at the front end.

3 MS. SCHLUETER: Well, we don't want to be not
4 helpful.

5 MR. CAMPER: Barry, what about the betas?

6 CHAIRMAN SIEGEL: Which? Name one.

7 MR. CAMPER: Strontium-89.

8 CHAIRMAN SIEGEL: I mean, basically what
9 virtually everyone is doing is relying on the manufacturer
10 and/or using a volume measurement and not confining the
11 patient.

12 MR. CAMPER: Well, I understand why you would say
13 that. Generally I think that's true, but we did have, for
14 example, one episode where there were seven events that
15 initially were thought to be misadministrations where there
16 was clearly a lack of understanding that if I removed the dose
17 from the vial which I received and put it into a syringe, that
18 I then face a different density situation and the geometry is
19 different and my dose calibrator will not necessarily
20 demonstrate what actually is in the vial.

21 And in the case at hand, by the way, the RSO, who
22 is a physicist, was aware and apparently didn't either pay
23 attention to or didn't understand some of these differences
24 that you have and difficulties in measuring the high-energy
25 beta emitters.

1 So the question then becomes you could use just
2 general guidance like Janet was referring to or -- I mean, the
3 volumetric part of it is fairly easy. And you could step
4 through just a general discussion of that.

5 The question becomes, though: Do you get into
6 more detail providing some specific guidelines about how to
7 actually measure and some of the technical consequences that
8 you need to be considered about when measuring some of these
9 high-energy beta emitters?

10 CHAIRMAN SIEGEL: It wouldn't hurt to put in some
11 clarifying information that says that "If you plan to do this,
12 these are the things you have to consider." There also are
13 some pretty decent NCRP documents on measurement of
14 radioactivity that you could refer people to.

15 The average Part 35 licensee is not going to be
16 getting into this business if they can avoid it any time soon.

17 MS. SCHLUETER: That's right.

18 MR. CAMPER: Well, I think what happens, though
19 -- in this one incident, which was a university setting, there
20 were seven of these events. But there have been -- in fact,
21 we put an information notice out. Torre Taylor authored an
22 information notice.

23 There had been a number of instances where there
24 was not this understanding when I go into a vial and I put it
25 into a syringe, that unless I know what I've done and account

1 for it, my dose calibrator is not going to measure the same
2 with that situation. We have started adjusting the dose
3 accordingly. And obviously there is a mismatch there.

4 MEMBER SWANSON: It was from a prepared
5 manufacturer?

6 MR. CAMPER: Yes.

7 MEMBER SWANSON: They could have done a
8 volumetric calculation?

9 MR. CAMPER: Yes. And they put it in the dose
10 syringe.

11 MEMBER SWANSON: Following volumetric
12 calibration, they --

13 MR. CAMPER: Put it in the dose calibrator. The
14 numbers don't match up. So they started adjusting the volume
15 of the dose because they don't understand the problems
16 inherent in the measuring.

17 CHAIRMAN SIEGEL: That's a problem.

18 MEMBER SWANSON: That's a problem.

19 CHAIRMAN SIEGEL: Guidance would be helpful on
20 that one to make sure people don't make that mistake.

21 MS. SCHLUETER: I think what we have there
22 generally addresses that, but we need to enhance it; right?

23 It walks through personnel monitoring
24 requirements and bioassays, the criteria used to determine the
25 type and frequency of a bioassay that the licensee proposes is

1 needed. Inpatient procedures, it emphasizes the use of the
2 private room and bath, which these are all currently required
3 things. The patient is to the extent possible isolated in a
4 less trafficked area, if you will, but consistent with
5 obviously good medical care.

6 Radiation surveys and detection surveys, which
7 are necessary, it discusses those in order to decontaminate
8 the room down to a releasable level.

9 And confined patients who expire, we have a
10 little bit of information on that with the respect that if you
11 have this patient who is confined because of 35.75, you need
12 radiation protection procedures if that patient expires to
13 ensure that other workers, members of the public, mortician
14 and so forth, are not likely to receive dosages in excess of
15 the Part 20 limits. So it goes into a little bit of
16 discussion about inpatient and patient release procedures.

17 Would anyone like to comment on that module?

18 MR. CAMPER: I want to add an administrative
19 point for the record. We did ask Dr. Rotman to comment on
20 this module for us.

21 MS. SCHLUETER: True.

22 MR. CAMPER: And as we continued to develop this
23 module, we would certainly go back to him again. I think I've
24 seen a rough draft of his comments. I expect we'll get
25 something formal from Mark. And then we'll look at that as

1 well through this process and continue to keep him in that
2 loop.

3 We felt that his involvement previous with the
4 agency and as a radiopharmacist, he was in a good position to
5 provide viable comments on radiotherapy. So we'll keep him in
6 the loop on that.

7 MS. SCHLUETER: Good point.

8 Now, mobile medical services. As I mentioned
9 earlier, this is superseding a current policy and guidance
10 directive. And it in some ways provides greater flexibility
11 to accommodate what we see as an evolving industry.

12 Now, to backtrack on the discussion yesterday
13 with the coach on the HDR, this mobile medical service module
14 does not address therapy, mobile therapy. It addresses the
15 diagnostic use of radioactive drugs. Okay? So it is limited
16 in its scope.

17 However, there appears to be an increase in the
18 use of mobile services, obviously more than there were even
19 two, three, five years ago. And it's important for us to
20 reevaluate the module that we have thus far and continue to be
21 sensitive to the licensing restrictions that we would place on
22 this type of service because we don't want to be burdensome or
23 restrictive on a service that obviously is needed and we could
24 provide the flexibility that's needed by this industry to
25 provide the needed medical services.

1 So we need to be very conscientious of this
2 effort and not make the licensing guidance too burdensome and
3 restrictive. We're already seeing slightly different
4 scenarios than we did just a few years ago on what applicants
5 want to do as a mobile service.

6 Anyone that comes to us, has a request to do
7 therapy in any type of therapy, whether it's radioactive drug
8 or sealed source and so forth, has to request an exemption to
9 the current regulations because it is prohibited in Subpart J.
10 It only allows the diagnostic use of radioactive drugs in a
11 mobile service.

12 The things that the mobile module discusses are
13 the locations of use. There can be different locations. When
14 we say institution, we mean a medical facility that has three
15 or more medical disciplines, several authorized users,
16 hospitals, some clinics, universities, and so forth. The
17 non-institution, what we're calling a non-institution, is your
18 group practices, private practices, that offer a limited
19 number of services, limited number of authorized users, and
20 that don't constitute your full-blown medical institution.
21 Also commercial facilities can be a location of use and client
22 properties which are leased to service companies.

23 CHAIRMAN SIEGEL: Two suggestions early because I
24 think it's important. The term "medical non-institution" is a

1 nonterm. I think we've got to work hard to help you come up
2 with a better --

3 MS. SCHLUETER: It is. We struggled a lot when
4 we wrote the guidance that we did last summer to provide
5 guidance to our regionals on: How do you distinguish those
6 private practices and group practices that are growing that
7 start having a lot of authorized users, that start providing a
8 lot of medical disciplines, that incorporate, that become
9 facilities that look like clinics, hospitals, medical centers,
10 and so forth because they're providing an analogous level of
11 service but had historically been called private practice?

12 CHAIRMAN SIEGEL: But even your definition I
13 don't think does it because I just jotted a little note to
14 myself. Your definition of medical institution means an
15 organization in which three or more medical disciplines are
16 practiced and more than one physician is associated with the
17 medical practice, regardless of the number of authorized
18 users.

19 So here's a medical institution for you. We've
20 got a group practice consisting of two doctors, one of whom is
21 an internist who is authorized to use I-131 for uptake
22 dilution and excretion measurements. So you now have one
23 authorized user, and we're doing this work. And we have
24 another doctor who claims to be both a surgeon and an
25 obstetrician. According to this, that's a medical

1 institution. And that could be a little, tiny office
2 somewhere out in the middle of Montana.

3 We've got to help you come up with a better
4 definition.

5 MS. SCHLUETER: I agree. It's been a difficult
6 one to resolve and to define. And we're already getting test
7 cases, if you will. We have a couple in now from the regions,
8 and it's putting this definition to the test.

9 I've already been able to identify one or two
10 problems with the current definition. So this definition has
11 to continue to evolve to address those types of circumstances
12 that you just mentioned.

13 CHAIRMAN SIEGEL: The real issue is who you issue
14 the license to? Is that?

15 MS. SCHLUETER: The real issue is who you issue
16 the license to, but it's bigger than that in a sense that some
17 of these programs are large enough that they should be subject
18 to additional radiation safety requirements, like they need a
19 radiation safety committee.

20 There are regulatory requirements in Part 35 that
21 apply to medical institutions that don't apply to private
22 practice and so forth. And when you have these private
23 practices, which are growing, growing, growing, and, in fact,
24 should have the management oversight structures or radiation
25 safety committee and so forth comparable to a medical

1 institution, we realize that your private practices,
2 traditional private practices, aren't necessarily so
3 traditional any more. And perhaps there should be certain
4 mechanisms there that aren't there today.

5 So it's not just: Who do we issue the license
6 to? It's management oversight, program oversight, and so
7 forth.

8 MEMBER QUILLEN: From the licensing point of
9 view, there's also an issue that we've faced. And that is
10 when you have this kind of an arrangement, is it really an
11 institution or is it a private practice?

12 In other words, are you actually licensing an
13 organization or are you licensing an individual? And because
14 of the business arrangements, sometimes that becomes very
15 unclear as to which you are actually addressing.

16 We've wrestled with that in several cases in our
17 --

18 CHAIRMAN SIEGEL: Is that ultimately going to be
19 legally defined by how the corporation that you're licensing
20 defines itself? I mean, if it's Dr. Jones, PLC, then it's a
21 private practice.

22 If it's University Medical Consultants and it's
23 clear that the corporation includes multiple doctors, then it
24 starts to sound more like an institution, starts to sound.
25 This is a tough one.

1 MS. SCHLUETER: Yes. There are two kinds of
2 pathways that the issue of incorporation, business
3 relationship, and so forth come up, one of which is a document
4 that we have that provides guidance on change of ownership
5 issues when somebody sells out and so forth.

6 We have guidance in one of our policy and
7 guidance directives or manual chapter or whatever that
8 addresses what information do you need from these entities to
9 determine their relationship to one another and who's in
10 charge and so forth.

11 So it gets addressed there and then also in the
12 licensing arena here just what requirements do these
13 facilities need to meet in order to increase our comfort level
14 with licensing them.

15 And that's what I mean by we have a test case
16 right now, almost exactly what Bob just described. We have
17 this group of physicians, only one of which is an authorized
18 user. They sit in private office suites, but they have this
19 building which they own or lease and operate under this
20 corporate umbrella. So they start to begin to walk, talk, and
21 look like an institution, but, in fact, are they? And it's an
22 example we have right now.

23 Now, OGC did work with us on the definition that
24 you read there. I wouldn't have walked that one alone. They
25 worked with us carefully on that definition. And since then,

1 as I said, we have found problems with it. We have found
2 holes in it that we had to go back and reevaluate that
3 definition. But they've been involved in this process.

4 CHAIRMAN SIEGEL: Go ahead, Larry.

5 MR. CAMPER: I just want to take this opportunity
6 in the realm of mobile to plant a couple of seeds in the minds
7 of Committee members because this is an area where we're going
8 to really need your help in this immediate sense as you look
9 at this guide for us in the next few months, but also as we
10 ultimately move to revise Part 35. This is an area where we
11 really need your help. And we need your help in a couple of
12 ways.

13 If you look at the guide, what we've done today
14 is we've tried to construct a guide so that it's consistent
15 with the current regulatory requirements or allowances for
16 mobile.

17 Now, we just had a case recently. It involved a
18 licensee who is in an agreement state who wanted to come into
19 our jurisdiction for reciprocity. And in reciprocity, they
20 can do what they can do by virtue of what's authorized in
21 their agreement state license.

22 But the problem is that reciprocity, some of the
23 conditions and provisions of reciprocity, don't recognize, are
24 not necessarily suited for medicine, the practice of medicine,
25 short-lived isotopes. They were really built around such

1 things as industrial radiographers and well loggers and so
2 forth.

3 But there are some interesting things that come
4 to bear with mobile, and that is, on one hand, for example, if
5 you look at our regulations today in 35.29 and 35.80 about
6 where you can receive materials where you're conducting, for
7 example, you can't receive materials at your client's
8 facility. You can get them delivered to your base operation.
9 You can transport them there, but you can't have them received
10 at your client's facility.

11 Now, arguably, some might think that's overly
12 burdensome. You might be able to, for example, put in place
13 administrative procedures and regulatory safety procedures and
14 so forth that would allow you to do that.

15 Another big issue that comes up -- and so the
16 immediate sense is take a look at this, helping us with
17 guidance now, but as you do that begin to think ahead because
18 I think when we revise Part 35, there will be a stand-alone
19 component for mobile imaging.

20 The question of the practice of medicine, the
21 idea that I buy my mobile unit and I'm based in Maryland but I
22 decide to move up into Pennsylvania and do some mobile
23 imaging, what about the practice of medicine where you're
24 licensed and which state to practice medicine? Is that an
25 issue? I don't know. Is it an issue?

1 CHAIRMAN SIEGEL: If the physician's traveling
2 with the truck, the physician who is rendering those services
3 in Pennsylvania had better be licensed in Pennsylvania.

4 MR. CAMPER: Right. Well, this is just an
5 example of some of the kinds of issues that you're up against
6 when you begin to move about.

7 Now, on one hand, we have to make sure that we
8 protect public health and safety, obviously. On the other
9 hand, we have to recognize the emerging technology and the
10 changes going on in the health care industry to consolidate,
11 change ways, the services that are provided and so forth,
12 while also recognizing practice of medicine issues.

13 So I think in the immediate sense you can help us
14 by reviewing the guidance, but it's time to begin to think for
15 the future because this is going to be a very interesting area
16 as we revise Part 35. And you can play a key role there.

17 MEMBER NELP: I'd like to ask: How many mobile
18 diagnostic units are there under your purview in the United
19 States?

20 MS. SCHLUETER: Not many. The bulk of them are
21 in Regions 1 and 3. Less than a dozen.

22 MEMBER NELP: Could you give me a number?

23 MS. SCHLUETER: Less than a dozen.

1 MR. CAMPER: That's in our jurisdiction. I don't
2 know how many are in agreement states, but certainly more than
3 that.

4 MEMBER NELP: My impression is that the mobile
5 business has --

6 MS. SCHLUETER: Fifty.

7 MEMBER NELP: -- been dying, not flourishing.

8 MS. SCHLUETER: Okay. Excuse me. Let me correct
9 myself. Torre corrected me to say that under the program code
10 that's established for mobile medical services, there are 50,
11 may be as many as 50.

12 MEMBER NELP: I'm sorry? There --

13 MS. SCHLUETER: There may be as many as 50 in NRC
14 jurisdiction.

15 MR. CAMPER: Now, with regards to whether it's
16 driving or dying, I can't really comment with any degree of
17 validity, but our impression is that it's not dying. Our
18 impression is that there's some shakedown going on in the
19 industry and certain players are emerging.

20 But, for example, in the mobile arena, we are
21 going to have at our front door very shortly an application
22 for mobile HDR. The State of California in the last year or
23 so has licensed a mobile HDR operation for the very same
24 company. So maybe you know something I don't know.

1 But we see an awful lot of movement going on in
2 the health care industry today amongst licensees to try to
3 find more cost-effective ways to provide services involving
4 radiation. We had an inquiry recently from one of the
5 agreement states that has five or six hospitals and wanted to
6 consolidate into one license. We've had a movement by one of
7 the large commercial radiopharmacies in this country to
8 consolidate licenses, 27 licenses, into one. There's a lot of
9 activity going on along these lines of which mobile is a key
10 component.

11 CHAIRMAN SIEGEL: Moving right along, I think we
12 will provide comments. And it looks like this is your next to
13 last --

14 MS. SCHLUETER: Yes. This is basically it. The
15 one thing, in response to the kind of conversation we've had
16 right now about the flexibility and so forth, it does go into
17 things like: Where can you put a base hot lab? We need to
18 know the scope of activities of where it would be. If it's
19 proposed to be in a residential location, obviously there are
20 going to be a few more concerns and pieces of licensing
21 information that we would need before we could license such a
22 situation.

23 At temporary job sites or clients' address of
24 use, there are really two types of mobile services that go on.
25 It's a scan and van, if you will, where the patient actually

1 boards the van and has the study done on the van or the
2 service is performed at the client's address of use. They
3 have the imaging equipment, and you're going in with the
4 radioactive materials and the technologists and so forth.

5 We go through the necessary components of the
6 radiation safety program, including checking of that
7 instrumentation before use at each address.

8 The receipt of licensed material, Larry got into
9 this a little bit. Currently 10 CFR Part 35 limits where you
10 can receive that material, but we think that we should allow
11 licensees to receive the material on the mobile van if the
12 mobile van -- or excuse me.

13 Let me back up and rephrase that a little bit.
14 Licensees should be allowed to receive at the client's address
15 of use if they are receiving the material onto the mobile van
16 that's providing the service provided that it is attended and
17 can be kept secure and under their constant surveillance, as
18 required by Part 35 now.

19 So typically that hasn't been something that
20 we've had applicants come in and ask for, but that's the kind
21 of flexibility that we're saying we're willing to provide in
22 this type of revised guidance.

23 Our outpatient radioactive drug therapy. As I
24 mentioned, therapy procedures do require an exemption.
25 Emergency procedures, transportation requirements obviously

1 are important to us. Typically we have not allowed overnight
2 storage on the mobile van. It needs to go back to the base
3 hot lab location.

4 And waste management. We go into a little bit of
5 a discussion about radioactive waste material that might be
6 incident to the use. And also we had an interesting case just
7 recently which Torre had the luxury of handling, which was a
8 request from a licensee about holding human excretion in a
9 holding tank on the van and how should they release it and
10 what requirements really apply. So that was a new twist, and
11 we got to do something a little different with mobile service
12 there. So it's that kind of guidance that we need to
13 incorporate in the module because that could, in fact, occur
14 again.

15 That's all I have on this project.

16 MEMBER WAGNER: Could you answer, that no
17 overnight storage on the mobile van, is that a regulation?
18 Does that fall under regulation or what's the philosophy
19 behind that?

20 CHAIRMAN SIEGEL: Probably securing radioactive
21 material --

22 MEMBER WAGNER: Securing.

23 CHAIRMAN SIEGEL: -- blah blah blah, Part 20.

24 MR. CAMPER: You've got two problems. You have
25 security in storage overnight.

1 MEMBER WAGNER: Yes.

2 MR. CAMPER: You also have storage in what's
3 so-called temporary job sites is the problem, too.

4 MS. SCHLUETER: It's also not supposed to be
5 stored in a public access area like a public road sitting
6 next to a hospital or something like that. You have other
7 Part 20 concerns on the release of that material.

8 That's why I said I qualified it that we
9 typically have not authorized overnight storage on the van,
10 mainly because I think what we have been seeing thus far are
11 base hot labs which are operating, going out for the day, and
12 returning and bringing the incident waste back to the base hot
13 lab.

14 That's not to say that that situation won't
15 change and we won't get an application for something
16 different, and we have.

17 MR. CAMPER: Or that you wouldn't grant an
18 exemption.

19 MEMBER WAGNER: Okay.

20 MS. SCHLUETER: Right.

21 MR. CAMPER: Because we have.

22 MS. SCHLUETER: And, as Josie mentions, we have
23 according to the exemption for.

24 MEMBER QUILLEN: I just want to comment that in
25 Colorado a number of local fire departments have started

1 enforcing uniform fire code, which has brought a lot of
2 anguish to a number of our licensees because they were unaware
3 of the criteria of that fire code.

4 And you might want to put something in your 10.8
5 to alert people to that fact that this is another set of
6 criteria they may have to meet.

7 MS. SCHLUETER: You know, we did that in the
8 mobile module, but it might be better to put it up front
9 because it could apply obviously to other uses. We have it
10 somewhere. I'm not getting it right at the moment.

11 CHAIRMAN SIEGEL: All right.

12 MS. SCHLUETER: Okay? Everybody happy?

13 CHAIRMAN SIEGEL: Yes, we are.

14 MS. SCHLUETER: Great.

15 CHAIRMAN SIEGEL: Janet, thank you.

16 We have to plug in about 20 more minutes of
17 Trish's stuff from yesterday. The consensus I think is that
18 the PDR questions that still are hanging on from yesterday
19 we're not going to try to deal with because doing that without
20 the physicists would not be prudent, but that there are a
21 couple of other medically related brachytherapy questions that
22 we could deal with.

23 So why don't we deal with those? Then we'll take
24 our break. So go for it. Is that okay? Unless everybody is
25 dying to break quickly.

1 MS. HOLAHAN: Good morning. For the record
2 again, I'm Trish Holahan of the staff. I'm going to quickly
3 just focus on a few things that are sort of more medical
4 related. Perhaps I'd like to get some input on those.

5 Janet mentioned earlier and later on today you're
6 going to hear about the patient release rule, but that rule
7 primarily deals with release of patients administered
8 radiopharmaceuticals and permanent implants. A question has
9 been raised recently, particularly in line with eye plaques,
10 as to whether or not you can release patients with temporary
11 implants.

12 Currently 35.404 only authorizes release and
13 confinement after all sources have been removed and the
14 patient is surveyed. We have granted exemptions on a case by
15 case basis for patients that have eye plaques and provided the
16 licensee commits to meeting certain requirements.

17 For example, the measured dose rate must be less
18 than five millirem per hour at a meter. In terms of the eye
19 plaques, the licensees have committed to using non-hardening
20 bonding agents. Because the plaque is surgically sutured in
21 place, there is less chance of the plaque falling out or
22 becoming dislodged.

23 Also the licensee must provide radiation safety
24 guidance to the patient. And when the patient returns to have
25 the eye plaque removed, the licensee must dismantle the eye

1 plaque to ensure that they have recovered all seeds and then
2 do a radiation survey of the patient.

3 The question is: In terms of the revision of
4 Part 35, should NRC consider modifying the regulations to
5 allow releases of patients in certain situations?

6 I'd also like to mention I've received a
7 telephone call from a licensee that wanted to use iridium-192
8 low-activity seeds, which they indicated would be in the
9 patient for two to three months and then the patient would
10 come back in, and then they wanted to release. They were
11 asked to provide more information, which I haven't seen.

12 And then the second question is: What are the
13 minimal provisions to ensure protection of health and safety?
14 These statement "Consideration for release of patients with
15 temporary implants have generally considered that most
16 temporary implants have a higher dose rate than the permanent
17 implants." And that was the rationale for not authorizing
18 release.

19 CHAIRMAN SIEGEL: Should they change the
20 regulations to allow people with sutured-in eye plaques to
21 walk the streets? How long are these things usually left in?

22 MEMBER FLYNN: I've not done the eye plaques.
23 It's only in a few places in the country.

24 MS. HOLAHAN: We have typically seen licensees
25 saying they leave them in anywhere from three to seven days.

1 MEMBER STITT: I guess the one that throws me is
2 the iridium that you were --

3 MEMBER FLYNN: Right. I can't imagine them using
4 iridium.

5 MEMBER STITT: That's what I'm not familiar with.
6 I guess the thing that bugs me about iridium, that it's less
7 likely to have seeds drop out of the ribbons than the
8 iodine-125, which can end up in all sorts of places, but
9 certainly it would be a potential that that could happen.
10 Most of the eye plaques are done with a different
11 isotope.

12 MS. HOLAHAN: Eye plaques are done typically with
13 either I-125 or palladium-103, the ones that we seen used in
14 those. And, again, the plaque is sutured in place; whereas --

15 MEMBER FLYNN: Do you have any specific
16 information as to these iridium in the ribbon form? And then
17 do you have any idea of what the activity was that they're
18 releasing the patient with? I just don't know.

19 What you said previously was correct, the
20 temporary implants, the concept is that the dose rate being
21 generated in the target area is higher. And, therefore,
22 that's why it's removed. It's temporary. The normal tissue
23 wouldn't tolerate that kind of a dose rate as a permanent
24 implant. A half-life is too long. The total dose would be
25 too great.

1 So I don't have any examples that I can think of
2 whereby iridium-192 is being used as a temporary implant and a
3 patient is being released and has to come back. I just don't
4 know.

5 MS. HOLAHAN: I think the question came in as
6 they were looking at it as something that they were looking to
7 do in the future. And so they did not have a lot of
8 specifics. And that was why I wasn't able to answer the
9 question.

10 But I know that they had indicated that this was
11 a possibility for the future, that there was potentially some
12 research being done on it, which I'm not familiar with.
13 Perhaps I'm hearing from the Committee, too, that you're not
14 familiar with any --

15 MEMBER FLYNN: And also the difference between
16 the iridium seed and the iodine seed is the iodine seed is
17 putting on a very nice low-energy radiation; whereas, the
18 iridium could be potentially more of a safety problem. But it
19 depends on what the activity is, what is the source strength.

20 MEMBER STITT: I guess I'm sort of baffled
21 because you said they were proposing to leave it in three to
22 four months or something.

23 MS. HOLAHAN: Two to three months is what they
24 told me.

1 MEMBER STITT: I don't have the knowledge of what
2 that procedure is.

3 MEMBER FLYNN: Especially if it's temporary.

4 MEMBER STITT: Right. That isotope for that
5 period of time --

6 MEMBER FLYNN: Some of the training plants were
7 done with low-dose iridium seeds, but temporary implant I just
8 don't --

9 CHAIRMAN SIEGEL: What's being treated with these
10 I-125 and palladium eye plaques?

11 MEMBER STITT: Ocular melanoma is the most common
12 thing.

13 MEMBER FLYNN: Right.

14 CHAIRMAN SIEGEL: And they're implanted where?

15 MEMBER STITT: At the site of the melanoma.

16 CHAIRMAN SIEGEL: So they're all the way back in
17 the choroid? So they're way back in there, not likely to fall
18 out? They're not just --

19 MEMBER FLYNN: I've never seen them. They're
20 just not common. I mean, most facilities don't do this
21 procedure.

22 MEMBER STITT: This is an enormously rare
23 disease.

24 CHAIRMAN SIEGEL: It takes a second surgical
25 procedure to move the temporary implant?

1 MEMBER STITT: It depends on which location it is
2 on the globe, but it can be a minor procedure. That is
3 sedation and --

4 MEMBER FLYNN: But it is a procedure?

5 MEMBER STITT: Yes.

6 MS. HOLAHAN: Yes. It is being done at several
7 -- there is a study being done at several centers. They're
8 doing the --

9 MEMBER STITT: The COM study is probably what
10 you're referring to.

11 MS. HOLAHAN: Right, the COM study.

12 MEMBER STITT: That's very tightly controlled.
13 In fact, we've got eight rad oncologists. There's only one
14 who's allowed to do it at our institution.

15 And I don't think that's the problem. The cases
16 probably don't come from the COM.

17 MS. HOLAHAN: We have some from the COM.

18 MEMBER STITT: Do you?

19 MS. HOLAHAN: Yes.

20 MEMBER STITT: Okay.

21 MS. HOLAHA: Some of it's from the COM. I know
22 of one that was not part of the COM study that requested this
23 exemption, but the majority of them have come from facilities
24 that are on the COM study.

1 CHAIRMAN SIEGEL: It sounds to me like the
2 magnitude of the problem warrants continuing to do exemptions,
3 rather than codifying it.

4 MS. HOLAHAN: Okay.

5 MEMBER STITT: Yes.

6 CHAIRMAN SIEGEL: I'm afraid that if you write a
7 general rule, you're going to open up the opportunity for it
8 to apply to other things that you didn't intend it to and that
9 there will be a safety problem. And it's probably better to
10 handle it on a case by case basis.

11 MEMBER STITT: And the case by --

12 CHAIRMAN SIEGEL: Because I just can't see 2000
13 Part 35 licensees wanting to do this.

14 MEMBER STITT: Right. And the case by case can
15 be so -- there can be such variation from one case to the next
16 that I think they -- and the total volume is very low. I
17 think it should be looked at as --

18 CHAIRMAN SIEGEL: And given that there's process
19 re-engineering is going to mean that license amendments will
20 sail through in two weeks. It's not going to be that big a
21 deal; right?

22 MS. HOLAHAN: Okay. This next one, this issue,
23 was originally raised about an incident which Dr. Flynn
24 discussed yesterday in terms of prostate implant in which the

1 activity of the seeds used in the implant were 10 times the
2 activity intended.

3 And licensees are required in the QM rule to
4 verify the final plans of treatment, and calculations are in
5 accordance with the written directive. However, the question
6 has arisen in terms of verifying the source activity.

7 It is currently our guidance to licensees and to
8 regional staff as licensees can verify the source activity
9 either by assay, physical assay, or they can confirm the
10 activity against other documentation, such as a shipping
11 label.

12 And the question is: Is either physical activity
13 or verification of documentation an acceptable method of
14 verification of the source activity? Is this a procedure or a
15 policy that we should continue with?

16 MR. CAMPER: As you answer this question, bear in
17 mind that there was recently a misadministration, a
18 significant misadministration, where seeds were implanted that
19 were off by an order of magnitude.

20 MEMBER STITT: That's what she's talking about.

21 MR. CAMPER: They were verified. That's right.
22 They were verified by shipping and logged in correctly,
23 interestingly enough, but not assayed.

24 CHAIRMAN SIEGEL: No, but they weren't verified
25 by the authorized user.

1 MS. HOLAHAN: Correct, correct.

2 MR. CAMPER: That's correct. They were verified

3 --

4 CHAIRMAN SIEGEL: They were verified by somebody
5 else.

6 MS. HOLAHAN: Right. They were logged in
7 correctly in accordance with the shipping label.

8 MR. CAMPER: That's right.

9 MEMBER NELP: You can't regulate out mistakes. I
10 mean, that's just a mistake and a very --

11 MR. CAMPER: Well, no, no. What we're saying,
12 though, is the or. Is it acceptable to do it either way or
13 should you, --

14 MS. HOLAHAN: Is what we do currently acceptable?

15 MR. CAMPER: -- in fact, require physical assay?

16 CHAIRMAN SIEGEL: Buzz, in a way it's no
17 difference than if you're running a code and you say to a
18 nurse "Give me .25 milligrams of" such and such. The nurse
19 draws it up and holds the vial up so that you can see that
20 that's what you've got. You as the person who is about to
21 inject that drug have some independent verification that
22 you've got the right stuff.

23 Relying on several parties down the line on
24 source strength is troublesome, especially --

1 MEMBER STITT: Well, and that's how this case
2 occurred --

3 CHAIRMAN SIEGEL: Yes.

4 MEMBER STITT: -- because it was exactly what had
5 been ordered. And all the documentation was exactly right.
6 The big problem was that nobody used an ionization chamber to
7 see what was really going on. I mean, in our practice we
8 would never use seeds without having determined their activity
9 through some means other than shipping documents.

10 CHAIRMAN SIEGEL: But the shipping document was
11 correct here.

12 MEMBER STITT: Oh, it absolutely --

13 MR. CAMPER: The problem was --

14 CHAIRMAN SIEGEL: Wouldn't the ACMUI have known
15 these were the wrong seeds?

16 MR. CAMPER: No.

17 CHAIRMAN SIEGEL: Wouldn't he have looked at the
18 shipping document?

19 MEMBER STITT: No. Well --

20 MEMBER FLYNN: I thought if you meant that the
21 authorized user in the operating room could look at the seeds
22 and tell. There's no color coding.

23 CHAIRMAN SIEGEL: No, you can't look at the
24 seeds.

25 MEMBER FLYNN: You can't tell.

1 CHAIRMAN SIEGEL: No. But what if he looked at
2 the labelling that came with the shipping document and said
3 "Oops. These are 4-millicurie seeds and not 400-microcurie
4 seeds"?

5 MEMBER NELP: When I treat a patient, I have
6 three people verify the labeling before I give it to the
7 patient. And if I can, I'll assay it, but I may not assay it
8 if I have a --

9 CHAIRMAN SIEGEL: For whatever it's worth, in my
10 shop I'm one of the three people who verifies the labelling.

11 MEMBER NELP: Now, in your place do you verify
12 your own seeds? I mean, does the therapist verify the
13 documents that say "These are the seeds that I ordered and
14 this is the strength"?

15 MEMBER STITT: No. I tend not to or we tend not
16 to look at the documentation, but we always use an ionization
17 chamber. And the physician's a part of that.

18 MEMBER NELP: You assay it yourself.

19 MEMBER STITT: So, I mean, we're involved in the
20 checking process.

21 MEMBER NELP: Personally document it in some
22 fashion.

23 MEMBER STITT: Yes because we're using the darned
24 things.

1 CHAIRMAN SIEGEL: I think and either/or should be
2 included.

3 MS. HOLAHAN: Okay. So you're saying that our --

4 CHAIRMAN SIEGEL: Physical assay or --

5 MS. HOLAHAN: -- current approach is acceptable?

6 CHAIRMAN SIEGEL: Yes, I think so. I mean, I
7 don't think you would -- there's no reason to force a
8 freestanding facility that doesn't have a dose calibrator to
9 order one if verification of the shipping documents does the
10 job.

11 That's what I think. Now, do you all disagree?

12 MEMBER FLYNN: I don't disagree. I think this
13 problem was a problem in my personal opinion with the
14 licensee. I think the licensee has had many problems in the
15 past with brachytherapy and has not shown a careful to the
16 whole safety program.

17 And I'm talking about sources getting lost in
18 laundries, not having the RSO feeling that it was okay for
19 untrained personnel to be doing the surveys of linen leaving
20 the room, a licensee whom I believe is the only one in the
21 United States who doesn't feel it's really necessary for a
22 medical physicist to be present at an HDR procedure.

23 So I think this is a licensee problem. And I
24 think licensee administration is totally out of touch with

1 what's the standard of practice in the United States for this
2 licensee.

3 CHAIRMAN SIEGEL: Good.

4 MR. CAMPER: Let the record show he didn't
5 mention the name of the licensee.

6 MS. HOLAHAN: Okay. This next one relates to
7 source localization. And the reason we're raising this issue
8 is that we have had a number of cases recently where
9 applicators have shifted, where the sources have moved. And I
10 understand from yesterday's discussion that this is to be
11 expected in many brachytherapy applications.

12 I guess my question, then, as a result of that
13 is: Are the current standards adequate now to address this?
14 Do we need any additional guidance or does the standard of
15 practice address this?

16 MEMBER STITT: Boy, that was one of your
17 questions many months ago on that question sheet that you sent
18 around, wasn't it?

19 MS. HOLAHAN: Yes.

20 MEMBER STITT: I think the standards are
21 adequate. What impresses me after having practiced
22 brachytherapy for many years and now doing consultations on
23 misadministrations is I thought I had seen it or at least
24 heard of it all.

1 And it's amazing how many ways there are that
2 patients or staff or systems just allow something kind of
3 quirky to occur that allows sources to change positions.

4 I mean, I think you can only regulate and
5 legislate so much. We cannot control a lot of these things
6 that are simply beyond --

7 MS. HOLAHAN: Now, let me ask: Is this the type
8 of thing that the American Brachytherapy Society is looking
9 into in terms of their programs? And are the professional
10 societies actually addressing this type of issue?

11 MEMBER STITT: Let's see. I'm trying to think of
12 56. Task Group 56 is addressing that to some degree. I mean,
13 what you'd like is a standard that says: You as a radioactive
14 source will not move. I mean, you can say: You as an
15 institution, you as a physician, you as a nurse will do
16 certain things.

17 And we do say that. But those sources can still
18 move. And there's no way that we have the power to stop them.

19 MS. HOLAHAN: Okay. Well, I think often what we
20 see is sources have shifted. And then the licensee has come
21 back and said, "Well, with corrective action, I can make sure
22 that they're sutured in or I can put packing in or I can" --

23 MEMBER STITT: You can do every one of those
24 things, and you can still get the sources --

25 MS. HOLAHAN: Okay.

1 MEMBER STITT: I could document numerous cases of
2 that. I think the standards and procedures are adequate if
3 they're followed.

4 MS. HOLAHAN: Okay. Just moving to HDR, then,
5 again, quickly. This is my last issue. In terms of the
6 current licensing guidance on HDR, there are specific
7 emergency procedures. The licensee must develop emergency
8 procedures. And the personnel must be trained in the
9 emergency procedures. And they must include specific things,
10 such as examples of situations requiring action, step by step
11 actions, criteria requiring surgical intervention, and
12 identification of emergency source recovery equipment.

13 My question again -- and perhaps we did hear this
14 somewhat yesterday that the AAPM is starting to develop
15 standards, as is -- is it ACR or ASTRO?

16 MR. CAMPER: ASTRO.

17 MS. HOLAHAN: -- ASTRO in terms of industry
18 standards. And do those address emergency procedures and
19 handling of emergency situations?

20 MEMBER STITT: The answer to that question would
21 be yes. They are in development, and the security and safety
22 are part of what's being developed.

23 MS. HOLAHAN: Okay. And then in an emergency
24 situation, should the expectation be that if surgical
25 intervention would be required, that the licensee would have

1 the appropriate facilities there to handle such a situation?
2 Again, this is getting back to the freestanding clinics and
3 the mobile HDR, where they would not have a full --

4 MEMBER STITT: Trish, I don't know. What I
5 should do is take that particular question back and look at
6 the document we're working on to see if that's stated and if
7 not, bring it up.

8 MEMBER FLYNN: If you require the authorized user
9 to be physically present, the radiation oncologist, during the
10 procedure, to remove the sources doesn't require a surgeon.
11 So if you're in a freestanding center, it requires suture
12 removal kit. You cut a stitch. You pull out a tube. It's
13 not a big deal.

14 MEMBER STITT: Well, it could require a surgeon.
15 One of the problems would be a source that's lost in a
16 bronchus. And I think those are the --

17 MEMBER FLYNN: Lost in a bronchus?

18 MEMBER STITT: Yes.

19 MEMBER FLYNN: Penetrated through the --

20 MEMBER STITT: No. It's come disconnected from
21 the cable and --

22 MEMBER FLYNN: But still in the carrying tube,
23 though.

24 MEMBER STITT: If it's a high dose rate source,
25 it would be lost in space.

1 MS. HOLAHAN: Even with the closed-ended
2 catheter?

3 CHAIRMAN SIEGEL: Isn't there a --

4 MS. HOLAHAN: Why would you be able to pull the
5 catheter? No?

6 MEMBER FLYNN: HDR is a closed catheter, like the
7 Omnitron source that was removed on December 7th, a week after
8 Indiana, by the physicist.

9 MEMBER STITT: Yes.

10 MEMBER FLYNN: I mean, it's still inside the tube
11 itself. The tubes I believe are always closed-ended in
12 bronchus applications.

13 MEMBER STITT: Yes. I think the ones that I know
14 of are. But somehow there was a hypothetical circumstance
15 where somebody was discussing --

16 MS. HOLAHAN: I think the --

17 MEMBER STITT: This was the iridium.

18 MS. HOLAHAN: -- end of the tube possibly --

19 MEMBER FLYNN: And some of the iridium catheters
20 are open-ended, in some of the ones used by a prominent
21 brachytherapy in Southern California, for a reason that if he
22 should hit a blood vessel, he wanted to have some indication
23 by some return of a small amount of blood so that the -- there
24 was a beveled end to the catheter which was opened at the end.

1 MEMBER STITT: Well, there's one of the systems
2 that has a little grappling hook. Is it Omnimed or -- no. I
3 think it's a different system. It has a little grappling hook
4 that latches onto the bronchus.

5 I think that if you look at a broader spectrum,
6 if you're a freestanding any type of facility, you need to
7 have some statement as to how you handle medical emergencies,
8 be it pulmonary embolism, chest pain, stuck sources.

9 So there's nothing that is out there right now,
10 but that question should be part of what we're developing,
11 too. And I'll make sure we bring them up.

12 MS. HOLAHAN: Okay. All right.

13 CHAIRMAN SIEGEL: Good.

14 MS. HOLAHAN: Thank you.

15 CHAIRMAN SIEGEL: Thanks, Trish.

16 MEMBER FLYNN: Thank you, Trish.

17 CHAIRMAN SIEGEL: Okay. We are good for a
18 10-minute break.

19 (Whereupon, the foregoing matter went off the
20 record at 10:06 a.m. and went back on the record
21 at 10:21 a.m.)

22 CHAIRMAN SIEGEL: Sally, are you set? Go for it.
23 Sally, QMP.

24 MS. MERCHANT: For the record, I'm Sally
25 Merchant. And I'm the project manager for the implementation

1 of the quality management program and misadministration rule
2 that became effective in January of 1992.

3 Now, as part of the implementation of that rule,
4 we provided some guidance to the regions. And some of that
5 guidance is in 12-91 before the rule went into effect. We
6 provided a draft standard review plan for the review of that
7 program. That review plan was revised in August of 1993 and
8 provided to the contractor, who reviewed the submitted quality
9 management programs.

10 August of '94 we issued a temporary instruction
11 for the inspection of implemented quality management programs.
12 And that's being used by the inspectors right now to inspect.
13 A part of regularly scheduled inspections they are using that
14 TI, temporary instruction, to do those.

15 We are entering all of those findings from that
16 TI into a database. We plan to use those findings to evaluate
17 the implementation. We can also use those findings to
18 identify those things that licensees are doing consistently
19 and regularly. And, therefore, we don't need to put a lot of
20 time and effort into inspecting those things. We can also
21 identify some of the things for certain modalities that might
22 need some further attention.

23 Additionally, we revised the standard review plan
24 again. And we expect that to be issued this month or very
25 early next month. I'd like to comment that the standard

1 review plan has been significantly reduced so that it only
2 addresses just how do the licensees meet the five objectives
3 and it's completely performance-based. Other than the five
4 objectives, the other portions of the rule are prescriptive
5 and aren't addressed in the licensing standard review plan.

6 The original QMPs, as you know, were reviewed by
7 a contractor. And the findings were conveyed to the licensees
8 in a very long and detailed letter.

9 Most of the licensees have submitted revised
10 QMPs. And these are going to be reviewed by the license
11 reviewers for new license applications and when a modality is
12 added or when the inspector is preparing to go out.
13 Otherwise, these are not going to be reviewed as they come in.

14 So we're going under the assumption that the licensees have
15 addressed the concerns that were issued in the first letter.

16 To date we have 189 inspection findings entered
17 into our QMP database. There were 314 modalities represented.
18 And they include: brachytherapy; teletherapy; HDR; and
19 radiopharmaceutical therapy, including sodium iodide.

20 We found that 45 modalities, 34 licensees failed
21 to meet the objectives upon inspection. Six of the licensees
22 had multiple modalities that failed. The 34 licensees'
23 quality management programs either failed to meet an objective
24 upon inspection but met the objective in the written QMP or

1 failed to meet the objective both upon inspection and in their
2 written QMP.

3 I would comment that this is a little different
4 than what we found in the pilot program. In the pilot
5 program, we found that a majority of the licensees had better
6 programs than their written showed. In this case, these 34
7 licensees failed to implement their programs or did not have
8 an adequate program.

9 CHAIRMAN SIEGEL: What are the top two reasons
10 for not meeting the objectives?

11 MS. MERCHANT: What we sorted for were Objectives
12 3 and 4. Now, 3 does not apply in radiopharmaceutical therapy
13 or I-131. But those are the two objectives. Objective 3 is
14 basically that you're assuring that any calculations are
15 correct, whether they come from a computer or whether someone
16 is checking hand calculations, but you're assuring that the
17 calculations are correct; and, 4, that you have some kind of
18 procedure and it can be -- I mean, you decide what that
19 procedure is, but that you have implemented some sort of
20 procedure to ensure that what you're about to give is what the
21 written directive says.

22 And it's the same problem that happened in
23 brachytherapy, where the sources were 10 times what they
24 should have been. There was no procedure to check it
25 immediately beforehand.

1 CHAIRMAN SIEGEL: Okay.

2 MS. MERCHANT: And, as I said, that procedure is
3 up to the licensee. We'll take any reasonable procedure.
4 It's not prescriptive. But in these cases let me make clear
5 that these findings are based on what the licensee is telling
6 the inspector. Do you do something? What do you do? And if
7 they don't do anything, then they fail to meet it.

8 We found that there were 26 recordable events and
9 that 15 of those recordable events were identified by the
10 licensee. But 11 of those recordable events were identified
11 by the inspector and not by the licensee.

12 CHAIRMAN SIEGEL: Tough question, to which you
13 may not have the answer: Are those recordable events that
14 would be 11 that occurred outside of an audit cycle by the
15 licensees? Do you follow my question?

16 MS. MERCHANT: No, I don't understand the
17 question.

18 CHAIRMAN SIEGEL: Let's say a recordable event
19 occurred three weeks ago and I'm not due to do my annual audit
20 for six months.

21 MS. MERCHANT: No. These would have fallen
22 within the period of time at which you should have found it.

23 CHAIRMAN SIEGEL: Okay.

1 MS. MERCHANT: And then for the misadministration
2 portion of the rule, we looked at the misadministrations '92,
3 '93, '94, and '95, which we have been doing.

4 I might add that for 1995, we have two listed
5 here, and that number was correct or reasonably correct as of
6 Thursday. It is no longer correct. There have been a
7 possible HDR incident and an iodine incident since that time.

8 I might also add that these two that we have
9 listed here, I have them -- they're under misadministrations,
10 but they're still listed as events by us in that we have not
11 assured that they meet the definition for misadministration.
12 It's still under -- I guess I would like to add here, just to
13 clarify, that all misadministrations do not have a violation
14 associated with them.

15 Do I have any questions on the --

16 (No response.)

17 MS. MERCHANT: Short and sweet.

18 CHAIRMAN SIEGEL: What's the status of agreement
19 state implementation of the QM rule?

20 MEMBER QUILLEN: Mixed.

21 CHAIRMAN SIEGEL: I knew the answer. I just was
22 curious.

23 MS. MERCHANT: I was going to say Larry can best
24 answer that because he gave a little talk at the agreement
25 state meeting.

1 MR. CAMPER: Well, Bob's characterization is
2 correct. It's mixed. And those who haven't seem, to be
3 waiting to see what we're going to do about revising Part 35.
4 And those who have are annoyed because others have not because
5 they've spent money, time, and dealt with their state
6 legislatures.

7 CHAIRMAN SIEGEL: Aren't those who haven't in
8 violation of the law?

9 MR. CAMPER: Well, technically it is an item of
10 compatibility. They had three years to become compatible on
11 it. But we have been working with OGC in trying to find ways
12 to provide some flexibility for the agreement states on this
13 issue. I don't know exactly where it stands at this moment.
14 It hasn't come to closure.

15 But there are some problems. In some of the
16 states, for example, this idea that you would have licensees
17 submit their QMPs, some states, for example, have a
18 requirement that if the regulatory agency receives the
19 document from the licensee, they have to review it and react
20 to it within 30 days. And if they literally had to do that,
21 they would have to shut down the rest of their programs so
22 they could review, submit a QMP.

23 So there are some practical problems for the
24 states, but it's a mixed bag. And we're trying to work our
25 way through it.

1 MEMBER STITT: I have a question for Bob. The
2 table that Sally showed, is there anything that's equivalent
3 to that for the agreement states regarding misadministrations
4 by type?

5 CHAIRMAN SIEGEL: That was part of the point of
6 compatibility.

7 MR. CAMPER: Yes. We --

8 MEMBER STITT: Is that actually collected?

9 MR. CAMPER: That's a question that always comes
10 to my mind whenever I look at misadministration data. Let me
11 see if I can try to properly characterize where we are.

12 The definitions for misadministrations were
13 Division I compatible. That means they would have been
14 verbatim effective in January of '95 for the agreement states.
15 They had three years to implement the rule. It became
16 effective on the 27th of January 1992, which meant, then, for
17 the first time you would have had all states and NRC calling
18 misadministrations by the same thing in terms of definitions.

19 What you have right now, though, is you have some
20 of the states have achieved compatibility and are using our
21 definitions. Some are still relying upon the old definitions.
22 And yet another subset is relying upon definitions for
23 misadministrations which they have created. So obviously
24 until such time as you get the uniform definition you won't be
25 able to get a national perspective.

1 It's further compounded by the fact that it's
2 only been recently that we've asked the agreement states to
3 report to us misadministrations which occur, but it's
4 voluntary reporting. It's not a mandatory reporting.

5 Now, if one looks at the data, you look at a
6 matrix of all the states and the misadministrations and you
7 track it across time, this clearly holds in the data, which
8 tells me the states are either identifying them or reporting
9 them with varying degrees of attention and accuracy.

10 But it's a problem, and there's no question about
11 it. If you ever want to really get a handle on the total
12 number of misadministrations, we're going to have to get a
13 level playing field, same definitions, uniform reporting, et
14 cetera, et cetera. And, frankly, we may never achieve that.

15 CHAIRMAN SIEGEL: That's okay, too.

16 MS. MERCHANT: Just to add to that, this database
17 does contain what information we have as far as agreement
18 states are concerned.

19 MEMBER FLYNN: It's interesting when you total
20 the numbers that if you take all brachytherapy, -- I totalled
21 44 -- all nuclear medicine, diagnostic and therapy, 25, and
22 teletherapy 15, so brachytherapy now, as we predicted before,
23 would continue to grow in terms of potential
24 misadministrations. Teletherapy will continue to decline.
25 Probably nuclear medicine will decline also.

1 MR. CAMPER: You know, we're reluctant,
2 obviously, to react to this data because it could only be a
3 statistical blip which in time may normalize itself. I don't
4 know. But, on the other hand, there may be something going
5 on. I don't know what it is. But the results are
6 encouraging, at least.

7 CHAIRMAN SIEGEL: The one part of the quality
8 management rule that made sense, the written directive, is
9 going on.

10 Okay. Thanks, Sally.

11 MS. MERCHANT: Thank you.

12 CHAIRMAN SIEGEL: Pat? We're going to give an
13 update on the National Academy of Sciences study and hear
14 about the business process re-engineering. I think before you
15 came in, Pat, I said that I understood that we were going to
16 get license amendments approved in two weeks under this new
17 plan.

18 DR. RATHBUN: That long?

19 CHAIRMAN SIEGEL: Yes. I said that.

20 DR. RATHBUN: We're going to do it in 1.4 days,
21 1.4 days.

22 CHAIRMAN SIEGEL: Excellent.

23 DR. RATHBUN: I thought it would be better to
24 just have an informal handout here. I want to start with the
25 National Academy of Sciences and give you a little update on

1 that. And I thought it might be useful to pass out some of
2 the slides that they used at their briefing at the Commission.

3 If you recall, the National Academy of Sciences
4 briefed the Commission on March the 29th. And I just thought
5 it might be useful if we revisited the study. We're coming
6 down the home stretch now. And then we could take and build
7 upon where they're going.

8 You recall we gave them \$1.15 million to do this
9 study. They have stayed remarkably within their budget and
10 right on schedule. They have been extremely conscientious
11 about this. They have a 16-member interdisciplinary
12 committee. And I on the next page provided their names.

13 If you recall, they commissioned a number of
14 papers. They have held five of their six committee meetings.
15 They had a public hearing. They formed a special panel on
16 quality management. And they carried out four site visits.
17 Committee members are on the next page. I think they're all
18 known to you.

19 Just in case there is anyone here who didn't ever
20 walk through the task, we gave them three broad areas to look
21 at: The broad policy issues underlying the regulation of the
22 medical use of radionuclides, the overall levels of risk, and
23 the current statutory or regulatory framework. I'm sure you
24 know by now that the Chairman's main interest is in Item 3.

25 The subcommittee --

1 CHAIRMAN SIEGEL: That's your chairman?

2 DR. RATHBUN: Our Chairman, Chairman Selin,
3 right, right. I think that Dr. Putman is equally interested
4 in all three, as he has expressed it to me.

5 The committees that they set up, the
6 subcommittees, of course, parallel the tasks we gave them,
7 possibly with the addition of education and training, which is
8 within the purview of the National Academy of Sciences to add
9 and expand scopes of work if they feel that that's the best
10 way to accomplish the task.

11 The site visits were to Georgia, Minnesota,
12 Massachusetts, and California.

13 So that brings us up to date. I've also included
14 in your handout what is the general outline for the paper.

15 CHAIRMAN SIEGEL: Exceedingly helpful.

16 DR. RATHBUN: Well, in case anyone is wondering
17 why I'm sort of vague here, we don't know what the National
18 Academy of Sciences is going to do. In fact, they met in
19 Washington last week. And it was a full executive session,
20 which means that no member of the NRC was able to attend.

21 So, in all honesty, I don't have any idea what
22 they do. I know they spend their money properly, and I know
23 they meet their deadlines. And I guess that's good.
24 Certainly that's good for me since I sign their vouchers that
25 I know that, but I have no real idea as to what they're going

1 to be telling us. I'm starting to look like Kate-Louise
2 briefing the Commission. I don't know.

3 MEMBER NELP: Question: What is the NRC's
4 obligation or commitment to respond to the committee's report?
5 Is it strictly an advisory report or have they sort of made an
6 internal commitment to modify their behavior based on the
7 report?

8 DR. RATHBUN: Let me answer from a procedural
9 standpoint. The report will arrive. The report will go to
10 peer review in October. We have negotiated with the National
11 Academy of Sciences that the agency can receive one copy at
12 that time. We are committed to forming a group that will
13 evaluate this report. And so that's the procedural answer.

14 MEMBER NELP: Could you answer my question?

15 DR. RATHBUN: Larry can answer it.

16 MEMBER NELP: What's the climate? Is the NRC in
17 a position to want to respond to this report in a reactive way
18 or is this just --

19 CHAIRMAN SIEGEL: Which NRC are we talking about:
20 The one this week or the one in a month?

21 MEMBER NELP: -- or is this just window dressing?

22 MR. CAMPER: No, I don't think it's window
23 dressing. Let me try to attempt to answer your question.

24 CHAIRMAN SIEGEL: A whole new ball game.

1 MR. CAMPER: As Dr. Rathbun says, we will have a
2 process that we will go through. There will be a task group
3 that will be formed to evaluating the findings. The staff in
4 our division will do a thorough evaluation of the report. I
5 suspect in parallel or shortly thereafter Commissioners,
6 assistants for the Commissioners at that time will do their
7 own evaluation.

8 And at some point the staff will go to the
9 Commission with its evaluation or interpretation of the
10 findings and what it might mean to us and how we might react
11 to it. There will be interactions with the Commission, and
12 the Commission will then come back with its posture and direct
13 the staff to do certain things as a result of the NES study.

14 Now, no one could tell you right now what our
15 regulations will ultimately look like or, for that matter,
16 what NES will suggest. My feeling is I believe that the NES
17 report will be quite a mixture of findings and/or
18 recommendations. And so it's hard to say. But certainly when
19 we task them to do the study and as we look at the need to
20 revise Part 35, we certainly see the NES study as a crucial
21 component of whatever that we do.

22 Now, I don't think we're going to necessarily
23 react and do everything that NES might suggest that we would
24 do, nor do I think that we will ignore everything that NES
25 would suggest that we do.

1 We know, the staff has known, the management has
2 known for some time that there is a need to have Part 35
3 undergo a major revision. There's a need to take a critical
4 look at how we regulate the medical use of ionizing radiation
5 and are we applying the right level of regulatory presence for
6 the risks involved and so forth.

7 Clearly this industry has matured if one goes
8 back and compress it to the statute of our regulations over
9 the last 18 years, since '87, when it was last revised.

10 An NES report will undergo serious consideration
11 and review and will be a key component in what we do. But
12 there will be other things that will also be key components.
13 We know, for example, that we want to hold a series of public
14 meetings, most likely geographically disbursed across the
15 United States. We intend to solicit public comments,
16 obviously. We intend to publish an advance notice of proposed
17 rulemaking to solicit comments.

18 We intend to interact with this Committee on
19 several occasions during that course of the revision. We want
20 to meet with the appropriate professional societies. We want
21 to meet with the boards and so forth that are responsible for
22 physician training and experience requirements and board
23 certification.

24 So all of these things, including the NES report,
25 will ultimately be key components in a major revision of Part

1 35. I do think it's fair to say, as I've already said on the
2 report, I think that Part 35 when it's all said and done will
3 look quite different than it does today. And I think the NES
4 report will have greatly influenced that.

5 MEMBER NELP: Will this report be in the public
6 domain?

7 DR. RATHBUN: Yes.

8 MEMBER NELP: As soon as it's submitted?

9 DR. RATHBUN: Yes. The schedule right now that
10 they're on is hopefully to complete it in August, send it out
11 for peer review. In October it would return from peer review
12 and go to the printer. Usually that takes about eight weeks.
13 But that becomes a publicly available document.

14 In fact, that's one of the issues. The National
15 Academy of Sciences does not like to release their work to an
16 agency because it gets in the public too fast or incorrectly.
17 There's an elaborate procedure that they use.

18 Having walked this walk with them, they have
19 really worked hard. They have done really an excellent job.
20 The position that we're in that makes it uncomfortable to
21 respond to you is that we know what they're doing is good, but
22 we have no clue as to what it is. So it's hard to say to you
23 "Well, we might propose rulemaking" or whatever because we
24 don't know what they're going to tell us.

1 But I know that many of the agency responses to
2 Congress and many of the times that Carl Paperiello responds
3 to the Chairman, they're awaiting the results of the NES study
4 to give us the guidance as to how to move forward with the
5 Medical Program in the future. So I know there is no plan to
6 trivialize it.

7 MEMBER NELP: Thank you.

8 DR. RATHBUN: So I put in the report review
9 process for you because that's the strong suit of the National
10 Academy of Sciences. You know, they pull all of this
11 information together, and then they assemble a peer review
12 team. It is exhaustively peer reviewed. And I have a lot of
13 confidence in that process.

14 I am confident they'll meet that January
15 deadline. We are fortunate that the agency at least fit the
16 senior management, that the Commission will see a copy of the
17 document in October, I will be allowed to read the copy in
18 October and hopefully all of you about eight weeks later.

19 All right. The next topic I'd like to talk about
20 is the business process re-engineering that we have been
21 working on since September.

22 I have included in your briefing book the
23 executive summary of this whole staff's report. That actually
24 is a working document. And I'm giving you today a copy of the
25 Commission paper. Yesterday, May 11th, Carl Paperiello

1 briefed the Commission. And information in the Commission
2 paper, which I think Janet will pass out next --

3 MS. SCHLUETER: I'm not sure everyone wants it.

4 DR. RATHBUN: I would give them that because the
5 Commission paper really contains our best and final write-up
6 of the BPR. And then what I would like to do, have you
7 hopefully get to read that at your leisure, but we'll walk
8 through this very exciting project.

9 We are a core team composed of myself as the team
10 leader and representatives from each region in the NRC and
11 representatives from our Office of Information Resources
12 Management. We have practically lived together for the past
13 six months. We eat, sleep, breathe BPR. In fact, I left
14 there just now to come down here to return to the BPR team,
15 who sort of said "How could you possibly leave us on a day
16 like this?" I mean, we're like a family now. BPR is a very
17 intense but very rewarding process.

18 Let's start with what is it that we did and where
19 does that fit in the grand scheme of things. On your first
20 slide, I show you that we concentrated our efforts, first of
21 all, on the licensing process. But, as we are all aware, that
22 is tightly tied in to many other facets of NMSS and agency
23 functioning: Inspections. It's tied in to the regulations;
24 incident response; and, of course, the collection of

1 operational data. The current plans that Carl has are to
2 begin to do a BPR of the inspection process later this year.

3 Okay. If you turn to the next slide, which says
4 "The Current Eight-Step Materials Licensing process," when we
5 began this project we naively went out and made this model of
6 how we license. Well, turn to the next page. This is how we
7 thought it was. But when we actually went out and examined
8 it, we found that, instead of eight steps, each one of these
9 had their own set of steps. These things move around. They
10 go back and forth. They sit in people's "In" baskets.

11 And so turn to the next page. What actually
12 takes 84 days to do? We found there were only 1.8 days of
13 actual work time in issuing a license. Now, this was a great
14 revelation to the core team and management and everybody that
15 we talked about. And it gave us what is called in BPR lingo a
16 compelling reason to change. Now, you all probably already
17 knew that we had a compelling reason to change, but, you know.

18 The other thing that was very, very interesting
19 is that on this Slide 6 that you're looking at, we found that
20 there were an average of 54 handoffs per license. Now, this
21 could be the license went from the secretary to the reviewer
22 and back to the secretary or to the secretary to the Xerox
23 room to wherever it went. But, nevertheless, there were 54 of
24 them.

1 We believe that in the new process we can cut
2 that down to nine. That's about the best I think we can do.
3 And we think we can cut that time down to four days on issuing
4 that license.

5 MEMBER NELP: Wow.

6 DR. RATHBUN: Now, these are very ambitious,
7 stretched goals. We'll see what happens.

8 CHAIRMAN SIEGEL: Is that including weekends?

9 DR. RATHBUN: No. That's workdays.

10 Okay. What is it we want to do? Look to the
11 next page. This is what in BPR talk is called our vision.
12 The new licensing process is a three-part situation. We have
13 -- yes, sir?

14 MR. CAMPER: Question?

15 DR. RATHBUN: Are we out of --

16 MR. CAMPER: On Slide 6, your 1.8 days of actual
17 work time --

18 DR. RATHBUN: Yes.

19 MR. CAMPER: -- that includes all review time?

20 DR. RATHBUN: That included review time.

21 Okay. A three-part. There's a new way of
22 looking at regulations. There's a new way of working in
23 teams. And there's a new licensing process.

24 I'm sorry my slides got out of order. We were
25 kind of in a scramble from yesterday. If you would shift two

1 slides forward to what is called Slide 14? I'd like to walk
2 you through the highlights of the new process.

3 The name we have given to this concept under
4 which we hope to deal more effectively with regulatory
5 processing is called the virtual regulatory process design
6 center. Now --

7 CHAIRMAN SIEGEL: Is that where John Glenn works
8 now?

9 DR. RATHBUN: John Glenn was the first person to
10 be sent off to it. It's virtually complete. We don't want to
11 lose any of our old friends. We just want to grandfather them
12 in.

13 The reason we called it virtual is because we do
14 not want to imply that we're altering the regional structure
15 of the NRC. That would be foolhardy. However, we have
16 learned that using what they called Groupware, which is a type
17 of computer software where you can be interlinked, we can
18 actually work from our region and others, home, licensing
19 site, and we can be linked together in virtual space. Now, we
20 all --

21 CHAIRMAN SIEGEL: Are you using Lotus Notes for
22 --

23 DR. RATHBUN: Yes. We are empowered to use Lotus
24 Notes for this issue. The agency is not yet supporting Lotus

1 Notes. But we can use it for this issue. That's why I kind
2 of backed off of that.

3 CHAIRMAN SIEGEL: Got it.

4 DR. RATHBUN: Now, I don't want to oversell this.
5 We obviously have to also meet in real time, as we have been
6 doing now. But we believe that using this type of process,
7 which is like a task, an empowered, upgraded task force, that
8 we can work together with research, with the agreement states,
9 with even the ACMUI linked in, of course, on Lotus Notes. So
10 it has something for everyone. BPR is like that.

11 CHAIRMAN SIEGEL: For whatever it's worth, just
12 to amplify on that, the Advisory Committee on Human Radiation
13 Experiments, which has got a short half-time committee, the
14 staff and the whole committee are using Lotus Notes for a
15 great majority of their work. And it is fantastic.

16 DR. RATHBUN: It is a wonderful product.

17 CHAIRMAN SIEGEL: Working well.

18 DR. RATHBUN: The Department of Energy using
19 Lotus Notes for their major database efforts. Computer
20 Science Corporation, who is our prime contractor, has
21 installed something like 10,000 copies of Lotus Notes
22 worldwide. So if they can handle it, we can probably handle
23 it. But it is a wonderful new way of working. We just put up
24 a bulletin board to be able to interact, and it's great.
25 We're hoping to get more people on it.

1 But what are the kinds of things we want to work
2 on in this regulatory product design center? In a few minutes
3 I'll be telling you about a proposal to extend licenses. We
4 are going to combine all of our guidance documents, including
5 policy and guidance documents, into a single licensing manual.
6 That activity has begun and will be worked on over the next
7 three months.

8 We're also developing a safety-based expert
9 system-aided application review process. What does this mean
10 in English, Pat? This means that we have hired an artificial
11 intelligence expert to help us set up scripts so that when we
12 go through the licenses, we have a computer-assisted review.

13 Carl is proposing that we come up with a 50.59
14 equivalence for materials licenses. This, if I understand, --
15 John, maybe you could help me out -- might involve rulemaking.
16 We're talking about the concept of indefinite licenses.

17 We're also talking about developing educational
18 products within this center. Yesterday Dr. Jackson asked me
19 "What are your plans for training?" Well, in the model that
20 we're using here, a training person would be with us from day
21 one. You do these things -- rather than waiting until it's
22 developed and just rolling it out in the world, you actually
23 work together to develop these things. This is what we are
24 currently envisioning goes on in this regulatory product
25 design center. Okay?

1 The next page, which is Slide 15. This is our
2 schematic of how we believe we will be licensing in the
3 future. I'd just kind of like to walk you through it. On the
4 left-hand side, if a bad application came in and a bad,
5 incomplete license came in, we'd like the ability to bounce it
6 right away, not have it lying around costing you money and
7 wasting our time.

8 We'd like all applications to come into a central
9 point and be centrally managed. What we have found is that
10 the regional concept, although very important for interaction
11 with licensees, is not very efficient in this setting. So we
12 would envision licenses coming to a central point and then
13 being apportioned where it is most appropriate to review them.

14 The example that Carl has been giving is that
15 there are not a lot of gauge users in Region 1 and it will be
16 better to have those types of licenses reviewed elsewhere.

17 CHAIRMAN SIEGEL: In the model that you're using,
18 what fraction of refusals to file, if I can use the FDA
19 parallel, do you think you'd have in terms of bad
20 applications?

21 DR. RATHBUN: I think it would be very low.

22 CHAIRMAN SIEGEL: So it's not just a method to
23 make your statistics look better?

24 DR. RATHBUN: No.

25 CHAIRMAN SIEGEL: Okay.

1 DR. RATHBUN: I think it would be very low. And,
2 in fact, when I talked to you about the proposal for the
3 one-time license extension, we believe that only 300,
4 approximately, licensees would not pass a filter to go ahead
5 and receive that.

6 MR. CAMPER: Just a comment, too, to add to that,
7 Barry. This is the point that Janet was getting at this
8 morning when she was talking about the guidance modules.

9 We know that the guidance documents that we are
10 currently developing, upgrading, and what have you will
11 ultimately undergo big changes as well because of BPR. And
12 the idea is to get as much information on the front end for
13 the licensee so the applications can be as sound as possible
14 to keep that number of poor applications as low as possible.

15 DR. RATHBUN: It's hard to describe this because
16 it has to fit together. You know, if the guidance is upgraded
17 clear, coherent, consistent, and automated, it's going to
18 vastly speed up the way we do things. So it all fits
19 together. Also presumably we would have put out better
20 guidance so that the licensees would better understand how to
21 submit. So we wouldn't have the disconnect that we get right
22 now.

23 CHAIRMAN SIEGEL: Got it.

24 DR. RATHBUN: The middle part tends to cause a
25 little bit of confusion. We are going to look at licenses

1 depending upon their degree of complexity. The example at the
2 top is of a gas chromatograph. We believe that this is a
3 relatively simple thing to license and does not require a huge
4 level of technical review.

5 We're not implying here that it is solely going
6 to zap to the computer like the ATM machine. We understand
7 that a human will have to take a cut at it. But we do believe
8 that simple licenses assisted by the artificial intelligence
9 scripting can process fairly directly through.

10 What this does is it frees our technical
11 reviewers if you look at the bottom for the more difficult,
12 say a broad-scope, license. We can pull together a team,
13 including whatever expertise we need, to look at that license.

14 In the middle I show you our tool set that we
15 believe we will be developing over the next nine months.
16 There's no reason why we can't have a voice response system.
17 Everybody else has it.

18 Coming out the back end, I wanted to stress to
19 you that whatever is done in this new process, be it the
20 automated part or be it the technical review part, we will
21 subject that to a 100 percent quality assurance review.
22 That's going to be resource-intensive, but that is, of course,
23 vastly important from a safety standpoint. We hope to have,
24 then, the license coming automatically in my dream through the
25 Internet, but that's even going too far maybe.

1 The final and maybe the most important thing
2 that's going to make this work is we're saying we want a new
3 way of working in teams. The concept I'm working in out at
4 Shady Grove is a self-managed work team.

5 For all of you who attended the Commission
6 briefing yesterday, they are a self-managed work team. We
7 couldn't keep them from standing up. It was really funny
8 because normally only the speaker speaks at a Commission
9 meeting and maybe, maybe the person with them. But the team
10 was saying "No. We've got to tell the Commission" this and
11 that. And the Commission was very open to that. It was
12 really quite an experience yesterday.

13 So what do we do in our teams? Well, we
14 partnered. In the upcoming sessions we'll have a union
15 representative. I think that will be very helpful. Our team
16 decisions were team decisions. We reported out, but they were
17 consensus-based team decisions.

18 We're recommending parallel concurrence. By
19 agency-wide goals I mean a de-emphasis on regional goals. We
20 can set them as agency goals and apportion work where the
21 expertise lies.

22 Some of these I've already talked about: The
23 single guidance document, agreement state cooperation, rapid
24 access to centrally stored data. Hopefully the things that we
25 focus on are the exception, not the rule.

1 And I think, at least based upon my experience of
2 the past six months, it's a wonderful way to work. The team
3 has its ups and downs, but it is a good way to work. So
4 that's our philosophy.

5 And if you just -- I don't want to take too much
6 time. I think I'm going to kind of skip on. As Carl said, --
7 go to Slide 17 -- we actually hit all of the functional areas
8 of the National Program Review. But I'll just be honest, as
9 Carl Paperiello is. We didn't mean to. It just turned out
10 this way. We didn't sit down and say "Oh, boy. This program
11 is going to parallel NPR." It is in working this way we did
12 accomplish these goals. And I think it is important to point
13 that out.

14 Okay. Let's move on. I'd like you to go I think
15 all the way to the end. And I'll just take a few questions.
16 Let me show you where we are now, Slide 11, which is really
17 your last slide.

18 We have completed the paper model. We briefed
19 the Commission. I certainly anticipate Commission approval to
20 continue. They did some modification to our schedule in terms
21 of public comment, workshops, more interaction with the
22 licensees. And perhaps I could even get some guidance from
23 you all as to what would be the best steps to take in terms of
24 involving your constituents.

1 We'll go into a prototype phase next. And we
2 hope to implement in one year.

3 That's my fast tour of BPR. Questions?
4 Commission paper has a lot, of course more, in it. I'd be
5 pleased to come back and talk to you again as to how we're
6 doing on this.

7 CHAIRMAN SIEGEL: I think we'd love to have a
8 status report a little bit further down the line. Especially
9 after some of us have been relicensed by this mechanism, it
10 will be interesting to see how it works.

11 DR. RATHBUN: It could be our first feedback
12 session.

13 CHAIRMAN SIEGEL: Okay. Good. Thanks, Pat. All
14 right.

15 John, et al., for status of rulemaking. John,
16 just so you are aware, we may be interrupted by visits of one
17 or more Commissioners while you're on.

18 DR. GLENN: Okay. Fine.

19 CHAIRMAN SIEGEL: And I told them we'd stop if
20 they came.

21 DR. GLENN: Very good.

22 The wrong patient rule is the first one. I'm
23 going to give you status reports on three separate
24 rulemakings, all of which have been discussed with the ACMUI
25 before.

1 Do take note -- I think most of you recognize me.
2 However, the title is new. I am not Chief of the Radiation
3 Protection and Health Effects Branch in the Office of
4 Research, rather than NMSS.

5 I've been trying to figure out in terms of the
6 statistics that were being shown earlier in terms of
7 misadministrations -- '94 and '95 sort of mark the end of my
8 term in NMSS. And, all of a sudden, misadministrations drop
9 dramatically. The question is: Do I interpret that that I
10 was the problem or that I solved the problem and, therefore,
11 was allowed to leave?

12 (Slide)

13 DR. GLENN: The first slide describes what the
14 issue is with what we're calling the wrong patient rulemaking.
15 The question is if a medical administration of a
16 radiopharmaceutical is given to the wrong person and, in
17 particular, it's given to a person who is not intended to
18 receive any kind of licensed material from the licensee,
19 should that be treated as a Part 20 exposure of a member of
20 the public or is it a misadministration under Part 35?

21 In the proposed rule that we sent out, the issue
22 was resolved in the favor of treating it as a
23 misadministration under Part 35 and not as an exposure to a
24 member of the public.

1 We published it in January of this last year.
2 Only four comments were received, and they were all favorable
3 to this concept. I think in the past with this Committee the
4 comments have been the same that it is more appropriate to
5 consider this problem as one of medical delivery, rather than
6 of failure to control sources of radiation and exposing the
7 public.

8 We initially had some problems in terms of how to
9 go forward with this rulemaking because we attempted to define
10 patient and wrong patient. That got us unnecessarily
11 involved, I think, with trying to characterize medical
12 practice.

13 I think we have come up with the answer and you
14 have seen the proposed language, but basically the approach is
15 to modify the scope and definitions of public dose and
16 occupational dose in Part 20 to explicitly exclude doses due
17 to any medical misadministration the individual has received.

18 So the verb is receiving the administration, not
19 the status of the person in terms of a member of the public, a
20 patient, or whatever. If it's a medical administration, it's
21 going to be treated under Part 35. If it's a
22 misadministration under the definitions of Part 35, it will be
23 treated as a misadministration.

24 (Slide)

1 DR. GLENN: Okay. Schedule. The final rule has
2 been drafted by the staff. It is in the concurrence process,
3 and we hope to have it up to the Commission in June.

4 I guess if there are any comments? I think in
5 the past the Committee has indicated general favor with this
6 particular approach. If there are any comments in terms of
7 the draft language that we have passed out to you?

8 CHAIRMAN SIEGEL: Yes. First order, are there
9 any comments? I mean, I reviewed it. I thought it looked
10 pretty straightforward. I think it seems like we do have one
11 order of business, though, John. The statements of
12 consideration --

13 DR. GLENN: Yes. It says you approve of.

14 CHAIRMAN SIEGEL: -- says that we agreed at the
15 meeting on May 11th. So, first of all, we do need to remember
16 to change that to May 12th.

17 DR. GLENN: Yes.

18 CHAIRMAN SIEGEL: And can we have a motion that
19 we agree?

20 MEMBER SWANSO: So moved.

21 CHAIRMAN SIEGEL: Is there a second?

22 MEMBER WAGNER: Second.

23 CHAIRMAN SIEGEL: All in favor?

24 (Whereupon, there was a chorus of "Ayes.")

25 CHAIRMAN SIEGEL: Any opposed?

1 (No response.)

2 CHAIRMAN SIEGEL: You got it.

3 DR. GLENN: Thank you. We will make that
4 correction in the paper, and it will now be 100 percent
5 accurate.

6 (Slide)

7 DR. GLENN: The next rulemaking I want to discuss
8 is patient release criteria. I'll just mention that the work
9 on these two rules is by Steve McGuire and Stewart Schneider.
10 And if we run into any difficult questions, I will ask them to
11 respond to them.

12 I think one of the areas that might be most
13 controversial -- I think, again, you approve of the approach.
14 The approach is that we're going to a dose-based release
15 criteria for patients that's based on 500 millirem to members
16 of the public as a result of release of the patient. I think
17 where you may have some disagreement is in terms of the
18 guidance and how we are going to implement the rule.

19 I think one of the issues that might be of some
20 contention is recordkeeping. Currently as drafted and with
21 some small changes in the language because I don't think it's
22 clear in all cases what the recordkeeping requirement is --
23 but our intent is to require a record if the basis for the
24 release of the patient is not the quantity administered -- I'm
25 sorry -- if the quantity administered exceeds the quantity in

1 the default release table in the regulatory guide. What that
2 translates into is that if it involves any assumptions other
3 than point source, 25 percent time spent at one meet, and that
4 it's physical decay only, then you have to document the basis
5 on which the patient was released.

6 What's not explicit in the rule language but
7 which is, I think, implicit is that it also means that if
8 instructions are required because the patient is a
9 breast-feeding woman, that a record would also need to be kept
10 to demonstrate that that was done and that instructions were
11 given.

12 CHAIRMAN SIEGEL: Say that again, John. You lost
13 me.

14 DR. GLENN: There will be another table -- and
15 we'll get to that later -- that discusses quantities of
16 material that may be administered to a breast-feeding woman
17 that would require that instructions be given in order that
18 the child not receive a dose in excess of the public limit,
19 which is the 500 millirem. In those cases where that is
20 required, then a record would need to be kept.

21 CHAIRMAN SELIN: Can I interrupt?

22 DR. GLEN: Sure.

23 CHAIRMAN SIEGEL: Of course, you can interrupt.

24 CHAIRMAN SELIN: I say good morning to you all.

25 CHAIRMAN SIEGEL: Good morning. How are you?

1 CHAIRMAN SELIN: I'm sorry. I need to run off
2 this afternoon.

3 CHAIRMAN SIEGEL: We wanted to have a 10-second
4 opportunity to wish you well and to say how much we've enjoyed
5 working with you and appreciate the spirit in which the NRC
6 has treated the ACMUI over the last four years. What else can
7 I say?

8 CHAIRMAN SELIN: That's not bad. Thank you very
9 much. But the Committee has been extraordinarily helpful as
10 we try to figure out what we want to do about medical work.

11 I guess if I were going to say one thing, which
12 obviously I am going to say, it would be to sort of help us
13 with some of these larger questions that we come by. As you
14 know, the staff is trying to re-engineer a lot of the Part 35
15 and related items. If we get something out of the National
16 Academy study, that will be nice, but no one is foolish to
17 have some people go away, come back two years, and count on
18 anything explicit coming back.

19 So I do hope that you will not just look at the
20 specific pieces but do a kind of overall Gedanken experiment,
21 you know, "If these were, in fact, the rules today, how would
22 they work?" since you bring not only your professional
23 knowledges, experts, but as practitioners, and to help us run
24 through how these items would work.

1 This is not a Commission-level committee. So I
2 can't give you a charge, but if I were to give you a charge,
3 it would be to look at the overall Part 35 or the changes that
4 we're talking about and try to see how they interconnect with
5 each other. And as practitioners would your lives be
6 significantly easier if we do these things? And put
7 yourselves in the shoes of the patients. Would the patients
8 be any better or worse off if we did this?

9 CHAIRMAN SIEGEL: I actually think we figured
10 that charge out already and are eager to attack those tasks.

11 CHAIRMAN SELIN: Very good. So this Committee
12 has been a lot of fun for me. I can't say that metaphor
13 regulation has been a lot of fun. This Committee has been a
14 lot of fun for me. And I have enjoyed it. I have enjoyed it
15 very much.

16 By the way, there is one major thing that we're
17 thinking of doing that would be very helpful. I would like to
18 see the agency get out of the business of qualifications of
19 professionals. I just don't see that we need to do that. I
20 don't think we need new legislation to do that. I think that
21 we could do with in our current piece. And that's one thing
22 the staff is going to be looking at, which goes far afield in
23 terms of innovation compared to the other pieces which are
24 more mechanical or logistical pieces.

1 So we have three pieces. One is: Is it a good
2 idea? I mean, do we have anything to contribute at the margin
3 by saying who's a qualified physician if you're not
4 Board-certified? Who's a qualified technician? And do we
5 really let the endocrinologist tell us what a qualified
6 cardiologist is and vice versa?

7 The second question is: If we don't do it, do we
8 have to make some changes so that other people will, in fact,
9 make responsibility for errors of omission? There's always
10 somebody to take responsibility for errors of commission, but
11 does somebody fall between the cracks?

12 And the third is to look ahead in the world of
13 gamma knives and other new technology, is it more important or
14 less important that we be involved in deciding what it takes
15 for people to be qualified or who would qualify? And that
16 would be very helpful.

17 You have an extraordinarily varied group. It's
18 much more of a representational group than just five people
19 who know a lot about reactors or waste, and I think it would
20 be very helpful to the staff.

21 So I'm sorry I can't stay longer either this
22 morning or at the NRC, but, in any event, thank you for those
23 kind words, Barry. Thank you very much.

24 CHAIRMAN SELIN: What were you talking about,
25 John?

1 DR. GLENN: We were talking about release of
2 patients.

3 I think we have another Commissioner.

4 CHAIRMAN SIEGEL: We're doing very quick
5 interviews here.

6 DR. GLENN: Barry, do you want her to come up
7 now?

8 CHAIRMAN SIEGEL: Please, Gail.

9 COMMISSIONER de PLANQUE: Hi.

10 CHAIRMAN SIEGEL: Hi. Welcome.

11 COMMISSIONER de PLANQUE: How are you?

12 CHAIRMAN SIEGEL: Fine. We wanted to see if we
13 could capture you for two minutes --

14 COMMISSIONER de PLANQUE: Sure.

15 CHAIRMAN SIEGEL: -- to tell you how much we've
16 all enjoyed working with you.

17 COMMISSIONER de PLANQUE: Thank you.

18 CHAIRMAN SIEGEL: We really appreciate your
19 special interests in the Medical Program. It has been a
20 pleasure. We wish you well. That's really it. And we'd
21 welcome any sage advice you want to give us in 30 seconds or
22 less or even longer.

23 COMMISSIONER de PLANQUE: The sage advice would
24 be you serve an extremely important purpose to the Commission
25 and for our regulation in the medical arena. And sometimes

1 you may feel that your messages aren't being heard, but I
2 think they are.

3 We do get the reports of the meetings, and we
4 really value all your input because you are our contact with
5 what's going on on the other side of the wall.

6 It's extremely important that you continue to
7 voice your opinions, your conclusions, your advice. When
8 there are key issues and you think we might not be getting
9 your attention directly, well, then try to get our attention
10 directly. But it's extremely important that you do give us
11 your input on everything that's going on.

12 You know, of course, we're reevaluating the
13 entire medical regulation. And it's not quite clear what the
14 outcome will be. I think at this point we're -- and I'm sure
15 staff has told you that we're waiting to see what the academy
16 will say. And dramatic actions will occur as a result of what
17 they might say, what we think of the result.

18 And, try as we might, we haven't been able to get
19 them to spill the beans and give us some sort of preview as to
20 where they're going. So it's really hard to tell at this
21 point, but we're certainly looking forward to that.

22 By the way, there was a very interesting piece on
23 NPR this morning about errors in the medical community. If
24 you haven't heard that piece, you might be interested in
25 hearing it because it did provide some perspective with other

1 areas of medical and what kind of errors you might expect in
2 the endeavor of trying to make comparisons. So we sent for
3 the text of that. We think it's of interest. If you don't
4 see it any other way, it's just one more bit of information
5 that might be of interest to you.

6 But I certainly have enjoyed very much getting
7 the results of your meetings. I haven't met all of you
8 personally, but I've seen many of you. And we certainly
9 appreciate your work.

10 CHAIRMAN SIEGEL: Thank you.

11 COMMISSIONER de PLANQUE: Thank you very much.
12 Good luck.

13 CHAIRMAN SIEGEL: You, too. Thank you.

14 DR. GLENN: Barry, did I answer your question or
15 not?

16 CHAIRMAN SIEGEL: I'm not sure. If there's by
17 the table, which is as yet incomplete in the work that we saw,
18 --

19 DR. GLENN: Right.

20 CHAIRMAN SIEGEL: -- if you're in the range
21 between 100 and 500 millirems if the patient is, in fact,
22 breast-feeding, then do you need a specific record or isn't
23 that the parallel situation to being within the
24 100-500-millirem range for using Table 1?

1 I think the distinction in the rule as it's now
2 written is that if you're between 100 and 500 millirem, you
3 have to give instructions and you have to keep a record if you
4 made the judgment based on something other than the table.

5 DR. GLENN: Other than the table.

6 CHAIRMAN SIEGEL: So that if you're making the
7 judgment that a breast-fed infant is going to get less than
8 500 millirems based on the table, then you shouldn't have to
9 make a special record.

10 DR. GLENN: Then it should be only in those cases
11 where it would exceed the 500 if --

12 CHAIRMAN SIEGEL: Unless you did it by special
13 calculation.

14 DR. GLENN: Yes, yes.

15 CHAIRMAN SIEGEL: Do you all agree? Because this
16 is key because otherwise we've got more paper that we don't
17 need.

18 DR. GLENN: It makes sense to me. Now, I'm
19 trying to remember in the discussions we had with NMSS
20 yesterday --

21 CHAIRMAN SIEGEL: Because that's truly not clear
22 in the text.

23 DR. GLENN: Yes. And, Larry, I think the
24 question is for the breast-feeding woman where it's between

1 100 and 500 and where instructions would be required, would we
2 require a record if it's in that interval?

3 Clearly below that we don't require a record.
4 Above that we do require a record. But there is this gray
5 zone.

6 MR. CAMPER: We were taking about written
7 instructions being provided.

8 DR. GLENN: Yes.

9 MR. CAMPER: But I don't necessarily recall that
10 we talked about a record be maintained of. No, I don't think
11 we were right at that point. Frankly, it's not clear to me
12 why we'd need to have that.

13 DR. GLENN: Yes. So it really should be if the
14 criterion for release requires a recommendation of cessation
15 that that should require a record. And I think that's
16 appropriate.

17 CHAIRMAN SIEGEL: Dennis?

18 MEMBER SWANSON: A comment or a question. In the
19 first point you have, you require a record of the basis for
20 release if the quantity administered exceeds the quantity in
21 default release tables in the regulatory guide. Is that
22 really what you mean? Because I didn't interpret reading this
23 as scud.

24 DR. GLENN: That's not what it says, but I think
25 that is what we concluded in our discussions with NMSS earlier

1 this week that is wanted. In other words, if the written
2 directive or the record of the dose administered is not in and
3 of itself a sufficient basis for release of the patient, then
4 there can be many simple ways to include information that
5 supports the release. But there does need to be a written
6 record that tells what the other factors are.

7 MEMBER SWANSON: But it was my understanding that
8 you didn't have to have recordkeeping if you released the
9 patient based upon these tables.

10 DR. GLENN: Table 1. That's correct.

11 MEMBER SWANSON: But the Table 1's are based upon
12 the quantity of the material in the patient at the time of
13 release, not the quantity administered.

14 CHAIRMAN SIEGEL: But here's --

15 DR. GLENN: But if you do hold the patient before
16 you release them, then there needs to be a record that they
17 were released one day later and that the activity had decayed.
18 That's what we're saying, that a record of that fact needs to
19 be there. Otherwise there's nothing to tell us that, in fact,
20 you did hold the patient for the extra day.

21 CHAIRMAN SIEGEL: Here, in fact, is the problem.
22 The problem is that 35.75(c) says that you need a record under
23 those circumstances where the calculation was based on
24 something other than physical half-life, 25 percent occupancy,
25 and a meet. And that automatically puts all breast-feeding

1 infants into that category because it's based on
2 considerations of things like excretas.

3 So I'm now wondering whether you can figure out a
4 way to --

5 DR. GLENN: And we realize that wording has to be
6 changed.

7 CHAIRMAN SIEGEL: You really don't want records
8 of all of those.

9 DR. GLENN: We don't want all of those records.
10 And also we didn't catch the situation that Dennis was just
11 talking about necessarily with the way it's worded. So we
12 realize that wording needs to be tuned up.

13 The two criteria I had up there before are the
14 ones that we essentially agreed to with NMSS earlier in the
15 week. However, I think we need some fine-tuning on the second
16 one that it doesn't cover the 100 to 500.

17 And getting the wording right in (c) is going to
18 be a challenge. We realize that.

19 CHAIRMAN SIEGEL: What about putting the table in
20 the regulations? I mean, obviously it won't capture every
21 isotope known to man that might ever be used in medical
22 therapy, but if the tables are part of Part 35, then it's easy
23 to refer to the table. Then you leave less up to judgment.

24 MR. CAMPER: As an appendix or something?

1 CHAIRMAN SIEGEL: Well, as an appendix to Part
2 35. I mean, there are plenty of other things. You've got
3 those long tables of annual limits of --

4 DR. GLENN: It's something that we can take a
5 look at. There are always problems when you put information
6 in that may change depending upon the technology and this sort
7 of thing.

8 We'd like to keep it in the guidance document,
9 where it's easier to revise, but we'll consider that. That
10 would make it very simple to describe --

11 CHAIRMAN SIEGEL: To deal with the breast-feeding
12 problem.

13 DR. GLENN: Yes, right.

14 CHAIRMAN SIEGEL: Okay.

15 MEMBER FLYNN: Can I ask a question about --

16 DR. GLENN: Sure.

17 MEMBER FLYNN: I'm not sure I understand. The
18 iodine 125 implant, the 8.7 millicuries, that's the total
19 activity?

20 DR. GLENN: That would be the total activity.

21 MEMBER FLYNN: And if a patient has greater than
22 that activity implanted in them, they may not be released?

23 DR. GLENN: No. But what it says is that if they
24 have more than 8.7 millicuries in them, that you will need to
25 have another basis which is documented in a record for

1 determining that the dose to an exposed member of the public
2 would not exceed 500.

3 CHAIRMAN SIEGEL: But which actually will be
4 consistent with what you're probably doing already because the
5 regulatory guide has a dose rate that you can use as the basis
6 for letting them out.

7 DR. GLENN: Right.

8 MEMBER FLYNN: Because thousands of prostate
9 implants are being done. And the dose rate might be roughly
10 .2 millirem per hour to meet.

11 DR. GLENN: And the table does, in fact, say
12 that. But by the way we are planning to write the
13 recordkeeping requirement, you would be required to record
14 that you measured the dose rate and that it was below the
15 value on the table.

16 Just before we remove this, one thing I'd like to
17 note is that for most isotopes, in fact, the default release
18 criteria in terms of activity are higher than the current
19 restriction, which is 30 millicuries. So there are just a few
20 isotopes where it is more restrictive, iodine 125 being the
21 prime example.

22 CHAIRMAN SIEGEL: I can't imagine what it would
23 cost to give someone 240 millicuries of gallium-67 or why I
24 would want to do that.

1 DR. GLENN: One question that has come up in the
2 concurrence process and we would like a little bit of comment
3 from the Committee, the current wording would say
4 "Instructions, including written instructions, on how to
5 maintain doses to other individuals as low as reasonably
6 achievable."

7 I believe at the last meeting there was a
8 discussion. There was clear instruction to the staff not to
9 say "only written instructions." But do you see a problem
10 with our saying "written instructions"?

11 I guess in the staff in discussing it, sometimes
12 being patients, we think that sometimes, as well as you
13 doctors communicate, by the time we get home we may not
14 remember everything you've told us. And, therefore, a written
15 instruction that can be referred to either by the patient or
16 the family member is a very reasonable thing.

17 CHAIRMAN SIEGEL: In fact, we agreed. And I
18 think that language is the language I suggested. So I
19 obviously agree with it.

20 MEMBER FLYNN: I agree. And that's being done
21 for the prostate implant patients, and appropriately so.

22 CHAIRMAN SIEGEL: Yes. I think this is fine.

23 MR. CAMPER: Okay. Thank you.

1 CHAIRMAN SIEGEL: And this is people need
2 something they can study, and they also need to hear it. They
3 need both.

4 DR. GLENN: Okay. In the current regulations in
5 35.315 and 35.415, which are in sections entitled "Safety
6 Precautions," there are requirements to provide instruction to
7 keep exposures as well as reasonably achievable.

8 We have revised those sections to include
9 language that now refers back to 35.75(b). Clearly on the
10 face of it it is redundant. And we have two choices or three
11 choices. We can either delete those sections as no longer
12 being necessary since we have a requirement for instructions
13 in 35.75. We could keep this as a way to have two sections
14 that remind people that really ALARA is an important concept
15 or we could leave them in there but not refer back to 35.75
16 but just say in general principles anyone who is undergoing a
17 therapy implant or administration, that you should provide
18 instructions for keeping exposures ALARA.

19 CHAIRMAN SIEGEL: Are they in conflict in any
20 way?

21 DR. GLENN: They're not in conflict. They're
22 redundant.

23 CHAIRMAN SIEGEL: Yes, especially since you're
24 saying if required in 35.75(b).

25 DR. GLENN: Right.

1 CHAIRMAN SIEGEL: I mean, the truth of the matter
2 is to be ALARA, you really ought to delete that phrase, but
3 I'm not recommending you do.

4 DR. GLENN: You're not recommending we do it.
5 That was one question.

6 CHAIRMAN SIEGEL: That's what I'd do.

7 DR. GLENN: Yes.

8 CHAIRMAN SIEGEL: But that doesn't mean it ought
9 to be a regulation.

10 DR. GLENN: But it doesn't need to be a
11 prescriptive requirement.

12 CHAIRMAN SIEGEL: Correct.

13 DR. GLENN: Do you think leaving it here might
14 encourage people to go that extra mile, even in those cases
15 where they wouldn't be required to?

16 CHAIRMAN SIEGEL: I don't see this as hurting.
17 This is pretty neutral.

18 (Slide)

19 DR. GLENN: Okay. This is a trial balloon. This
20 is a table that we did not include. We have had many
21 requests, and NMSS has stressed to those of us in research the
22 need to provide some default tables for iodine 131 as sodium
23 iodide.

24 Now, we are asking for your advice on the best
25 way to present this table. I had envisaged it as being a

1 table of defaults depending upon the fraction of uptake in a
2 given patient. When I asked the staff to calculate it, there
3 were more variables involved than I had anticipated. I had
4 not anticipated that the biological half-life is a function of
5 uptake and things of that nature. So the kind of table I
6 envisaged is a little more difficult.

7 So what I did ask them to do is for --

8 CHAIRMAN SIEGEL: That still is ignoring
9 attenuation as well.

10 DR. GLENN: This is ignoring attenuation. The
11 only thing we have taken into account is the biological
12 excretion.

13 CHAIRMAN SIEGEL: Right.

14 DR. GLENN: What I asked them to do is calculate
15 it for a 100-millicurie dose so that essentially you can
16 multiply it by a factor. If it's 30 millicuries, it's 30
17 percent. And so that it's an easy calculation to do. And
18 this way the assumptions that we've made are transparently
19 clear as we go across.

20 Now, there is another measure of conservatism,
21 other than not accounting for attenuation. And that's that
22 column after "Eight Hours." Because we're talking about up to
23 hundreds of millicuries of iodine in a patient, the assumption
24 of only 25 percent of the time being close to the patient in

1 the early hours before the biological excretion has taken
2 place is not necessarily a good assumption.

3 So we have assumed for the first 8 hours that, in
4 fact, it is 100 percent within one meet. So that would
5 account for people who are in cars, being transported home,
6 perhaps being on the metro going home. So the conservatism
7 built in for the first 8 hours is 100 percent within one meet.
8 From that point on it's 25 percent of the time, as in the
9 other calculations.

10 I believe that for this purpose we put this table
11 together rather quickly, that we haven't accounted for the
12 biological elimination during the eight hours. During that
13 eight hours it's only physical decay. Then from then on we
14 take in the biological. So these numbers would actually
15 decrease some.

16 MEMBER NELP: Your total dose is over what?
17 What's the time base for the last?

18 DR. GLENN: That's to decay. Rather, that's
19 infinity.

20 CHAIRMAN SIEGEL: Integrated to infinity.

21 MEMBER NELP: And the individual is within?

22 DR. GLENN: One meet.

23 MEMBER NELP: One meet, at one meet?

24 DR. GLENN: At one meet, yes.

1 MEMBER WAGNER: By that table, am I correct in
2 assuming that if one used this table alone, one could then use
3 a release criterion of 50 millicuries because your total dose
4 never exceeds one rem? So 50 millicuries would be 500
5 millirem. And apparently the release criteria --

6 CHAIRMAN SIEGEL: You can use a release for
7 thyroid cancer. You can use a release criteria of --

8 DR. GLENN: Even higher.

9 CHAIRMAN SIEGEL: -- 200 millicuries.

10 MEMBER WAGNER: Well, correct, but, I mean, the
11 table itself would suggest that any --

12 DR. GLENN: That 50 would always be safe.

13 MEMBER WAGNER: Would always be safe.

14 DR. GLENN: I think that that would be a proper
15 conclusion.

16 CHAIRMAN SIEGEL: But it is higher.

17 DR. GLENN: Yes, it is higher.

18 MEMBER WAGNER: Currently in the table they're
19 only listing 33. And what I'm suggesting is that maybe Table
20 1 could be changed based upon this table.

21 CHAIRMAN SIEGEL: I think that's the whole point,
22 whether this table would potentially go in as a substitute.

23 DR. GLENN: Now, the only additional requirement
24 I would think if we used this table is that there would need
25 to be a record of the fraction taken up in the thyroid.

1 MEMBER NELP: Which would be ordinarily be --

2 CHAIRMAN SIEGEL: Ordinarily, right.

3 DR. GLENN: Ordinarily, yes.

4 CHAIRMAN SIEGEL: Some people do treat
5 empirically, but most do not.

6 MEMBER NELP: So this means that using this
7 criteria because I sort of got in on the second act or the
8 third act of this play, at a 150-millicurie thyroid cancer
9 dose, you could document, record all of these things. This
10 would indicate that ordinarily that individual could be
11 released without hospitalization.

12 CHAIRMAN SIEGEL: Yes.

13 DR. GLENN: Again, in using this table, the
14 important thing is that you would know that the fraction of
15 the thyroidal component was less than five percent.

16 CHAIRMAN SIEGEL: And that may be a problem,
17 Buzz, because you don't, most people don't, measure the total
18 body retention fraction before they treat a patient with
19 thyroid cancer. Most people do a scan with 5 millicuries, see
20 what the picture shows, and either give them 100, 150, or 200
21 millicuries, depending on where the metastases are.

22 MEMBER NELP: Yes. Most people --

23 CHAIRMAN SIEGEL: Few people make measurements,
24 but most don't.

1 MEMBER NELP: Most people could make an
2 assumption which would be very conservatively high.

3 DR. GLENN: Yes. I guess there's some guidance
4 on what would be an equivalent establishment that it's going
5 to be five percent or less. I guess that's my understanding
6 that in almost every case it will be five percent.

7 MEMBER NELP: Very frequently it is, but there
8 are exceptions.

9 DR. GLENN: Okay. In the guidance we pointed out
10 that if a patient is in renal failure, you wouldn't be able to
11 use this table.

12 MR. CAMPER: Yes, right. You would need to bring
13 to bear specific factors and step through the analysis for
14 that particular patient.

15 MEMBER NELP: Well, you do have the capability of
16 measuring your eight-hour dose or measuring the dose from the
17 individual with your own survey meters.

18 DR. GLENN: Yes. That's always an option that if
19 you --

20 CHAIRMAN SIEGEL: Yes. But you can --

21 DR. GLENN: -- at the time the patient is walking
22 out the door, you make a measurement that's lower than the
23 value in Table 1.

24 CHAIRMAN SIEGEL: But if it's 150 millicuries, it
25 ain't going to be below 7 millirems per hour if you just gave

1 the dose a few minutes ago. It's going to be higher than
2 that.

3 MEMBER NELP: I'll use the table.

4 DR. GLENN: Okay. Now, is this an okay format
5 for the table or would you rather see it where for a thyroidal
6 component, fraction F_2 , we actually did the calculation and
7 said what the maximum activity could be?

8 MEMBER NELP: I think you could simplify the
9 language a little bit. Instead of calling it -- more
10 traditionally you say a thyroid uptake percent remaining in
11 the body.

12 DR. GLENN: But here we are assuming you're going
13 to do a little bit of math, you're going to take whatever
14 administered activity you get, divide it by 100 and then
15 multiply by that fraction.

16 CHAIRMAN SIEGEL: Why not just reduce the whole
17 table to per millicurie?

18 DR. GLENN: Okay. Rather than do it as a
19 percent, but --

20 CHAIRMAN SIEGEL: And then make the dose in
21 millirems, rather than in rems.

22 DR. GLENN: Yes.

23 CHAIRMAN SIEGEL: Because you've also got
24 confusing things. Right now hyperthyroidism 100 millicuries
25 doesn't make sense. That would be a whopping dose for the

1 treatment of hyperthyroidism. But just thyroid ablation would
2 be fine, and then you could just say per millicurie, but
3 thyroid cancer you're giving --

4 DR. GLEN: Sort of a nominal value is what we
5 chose to do there.

6 CHAIRMAN SIEGEL: Right. And that's actually a
7 conservative value.

8 DR. GLENN: Yes.

9 MEMBER NELP: But that actual in terms of
10 convenience if you rounded that off to 100, it would make it
11 implicitly a little simpler to calculate. But it's not a big
12 deal.

13 CHAIRMAN SIEGEL: But if it was millirem --

14 MEMBER NELP: I could handle --

15 CHAIRMAN SIEGEL: -- millirems per millicurie,
16 instead of rems per 100 millicurie, it actually -- I mean,
17 we're used to working in those units, millirems per millicurie
18 or, if you will, millisieverts per mega becquerel, God forbid.

19 DR. GLENN: That's easy enough.

20 CHAIRMAN SIEGEL: I like that addition.

21 DR. GLENN: Okay.

22 CHAIRMAN SIEGEL: And I think you'll find people
23 defaulting to that a moderate amount.

1 DR. GLENN: It still has a lot of conservatism
2 into it, but I think it certainly takes care of most cases
3 where you'd want to be related to the patient.

4 Now, one thing we want to raise to you: Should
5 we in the guide raise the issue that, in fact, with these
6 kinds of activities in patients, the potential for
7 contamination is rather high, even though the doses that we
8 would calculate to members of public would be small? But you
9 do have a high potential of contamination of facilities.
10 Should we mention the possibility that it would not be a
11 requirement but a suggestion that for these higher activities
12 maybe you want to hold the patient until the excretion has
13 taken?

14 CHAIRMAN SIEGEL: Something in the guidance
15 document pertaining to patients who are incontinent,
16 nauseated, vomiting, et cetera, that ALARA considerations
17 warrant adjustment of what you do based on the medical
18 circumstances. And that's a true statement.

19 DR. GLENN: Okay. Yes.

20 MEMBER NELP: Under these guidelines, the only
21 reason you'd keep a person in the hospital was if they were
22 unable to care for themselves appropriately, but they'd be
23 ill.

24 DR. GLENN: If you don't have an expectation that
25 they can follow the instructions and that sort of thing.

1 CHAIRMAN SIEGEL: Buzz, do you think you'd send
2 someone? Now, the table says you can do it. Would you send
3 someone out the door with 150 millicuries in?

4 MEMBER NELP: Absolutely.

5 CHAIRMAN SIEGEL: You would? Would you wait --

6 MEMBER NELP: If they were --

7 CHAIRMAN SIEGEL: -- until they at least had
8 absorbed it from the stomach and --

9 MEMBER NELP: Why?

10 CHAIRMAN SIEGEL: -- urinated once or twice?

11 MEMBER NELP: Why?

12 CHAIRMAN SIEGEL: I don't mean overnight. Just
13 keep them around for a couple of hours.

14 MEMBER NELP: I would see my own -- if you want
15 my personal answer to this, I would assure myself that they
16 clearly understood what was going on, that they were capable,
17 they were self-caring, they had a good living situation to go
18 to, they weren't going to be around infants and children. But
19 I don't keep in my office or my domain --

20 DR. GLEN: So we should focus on the issues where
21 there would be some concern.

22 MEMBER WAGNER: There is one issue --

23 CHAIRMAN SIEGEL: Lou?

24 MEMBER NELP: There is one that I would hesitate
25 to do this with, but --

1 MEMBER WAGNER: There is a major issue I think
2 that this is going to raise. You're going to see this after
3 this sharpens, I think. And that is we have had several
4 problems in the State of Texas with regard to waste
5 facilities, conventional waste facilities, that pick up
6 radioactive diapers, radioactive diapers from adult and
7 children-type patients, but mostly adult patients who are
8 released from our facility.

9 And this is going to raise that level of concern.
10 And it will cause a problem as to how they're going to handle
11 that issue.

12 MEMBER NELP: I would hesitate to send a diapered
13 adult home if they were --

14 MEMBER WAGNER: I think with this situation now
15 you're going to have more contamination of things that might
16 get thrown away, and it may raise that issue.

17 DR. GLENN: I think we have documented cases
18 where toothbrushes have, in fact, sent the alarms off.

19 MR. CAMPER: We wrestled, as John pointed out,
20 amongst ourselves a lot with this issue, this table and some
21 of the release values associated with it. But in the final
22 analysis this is a dose-driven rule. And you shouldn't ignore
23 a biological half-life. And you shouldn't ignore dosimetry.

24 In many ways it places more responsibility upon
25 the licensees to be certain that you're not exceeding the 500,

1 that you go through the proper steps, but that's probably
2 where the responsibility belongs.

3 MEMBER NHELP: What's the time line on this?

4 DR. GLEN: Soon. My last slide discusses that.
5 The slide says July and August. I'm actually pushing the
6 staff to get it up in June.

7 I would like to have this Commission have a chance to
8 review this rule.

9 Okay. Next we have the table in terms of when
10 breast-feeding should be ceased or when instruction should be
11 given to breast-feeding women. The table is based on data
12 that ORISE has generated for us. And, again, we have a
13 question about the format of the table. What is the best way
14 to present it?

15 And, again, this table has been generated as
16 listing the nominal values and then saying "Instructions
17 should be given? Yes/no. What would be the doses? Is
18 interruption recommended? And for how long?" and that sort of
19 thing.

20 So the idea here is we sort of choose what we
21 think about the doses that people would probably be
22 administering and giving them information as to what they
23 should do in those cases.

24 We can turn it around and do it. This amount
25 administered to the mother may result in 100 millirem. And,

1 therefore, instructions need to be given. This amount would
2 result in 500. And, therefore, cessation needs to be
3 considered.

4 CHAIRMAN SIEGEL: This format here, personal
5 opinion, is very close to the format that has appeared in the
6 published literature. It's related, the procedures at the
7 radiopharmaceuticals to specific clinical procedures and
8 provides quick guidance to a real procedure, rather than in
9 this case reducing it to millirems per millicuries
10 administered to the mother.

11 I actually think this format in the table is more
12 practical and people can then extrapolate from the information
13 in the table to the particular situation that they're dealing
14 with. That's my opinion.

15 MR. CAMPER: Do you that that the 131, 150
16 millicuries at the top, has --

17 CHAIRMAN SIEGEL: I think you need more than one
18 entry. In fact, you need three entries. They're simple.
19 They all say the same thing: I-131, 150 millicuries; I-131,
20 10 millicuries; and I-131, 30 to 100 microcuries. And then
21 all of them have the same recommendation.

22 DR. GLENN: Okay.

23 CHAIRMAN SIEGEL: You can't keep breast-feeding
24 with that much I-131, period. Correct, Lou?

25 MEMBER WAGNER: Yes.

1 MEMBER NELP: These data all come from the
2 literature on I guess excreted material in the milk that's
3 been studied. Is that correct?

4 DR. GLENN: One thing I'll mention --

5 MEMBER NELP: I'm surprised that sulfur colloid
6 is seen in breast milk. That surprises me, but --

7 CHAIRMAN SIEGEL: Sulfur colloid's not, but the
8 small amount of free reduced and free pertechnetate is.

9 MEMBER NELP: But look at technetium red cells.
10 That stuff is coming off of those cells very rapidly. You
11 know, the half-life of tech on red cells is 20-hour. It
12 dilutes off very rapidly.

13 CHAIRMAN SIEGEL: Not for *in vitro*. *In vivo* is a
14 problem.

15 MEMBER NELP: No, no. I mean *in vitro*. Once
16 it's labeled, then it dilutes off very rapidly.

17 CHAIRMAN SIEGEL: I don't think so.

18 MEMBER NELP: Oh, yes, I think by ALAR T 1/2.
19 But, anyhow, I mean, that's been well-studied. But I was just
20 curious. It's not a big deal, but it seems unusual that that
21 would --

22 DR. GLENN: Let me mention one thing. We did
23 consider simply referring to USP. Now, it's our understanding
24 that that may not be updated very frequently and that we would
25 have the advantage here of having ORISE give us the most

1 recent data that's available. However, I think in the guide
2 we would have to say "If there's something we haven't included
3 here, that you could refer to the USP in terms of" --

4 CHAIRMAN SIEGEL: And USP actually got a little
5 funky over the last few years. USP used to include pretty
6 specific recommendations about cessation of breast-feeding,
7 and then they've more recently kind of dropped back to a
8 generic statement and said the best way to be sure is to
9 measure the activity in breast milk and became less helpful.

10 And I think for the guidance you need here, this
11 table will serve the world better with the recognition that we
12 have a responsibility to help you and you have a
13 responsibility to keep this table as up-to-date as possible.

14 DR. GLENN: I will mention, I guess, that there
15 are still some holes in here, that those are being filled,
16 more isotopes.

17 MEMBER NELP: Eighty-five percent of the stuff is
18 going to be technetium-labeled.

19 CHAIRMAN SIEGEL: You don't have strontium-89,
20 but I don't think there are a whole lot of people who are
21 breast-feeding getting strontium-89. But anything's possible.

22 DR. GLENN: I guess phosphorus-32 also.

23 CHAIRMAN SIEGEL: Thirty-two is --

24 MEMBER SWANSON: Both chromium and sodium
25 phosphorus.

1 CHAIRMAN SIEGEL: Yes, although that's pretty
2 straightforward what the answer is going to be.

3 MEMBER NELP: I think --

4 CHAIRMAN SIEGEL: You can't buy it in the United
5 States anywhere.

6 MEMBER NELP: I think it would be a little bit
7 overkill if you wanted to -- you know, you could go through
8 every radiopharmaceutical that's available.

9 CHAIRMAN SIEGEL: The problem is you can go
10 through a lot. You won't find published data for many more
11 than are in this table, having looked at this quite
12 thoroughly.

13 MR. CAMPER: That's right.

14 MEMBER NELP: You've got thallium up there. You
15 don't have an answer. Maybe is as commonly used as thallium
16 today or maybe more commonly used is technetium.

17 CHAIRMAN SIEGEL: It probably, yes --

18 MEMBER NELP: But I'm not sure that -- you know,
19 thallium is rarely used in a breast-feeding woman.

20 CHAIRMAN SIEGEL: Well, there are at least three
21 published cases and phenomenal data at Washington University
22 on a case about three months ago, where we made measurements
23 for a week and a half.

24 MEMBER NELP: After thallium?

1 CHAIRMAN SIEGEL: Yes. A patient who was
2 breast-feeding was done at another hospital and called us to
3 say "They found out I was breast-feeding after they did the
4 test and told me I probably shouldn't feed for one feeding.
5 What should I really do?" And I looked in the literature, and
6 there was just inadequate guidance. So we got a bunch of
7 samples.

8 After the first three days, it was clear that she
9 could continue breast-feeding, but we asked her to keep
10 sampling, which she did for another eight days. So we have a
11 pretty complete profile.

12 MEMBER NELP: So you want to fill in the --

13 CHAIRMAN SIEGEL: I can help Stewart find the --

14 DR. GLENN: You can help us fill that one in.

15 CHAIRMAN SIEGEL: I have the references, yes.

16 DR. GLENN: Okay.

17 MEMBER WAGNER: Barry?

18 CHAIRMAN SIEGEL: Yes?

19 MEMBER WAGNER: As far as the utility of the
20 table, would it not be preferred to list the minimum activity
21 at which the dose to the infant would exceed the permissible
22 dose, rather than list it the way we have it?

23 DR. GLEN: So you're saying add a column, not do
24 away with this table, but add a column?

1 MEMBER WAGNER: Yes, that's right. That would
2 give a lot of very useful guidance to people because then you
3 could go right down that table and say "Well, this is above
4 that threshold" or "isn't."

5 But the way it is listed now, one has to go
6 through a calculation and try to do things. And the utility
7 of the table is a little difficult.

8 MEMBER NELP: That could cause you to go down and
9 say "Well, I just won't give" --

10 CHAIRMAN SIEGEL: That's fine.

11 MEMBER NELP: -- "the mother that much for this
12 test."

13 MEMBER WAGNER: Yes.

14 MEMBER NELP: "I could do the test with one-third
15 of the amount." That's a good suggestion.

16 CHAIRMAN SIEGEL: Okay. That's fine.

17 MEMBER SWANSON: I don't know if you want to hit
18 things now. Some of the things just aren't available. Human
19 albumin microspheres aren't available anymore. Certainly
20 I-125, hippuran, I don't know of anybody that's using it.
21 It's not available.

22 MEMBER NELP: I'm using it.

23 MEMBER SWANSON: I-125, hippuran?

24 MEMBER NELP: Oh, I'm sorry. Hippuran, no.
25 Iothiolmate.

1 MEMBER SWANSON: Iothiolute.

2 CHAIRMAN SIEGEL: It's not on the table.

3 MEMBER SWANSON: It's not on the table.

4 The dose for technetium white blood cells I'm
5 assuming you're talking about the examidasine label that's 20
6 millicuries, rather than 5. I can give you some more.

7 CHAIRMAN SIEGEL: We would be happy to react to
8 this table and feed comments back to you when it's a little
9 further along, whenever you're ready, since we didn't have
10 this one. And we'll get you additional literature to the
11 extent -- I mean, Lou has collected this literature over the
12 years, and so have I. And I have given you a lot of it,
13 Stewart, already.

14 DR. GLENN: Okay. We've already mentioned
15 schedule, July or August. That's what the staff would need in
16 order to get I think the guide fully developed, but I think we
17 can have the guide in its next revision and have the rule in
18 final form in June. And that's what I'm pushing for.

19 CHAIRMAN SIEGEL: Okay.

20 MEMBER SWANSON: One comment on the guide. There
21 are a couple of statements in here; for example, "If a
22 radionuclide is, for example, a beta emitter, other pathways
23 of exposure must be considered or need to be considered. The
24 values in Table 1 do not take these other pathways into
25 account." And, again, that leaves us kind of open-ended.

1 It's also the statement at the end of it
2 "Internal doses may be ignored in the calculations if they are
3 likely to be less than 10 percent of the external doses. They
4 would be significantly less than the uncertainty in the
5 external dose." But with a beta emitter you're not going to
6 have external doses. So that would imply that you've got to
7 take it into consideration.

8 All I'm saying is we probably need some table
9 guidance.

10 DR. GLENN: Or at least something a little more
11 explicit than just saying that --

12 MEMBER SWANSON: I would actually recommend that
13 the NRC make some assumptions that you think are appropriate
14 with regard to these beta emitters and come up with some
15 calculations for the table because I think in reality most
16 people are going to release patients based upon your table of
17 guidance anyway. So please give them guidance on the beta
18 emitters also.

19 DR. GLENN: Okay.

20 MEMBER SWANSON: Don't leave it open-ended is all
21 I'm saying.

22 DR. GLENN: Okay. We do have some comments I
23 guess about using ALIs, I guess, if nothing else exists, but
24 --

1 CHAIRMAN SIEGEL: Okay. Can I continue on the
2 regulatory guide?

3 DR. GLEN: Sure.

4 CHAIRMAN SIEGEL: Do you have a copy there,
5 Stewart, or does someone? On Page 7 there is a paragraph that
6 said "The instruction should be specific to the type of
7 treatment given, such as" blah blah blah. "The instruction
8 should include a contact and phone number in case the patient
9 has any questions. Instructions should include as
10 appropriate."

11 The rule actually leaves the instructions pretty
12 open-ended. The regulatory guide is sounding kind of
13 regulation-like in terms of what the instructions ideally have
14 in them. It's sounding a little bit forceful, and I'm
15 wondering whether there's any way to soften it.

16 There's no real rule that says you have to give a
17 contact and phone number. So if you really think that's
18 essential you maybe need to add that to the rule. And it can
19 be ignored.

20 Are you following me?

21 DR. GLENN: Yes.

22 CHAIRMAN SIEGEL: Maybe I'm overstating my case.

23 DR. GLENN: Well, I guess I don't know whether my
24 copy is different than your copy here.

1 CHAIRMAN SIEGEL: This is a copy of the May 2nd
2 version that Stewart said was --

3 DR. GLENN: Oh, I've got the May 5th version.

4 CHAIRMAN SIEGEL: Okay. So you're ahead of me.

5 MEMBER SWANSON: Good. Maybe it's been taken
6 out.

7 DR. GLENN: Okay. Yes. It's on Page 8. Okay.

8 CHAIRMAN SIEGEL: The "should" sort of comes
9 across like it's part of the rule language.

10 DR. GLENN: In our lingo, "should" is weak, but
11 you're saying we should take note of the fact that --

12 CHAIRMAN SIEGEL: Well, I don't feel strongly. I
13 think those are reasonable things.

14 DR. GLENN: Yes.

15 CHAIRMAN SIEGEL: I'm just wondering if it will
16 be interpreted as a requirement when it's inspected.

17 On what was Page 16 of the regulatory guide,
18 you're talking about this example of the patient with thyroid
19 cancer, and it says "In the example given above, the thyroidal
20 fraction F_2 is 0.05, is a conservative assumption. For those
21 individuals who have had surgery to remove thyroidal tissue,
22 F_2 is typically smaller."

23 In fact, if the thyroid hadn't been removed, F_2
24 would be considerably higher. A .05 value assumes that the
25 patient has had essentially a total thyroidectomy. And this

1 is the little bit of thyroid tissue that surgeons invariably
2 leave behind that in the course of two weeks has hypertrophied
3 and been stimulated by high endogenous TSH levels. So this
4 is, in fact, not a medically correct statement.

5 DR. GLENN: Okay.

6 MEMBER SWANSON: What page?

7 CHAIRMAN SIEGEL: Page 16. The other example
8 that I found bothersome also on Page 16 was the
9 hyperthyroidism example, in which you gave 33 millicuries of
10 I-131, so the maximum amount, but you did it to a patient who
11 had a thyroid uptake of 55 percent. That is really blasting a
12 patient for hyperthyroidism. You just wouldn't do it. I
13 mean, it is conceivable that a patient with a multinodular
14 goiter you might treat, but a typical patient with Grave's
15 disease would not get 33 millicuries of I-131.

16 In order to do that, how big would the thyroid
17 have to be? It would be a monster thyroid gland. So it's not
18 --

19 DR. GLENN: It's not wrong, but it's a ridiculous
20 example.

21 CHAIRMAN SIEGEL: No, it's not even ridiculous.
22 It's an extreme example.

23 DR. GLENN: Okay.

24 CHAIRMAN SIEGEL: So you might want to come,
25 maybe with Myron's help, a little bit closer to the --

1 DR. GLENN: Get some real --

2 CHAIRMAN SIEGEL: I mean, an average patient you
3 could imagine this 55 percent uptake with, let's say -- an
4 average case about an 80-gram would be big, but let's say
5 80-gram thyroid gland with an intended dose of 120 microcuries
6 per gram. That's about where you would be on average. And
7 that's going to come out more like 10 to 12 millicuries.

8 I'll do the calculation if you want me to, but
9 that's off the top of my head.

10 DR. GLENN: I guess the thing --

11 MEMBER NELP: As I understand the instruction,
12 there's no case of hyperthyroidism that would require any
13 consideration for not releasing them immediately.

14 DR. GLENN: If it's less than 33 millicuries,
15 there is no reason for doing a calculation.

16 CHAIRMAN SIEGEL: But I think in order for the
17 regulatory guide to be credible, people need to be able to
18 relate it to what they actually do for a living. And people
19 are going to look at this and --

20 DR. GLENN: Yes. I agree with that.

21 CHAIRMAN SIEGEL: -- say "This is not my
22 patient."

23 MEMBER NELP: But the point is below 33
24 millicuries, it's a non-issue.

1 DR. GLENN: Yes. The table assumes physical
2 decay and 100 percent uptake. So it's very conservative.

3 CHAIRMAN SIEGEL: Okay. That's all. Those are
4 the comments I have.

5 MEMBER NELP: Now, I could treat with 50
6 millicuries.

7 CHAIRMAN SIEGEL: You got it, man. Sure.

8 DR. GLENN: Okay. The pregnancy and
9 breast-feeding rule I hope will go very quickly because the
10 status is that it's on hold pending two things. One, we have
11 some contracts with BNL and PNL. In particular, we're trying
12 to get a fix on the placental transfer. Perchnetate turns
13 out to be the problem. That's the one we're working on.

14 CHAIRMAN SIEGEL: Right.

15 DR. GLENN: We won't have that report until fall.
16 So that's one reason why it's on hold.

17 The other one is that we might as well wait for
18 the National Academy study if we've waited that long.

19 CHAIRMAN SIEGEL: Isn't the breast-feeding rule,
20 a component of that rule, essentially a done deal now?

21 DR. GLENN: Yes. It's really the embryo fetus at
22 that point.

23 CHAIRMAN SIEGEL: Because, really, the issue was
24 all that was in the breast-feeding thing was identify that the
25 patient's at risk and provide instructions. And now you've

1 added, really, something that wasn't in the original
2 breast-feeding rule: It can't go over 500 millirem.

3 DR. GLENN: Right. There are some unresolved
4 issues that we might be up in a final rulemaking. We don't
5 have a definition for a misadministration under those
6 circumstances. Should we have a definition for a
7 misadministration? That will wait until after the National
8 Academy has given us some advice.

9 CHAIRMAN SIEGEL: All right.

10 DR. GLENN: Okay. In terms of the status of the
11 contracts, BNL we expect to be completing fairly soon. One
12 thing that I would like to get some input from you, one thing
13 we are considering, the BNL study included literature searches
14 and going out and visiting eight licensees and finding out
15 what standard programs were.

16 But when it comes to the kind of cost-benefit
17 study that I think we're going to be asked to do in the
18 future, we still don't have a good sense of how many of our
19 licensees already have voluntary programs that include either
20 asking or assessing information in terms of pregnancy status.

21 We don't have a good sense of what people are
22 actually doing and how many exposures have taken place. So we
23 don't have a sense of both the cost and the benefit of this
24 rule.

1 And one thing we're thinking about is perhaps
2 it's worth it to go out with a mail survey, either through BNL
3 or one of the professional societies, and actually getting
4 that information if we're going to proceed with the rule.

5 CHAIRMAN SIEGEL: Sure. Let me ask another
6 question. Your time frame for gathering that data is what?

7 DR. GLENN: We wouldn't be going for a final rule
8 until next year. And so we could start the survey this fall.

9 CHAIRMAN SIEGEL: Now, that's fairly complicated,
10 involves OMB approval and all that?

11 DR. GLENN: Right.

12 CHAIRMAN SIEGEL: Why not just start today and
13 tell your inspectors to start asking 30 seconds worth of
14 questions about what people do with pregnancy and
15 breast-feeding and record it and send it back to headquarters?
16 You're not inspecting them.

17 DR. GLENN: No.

18 CHAIRMAN SIEGEL: You just want to know. And
19 maybe it won't be a random sample either, but neither will a
20 mail survey.

21 MR. CAMPER: That's possible. We would want to
22 alert the community through some informational process that
23 we're doing that and why because I'm sure there will be some
24 complaints otherwise.

1 DR. GLENN: Now, we will have the BNL study.
2 We'll have the literature search and all of that in June. And
3 that's probably the time to make that decision. But we have
4 been considering a wider survey in order to get better data.

5 The PNL study, which is the placental transfer
6 and we would have ORISE being the peer review group for that,
7 we expect that in December of 1995.

8 CHAIRMAN SIEGEL: Okay.

9 DR. GLENN: Any questions on that?

10 CHAIRMAN SIEGEL: No.

11 DR. GLENN: Thank you.

12 CHAIRMAN SIEGEL: I love it. Well, it certainly
13 would be useful to get the tables, but maybe if you want to
14 polish them any further before you send them to us. Otherwise
15 the rest of the slides I don't think we need. They'll be in
16 the transcript anyway, won't they? You've not been adding
17 slides to transcripts? Okay. Fine. Good.

18 John, thank you.

19 All right. We have some administrative matters.

20 MR. CAMPER: Yes, we have a few things to bring
21 to your attention.

22 In your briefing books, we have provided some
23 information on travel issues. From time to time some of you
24 have had some difficulties in getting your travel vouchers and

1 so forth processed in a timely manner. And there is some
2 information there for you to review.

3 The main thing is the idea of filling out the
4 forms completely and preferably in a timely manner so that we
5 can respond to them as promptly as possible. And if you'll
6 look through the information there, we'll provide you with
7 some instructions to hopefully help you in doing that.

8 We would like to wrap up your travel and your
9 compensation as consultants, obviously, as promptly as
10 possible. And we know you'd like that, too.

11 Another issue is timeliness. From time to time
12 some of you function as consultants as well. During 1994
13 there was a task force established to review event evaluation
14 follow-up by the agency. And one of the findings of the task
15 force was that in some cases medical consultants were delayed
16 in completing their incident reports, which holds up the
17 subsequent enforcement action.

18 Dr. Paperiello was a member of that task force,
19 and during this task force he committed that he would bring
20 this to the attention of the ACMUI members. So if you find
21 yourselves in the role of a consultant -- and we recognize
22 that you're busy, too, but if you find yourselves in that
23 role, please move as promptly as possible to complete your
24 reports.

1 MEMBER NELP: I have a question. It's very
2 straightforward. The NRC has a contract with a travel
3 company. And I presume that's the only way I can get my
4 ticket, to purchase it from that company. Is that correct?
5 You won't tell me my ticket's worth 400 bucks and, therefore,
6 you'll reimburse me that amount of that ticket? Can you just
7 say "Your travel is worth 400 bucks. I will reimburse you to
8 that amount"?

9 Like I'm on a trip now and I have other things to
10 do. And to purchase my ticket through that agency has cost me
11 considerably more money than it would if I had done it in an
12 alternate fashion.

13 MR. CAMPER: Well, you have to use the contract
14 carrier unless it's provided otherwise on your travel
15 authorization.

16 MEMBER NELP: That's why I'm asking you.

17 MR. CAMPER: So what has to happen is when we
18 prepare your travel authorization, it has to indicate that you
19 have permission to use a non-contract carrier.

20 CHAIRMAN SIEGEL: Buzz, what also --

21 MEMBER NELP: You can give me that permission?

22 CHAIRMAN SIEGEL: Yes.

23 MR. CAMPER: Yes, sir.

24 CHAIRMAN SIEGEL: And what also can happen is the
25 following, that Carlson can write the equivalent of a Seattle

1 to Washington to Seattle ticket that fulfills what the NRC
2 would authorize you to do --

3 MEMBER NELP: I realize that.

4 CHAIRMAN SIEGEL: -- and then instantly turn that
5 ticket into what you want.

6 MEMBER NELP: When I called and inquired about a
7 non-authorized carrier, I didn't get that same message.

8 CHAIRMAN SIEGEL: When that happens, you need to
9 call Torre and say --

10 MS. TAYLOR: Yes. Let me --

11 CHAIRMAN SIEGEL: -- "Authorize a non-contract
12 carrier."

13 MEMBER NELP: That's who I called.

14 MS. TAYLOR: What you do need to do is --

15 MEMBER NELP: And also Carlson would not sell me
16 a non-authorized ticket or a ticket of that sort until they
17 got the authorization from them. And from the time they got
18 the authorization from them, I'd lost my chance to get the
19 ticket I wanted. And it cost me another three or four hundred
20 bucks to put my own travel plans together.

21 CHAIRMAN SIEGEL: My only answer to you --

22 MEMBER NELP: Had I gotten permission to use a
23 non-authorized carrier --

24 MS. TAYLOR: What helps me out is if you know
25 you're going to be doing personal travel, --

1 MEMBER NELP: Yes.

2 MS. TAYLOR: -- let me know as soon as possible
3 before the meeting so that we have time to do the amended
4 travel and you can have time to make your personal travel
5 arrangements at that cheap air fare. People will call me last
6 week needing to do changes. It's too late when you want to
7 get cheap air fare.

8 But they will verbally issue your tickets with my
9 okay knowing that amended travel is going through if your
10 schedule requires a non-contract carrier.

11 Now, this personal travel issue is a whole other
12 story.

13 CHAIRMAN SIEGEL: But that's exactly what I did
14 for this meeting. I have a nonstandard itinerary, and the
15 ticket that they actually sold me turns out to be less than
16 what the St. Louis to Washington ticket would have been. So
17 the NRC is saving money on the deal. But I started doing this
18 10 weeks ago.

19 MEMBER NELP: Well, I started three months ago.

20 MS. TAYLOR: I never heard a word about it.

21 CHAIRMAN SIEGEL: The one you needed to do -- the
22 minute Carlson gave you a roadblock, you needed to call Torre,
23 which is what I did. And we solved it very quickly. So
24 that's the word of advice.

25 MEMBER NELP: So it can be arranged?

1 CHAIRMAN SIEGEL: Absolutely.

2 MEMBER NELP: That's what I wanted to know.

3 MR. CAMPER: The key with the government travel
4 is you've got get it cleared in advance. There is flexibility
5 in ways to do things, but --

6 MEMBER NELP: See, the NIH will just say "This
7 trip is worth 400 bucks. We'll reimburse you or you can buy
8 the ticket from us. You have that option." So they sort of
9 have a standing nonuniform --

10 MR. CAMPER: Okay. The other thing of an
11 administrative nature -- any other questions on travel? Dan?

12 MEMBER FLYNN: The one thing about the
13 consultants, I've had a couple of misadministrations I looked
14 into whereby I was then given instructions where I could call
15 the licensee. And I requested additional medical records.

16 Oftentimes you don't get those additional records
17 for three or four weeks. And then I get a phone call saying
18 "Well, we've given these records to the NRC people. Get it
19 from them."

20 So there are some issues out there whereby the
21 staff at Region 3 or Region 1 may be getting records, some
22 records, given to them by the licensee and they're assuming
23 that I'm in Region 1 or Region 3 to look at the records that
24 are being obtained by the region.

1 I think it might be worthwhile that when the
2 region decides to use any medical consultant they notify the
3 licensee that a medical consultant needs to get independently
4 all the records to look at with that patient so they won't
5 have these delays.

6 The other delay with consultant reports
7 oftentimes is you wait to see on the first follow-up what has
8 been the effect on the patient. There was one where two weeks
9 later there was no effect. This is a misadministration in
10 Connecticut. And then because the patient was in contact with
11 the source, the ulcer developed six weeks later.

12 MR. CAMPER: And such a delay is unavoidable.

13 MEMBER FLYNN: Right.

14 MR. CAMPER: The first type of delay we can look
15 into, what we might do to enhance the administrative process
16 with this records movement and see if there's something we can
17 do to improve that.

18 Okay. The next issue is sort of a status report
19 on what we're doing on the radiation therapy
20 technologist/medical dosimetrist position. We did receive 11
21 nominations for this position. The nominees had been
22 reviewed. The top three candidates were selected by the
23 screening panel in accordance with the new procedures
24 developed by the Commission for selecting new members of

1 advisory committees. And this is advisory committees across
2 the board.

3 On April 13th, '95 we provided the ACMUI members
4 with the names and resumés of the top three candidates as well
5 as a table summarizing the qualifications of all the nominees
6 for your independent recommendation on the screening panel's
7 recommendation.

8 At this point Torre informs me that we have
9 received I guess on the order of five or six responses from
10 the Committee. Is that correct, Torre? And we're going to
11 want to move pretty quickly now to bring this matter to
12 closure.

13 So if any of you have not responded on the
14 nominations or the recommendations of the panel and you wish
15 to do so, please make it a point to do so promptly because we
16 want to move to get that position filled.

17 With regards to the medical physicist position
18 with an emphasis in therapy, the nomination period for this
19 position closed on March 10. We received 21 nominations for
20 this position.

21 In addition, three of the nominees for the
22 radiation therapy technology/medical dosimetrist position are
23 actually medical physicists. With their permission, we are
24 going to review their resumés along with the resumés of the
25 physicists that were presented for consideration.

1 We hope to get the screening panel together
2 during June to review the nominations, come up with our top
3 three recommendations, and then forward those to the Committee
4 for your review as well. We are, like you, eager to fill that
5 position.

6 For the record, I would like to show that the
7 Committee was provided with a copy of the inspection
8 procedures associated with the radiopharmacy rule as well. We
9 didn't discuss those, but I just want you to be aware that
10 they are in your packet if you want to review them. We
11 discussed the guidance documents extensively, but we wanted
12 you to have a copy of the inspection procedures as well. And
13 if you have any comments at a later time on the inspection
14 procedures themselves, please feel free to provide those to
15 us.

16 One remaining administrative item, then. And
17 that's the upcoming meeting for November. Now, Torre, you
18 have queried the Committee. I know certainly Dr. Siegel has
19 provided some insight. Where do we stand on the next meeting
20 as you understand it?

21 CHAIRMAN SIEGEL: Well, depending on what
22 feedback Torre has gotten from my E-mail and/or fax of the
23 other day, I'd like to have the next meeting on October 18th
24 and 19th. Is that correct, Torre? Those are the days I
25 picked?

1 MS. TAYLOR: Right.

2 CHAIRMAN SIEGEL: Right.

3 MEMBER NEMP: What days of the week?

4 CHAIRMAN SIEGEL: That will be a Wednesday and a
5 Thursday. The option was 19th and 20th, but it turns out that
6 for Larry that didn't work as well.

7 So you should have the calendars in your books.
8 If you would please return those calendars to Torre as soon as
9 possible, even before you leave if you can? And if October
10 18th and 19th do not appear to be a problem, then let's set
11 that date as quickly as possible.

12 MR. CAMPER: Okay.

13 CHAIRMAN SIEGEL: Okay? Is that it?

14 MR. CAMPER: Now, I have just a couple of closing
15 comments, and I know you want to make a couple of comments.
16 Then I'll officially close the meeting.

17 I want to, first of all, obviously thank the
18 Committee for your participation over the last day and a half.
19 This is my first meeting as the Chief of the Medical Academic
20 and Commercial Use Safety Branch. I've sat in Josie Piccone's
21 chair for some five or six years now. But it's very enjoyable
22 from my perspective to be in this role and to work with you.

23 I personally found the meeting to be very
24 productive. I think that the Committee has grown into a true
25 advisory committee, impacting policy and technical decisions

1 earlier and earlier in the process. And, frankly, I think
2 that the value that you bring to us and the advice that you
3 bring to us is just really very strongly valuable.

4 I'd like to thank Torre for putting together the
5 meeting. She worked long and hard and all of the staff within
6 the medical section. A tremendous amount of work goes to
7 getting together a meeting like this. And if the size of the
8 volume of the briefing book is an indication, you have some
9 idea what went on.

10 Of course, to the presenters and our staff, they
11 all did a great job. And I commend them for their efforts.
12 And, although our colleagues from research have gone, they,
13 too, worked hard to make the meeting worthwhile.

14 We've had some very intense meetings the last
15 couple of days of research on the guidance document that we
16 discussed toward the end of the meeting. I think my
17 impression is that's beginning to finally come together.

18 So I again just want to thank you on behalf of
19 myself and our division for the input over the last day and a
20 half. It's been very worthwhile.

21 CHAIRMAN SIEGEL: My thanks also to Larry and
22 Josie and always to Torre for making everything work so well
23 and to the rest of the staff. This has been quite an
24 interesting meeting, despite a little bit of fireworks
25 yesterday in falling so far behind schedule yesterday.

1 I want the transcript to reflect the fact that we
2 all miss Judy Brown, who sprained her ankle, I gather, and was
3 in a wheelchair or crutches and couldn't make it, and hope
4 she'll be back again with us at the next meeting.

5 And, with that, Larry, why don't you do your
6 official thing.

7 MR. CAMPER: As the designated federal official
8 for this meeting, I declare the meeting concluded.

9 (Whereupon, the foregoing matter was concluded at
10 2:15 p.m.)

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

