

# **Official Transcript of Proceedings**

## **NUCLEAR REGULATORY COMMISSION**

Title: Advisory Committee on the Medical Uses of  
Isotopes (ACMUI)

Docket Number: (not applicable)

Location: Rockville, Maryland

Date: Monday, July 8, 2002

Work Order No.: NRC-455

Pages 1-118

**NEAL R. GROSS AND CO., INC.**  
**Court Reporters and Transcribers**  
**1323 Rhode Island Avenue, N.W.**  
**Washington, D.C. 20005**

(202) 234-4433

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

UNITED STATES OF AMERICA

NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON MEDICAL USES OF ISOTOPES

(ACMUI)

+ + + + +

MONDAY,

JULY 8, 2002

+ + + + +

ROCKVILLE, MARYLAND

+ + + + +

The ACMUI met at the Nuclear Regulatory Commission, Two White Flint North, Auditorium, 11545 Rockville Pike, at 1:00 p.m., Manuel Cerqueira, M.D., Chairman, presiding.

## COMMITTEE MEMBERS:

MANUEL CERQUEIRA, M.D., Chairman

JEFFREY A. BRINKER, M.D., Member

DAVID A. DIAMOND, M.D., Member

DOUGLAS F. EGGLI, M.D., Member

NEKITA HOBSON, Member

RALPH P. LIETO, Member

LEON S. MALMUD, M.D., Member

RUTH McBURNEY, Member

SUBIR NAG, M.D., Member

**NEAL R. GROSS**COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 COMMITTEE MEMBERS: (cont.)

2 SALLY WAGNER SCHWARTZ, Member

3 RICHARD J. VETTER, Ph.D., Member

4 JEFFREY F. WILLIAMSON, Ph.D., Member

5

6 ACMUI STAFF PRESENT:

7 ANGELA WILLIAMSON

8 LLOYD BOLLING

9 JOHN HICKEY, Designated Federal Official

10

11 ALSO PRESENT:

12 WILLIAM R. UFFELMAN, ESQUIRE

13 LYNNE A. FAIROBENT

14

15

16

17

18

19

20

21

22

23

24

25

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

C-O-N-T-E-N-T-S

Call to Order and Opening Remarks

    Dr. Manuel Cerqueira, Chairman . . . . . 5

Opening Remarks . . . . . 6

    John Hickey

    Teleconference Discussion of June 21, 2002 . . . . . 8

    Subcommittee Recommendations for the Training  
and Experience Requirements in the Revised  
10 CFR Part 35, Medical Use of Byproduct Material

        Introduction and Rationale . . . . . 5

        Section 35.50, Training for Radiation . . . . . 7

            Safety Officer

        Section 35.390, Training for Use of . . . . . 34

            Unsealed Byproduct Material for Which  
            a Written Record is Required

        Section 35.392 and 3.394, How to Deal . . . . . 42

            with the Use of Sodium I-131

        Section 35.490, Training for the Use . . . . . 44

            of Manual Brachytherapy Sources

        Section 35.491, Ophthalmic Use of . . . . . 52

            Strontium-90

        Section 35.690, Training for Use of . . . . . 52

            Remote After-Loader Units, Teletherapy  
            Units, and Gamma Stereotactic  
            Radiosurgery Units

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

C-O-N-T-E-N-T-S

Section 35.51, Training for Authorized . . . . 57  
 Medical Physicists

Section 35.55, Training for an . . . . . 62  
 Authorized Nuclear Pharmacist

Section 35.190, Training for Uptake . . . . . 78  
 Dilution and Exclusion Studies

Section 35.290, Energy and Localization . . . . 82  
 Studies

Section 35.590, Use of Sealed Sources for . . . 88  
 Diagnosis

Agreement State Implementation of the . . . . . 94  
 10 CFR Part 35 Training and  
 Experience Requirements

Status of the New ACMUI Appointments and . . . . 98  
 Future Vacancies

Comments from the Audience . . . . . 100

General Discussion . . . . . 110

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

1:04 p.m.

1  
2  
3 CHAIRMAN CERQUEIRA: On behalf of the  
4 ACMUI Committee, I would like to bring this telephone  
5 conference to order.

6 The main purpose of today's meeting is to  
7 go over the recommendations of the NRC ACMUI  
8 Subcommittee on Training and Experience Requirements  
9 that were submitted to the main Committee and to the  
10 NRC, and are now going to be discussed by the main  
11 Committee, and, hopefully, we will be able to reach  
12 some conclusions on these revised training and  
13 experience requirements, so we will fix some of the  
14 problems with the Part 35 revision.

15 Before we get into that, on behalf of the  
16 Committee, I would like to thank John Hickey for all  
17 the work that he has done with the Committee over the  
18 last year and a half, John. He's going to be moving  
19 on to other areas within the NRC, and we appreciate  
20 all the work that he has put into it. I personally  
21 would like to thank him for helping us through this  
22 fairly elaborate process. Thank you, John.

23 MR. HICKEY: Thank you, Dr. Cerqueira.

24 CHAIRMAN CERQUEIRA: Does everyone here  
25 have the version that is dated June 27th, 2002? Now

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there's an introduction and a rationale which goes  
2 into some of the background material as to why this  
3 was necessary. Does anybody have any comments or  
4 changes they would like to make to the introduction or  
5 the rationale?

6 MR. HICKEY: Dr. Cerqueira, this is John  
7 Hickey. If I could just go over the arrangements with  
8 the members?

9 I believe some more people just came on  
10 the bridge. Is Dr. Nag on?

11 DR. EGGLI: No, this is Dr. Douglas Eggli.

12 MR. HICKEY: Okay, thank you, Dr. Eggli.  
13 Is Dr. Nag on? Is Ms. Hobson on?

14 MS. HOBSON: Yes.

15 MR. HICKEY: Okay. This is John Hickey  
16 from NRC headquarters. We would like to welcome Dr.  
17 Eggli, participating in his first meeting. He was  
18 recently appointed as a nuclear medicine physician.  
19 He's from Pennsylvania State University, Hershey  
20 Medical Center.

21 Also, we will welcome Dr. Brinker, as a  
22 new appointee interventional cardiologist. He has  
23 participated in previous meetings as a guest, and he  
24 has already met the other members of the Committee.

25 This is an open meeting. There are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 members of the public present here in NRC  
2 headquarters, and the meeting is being transcribed.

3 Dr. Cerqueira, I will turn it back to you.

4 CHAIRMAN CERQUEIRA: Okay, thank you very  
5 much, John, for those comments.

6 We have four hours for this telephone  
7 conference. Hopefully, we will be done much sooner  
8 than that.

9 Does the Committee feel comfortable just  
10 going through the various sections and giving comments  
11 and criticisms? I think that would be the most  
12 logical way to approach it.

13 Again, going back to the Introduction and  
14 Rationale, any unhappiness with that or changes that  
15 people feel would be appropriate?

16 (No response.)

17 Okay, the no comments is an acknowledgment  
18 of acceptance of what's been stated.

19 MR. HICKEY: This is John Hickey. Those  
20 on the phone, when you do speak, please identify  
21 yourselves for the transcriber.

22 CHAIRMAN CERQUEIRA: All right, so the  
23 next section will be 35.50, Training for Radiation  
24 Safety Officer. I think the changes here reflect the  
25 Subcommittee meeting that was held in June.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. WILLIAMSON: This is Jeff Williamson.  
2 May I make a suggestion then?

3 CHAIRMAN CERQUEIRA: Yes.

4 DR. WILLIAMSON: I think it might be  
5 helpful if the Subcommittee member who is responsible  
6 for each section perhaps briefly outlined what the  
7 changes were.

8 CHAIRMAN CERQUEIRA: That would be  
9 worthwhile. Who is responsible for the Radiation  
10 Safety Officer's section? Was that --

11 DR. VETTER: Richard Vetter was  
12 responsible for that, speaking.

13 Just to clarify, if I may, Jeff, when you  
14 said, "outline the changes," do you mean from the June  
15 21st document?

16 DR. WILLIAMSON: No, I think that this is  
17 a broader group. So I think it would be useful if you  
18 just basically went over the new training and  
19 experience requirement and highlighted the changes  
20 relative to the recently-published Part 35.57.

21 DR. VETTER: Right, okay. The recently-  
22 published 35.50 -- actually, 35.57 is the grandfather  
23 clause, but the recently-published 35.50, that is the  
24 revised Part 35, did not list boards. The  
25 Subcommittee, as we discussed whether or not to list

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 boards, decided that -- we didn't actually take a  
2 vote, but I think the consensus was that we would like  
3 to recommend that some boards actually be hard-wired  
4 in, if you will, to the regulation. That is, those  
5 that meet the specific criteria that are identified be  
6 hard-wired in, and that is paragraph (a).

7 So relative to the issue of radiation  
8 safety, there are three boards that meet those  
9 requirements, and they are listed here. Those three  
10 boards meet the requirements of paragraph (b).

11 Now the recently-published Part 35, as you  
12 recall, required that any board that would be  
13 recognized by NRC satisfy the requirements, the very  
14 specific training requirements, which are now  
15 paragraph (c), and, in addition -- I'm sorry, the  
16 boards must require that applicants meet those  
17 requirements and also require that the applicant  
18 provide a preceptor letter that is signed by someone  
19 who testifies, if you will, that the individual is  
20 competent.

21 In the charge to the Committee, we were  
22 asked to develop a recommendation where being board-  
23 certified would be the default. So this first section  
24 is written in that way, that anyone who would fulfill  
25 the responsibilities of Radiation Safety Officer must

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be certified by one of the listed boards or by another  
2 board that meets the requirements of paragraph (b).

3 That is, in this particular case you hold  
4 a degree; you have a certain number of years of  
5 experience, and you have a supervising physicist or  
6 RSO testify, if you will, that you, in fact, have  
7 completed that training requirement. That is, the  
8 board would have to have a letter from the supervising  
9 physicist or RSO testifying that you have completed,  
10 that the RSO has completed -- that the applicant has  
11 completed the training.

12 Then, finally, the Committee felt very  
13 strongly that if individuals could pass the  
14 examination of a board of peers that tested in the  
15 subject area -- and in this case it is primarily  
16 radiation safety, but also it is some physics  
17 implementation, and so forth -- that that, in fact,  
18 demonstrates that the individual has the knowledge to  
19 do the job.

20 So paragraph (b) is actually a list of the  
21 criteria that any new board would have to meet in  
22 order to be recognized by the NRC, and the three  
23 boards listed in paragraph (a) do, indeed, meet those  
24 criteria.

25 Paragraph (c), then, is unchanged. That's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 basically the alternate pathway. We did not make any  
2 changes in that, with the exception of the very last  
3 item in paragraph (c) which has to do with written  
4 certification. There again, we removed the -- let's  
5 see, was there -- I need clarification. Was there a  
6 requirement? Yes, there was, in that paragraph there  
7 was a requirement that the preceptor sign that the  
8 individual is competent to practicum. So this  
9 paragraph (c)(3) does not have that in it.

10 Then paragraph (d) is the basically  
11 unchanged certainly philosophy. That is, anyone who  
12 can be approved to be an authorized user, medical  
13 physicist, or nuclear pharmacist can also serve as the  
14 Radiation Safety Officer.

15 Then a second charge of the Subcommittee  
16 was to decouple the modality-specific training from  
17 the board. Paragraph (e) does that. So this is new.

18 So, in other words, paragraph (e) says, it  
19 doesn't matter whether you're board-certified or go  
20 through the alternate pathway; you must demonstrate  
21 that the licensee must assure that the individual who  
22 will serve as Radiation Safety Officer has the  
23 training in radiation safety, regulatory issues,  
24 emergency procedures, proposed clinical procedures,  
25 and so forth, for any modality for which the licensee

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is licensed or seeks authorization.

2 So that, in a sense, decouples it from the  
3 board, but the board doesn't have to assure that the  
4 individual has the experience in the specific  
5 modality, but the licensee must assure that the  
6 Radiation Safety Officer has that experience.

7 MS. HOBSON: I'm not sure there's anything  
8 about that on my copy.

9 MR. HICKEY: Excuse me, Ms. Hobson, could  
10 you speak up or try to increase the volume in some  
11 way?

12 MS. HOBSON: Well, I was just saying that  
13 my copy as my computer downloaded it does not include  
14 the (a), (b), (c), (d), and (e) that Dr. Vetter was  
15 referring to. Am I the only one that has that kind of  
16 a copy? Is it a peculiarity of my computer?

17 MS. McBURNEY: Are you on 35.50?

18 MS. HOBSON: Yes.

19 MS. McBURNEY: Training for Radiation  
20 Safety Officer?

21 MS. HOBSON: Yes.

22 MS. McBURNEY: It should have.

23 MS. HOBSON: No, no.

24 DR. VETTER: It must be your system. If  
25 you have a specific question on a specific paragraph,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 just mention that.

2 MS. HOBSON: Okay, I did have a question  
3 about if any additional boards besides the three that  
4 are listed here would go through a process of becoming  
5 accepted by the NRC before their certification would  
6 be accepted?

7 DR. VETTER: That is our recommendation,  
8 yes.

9 MS. HOBSON: Okay, all right. Thank you.

10 CHAIRMAN CERQUEIRA: Again, this is Manuel  
11 Cerqueira. If people could identify themselves, it  
12 will make it easier for the transcriptionist.

13 I would like to add one point that is the  
14 result of a Subcommittee meeting. We had quite a  
15 discussion about competence, and everyone agreed that  
16 completing the training and experience is what, with  
17 the certification from the supervising individual,  
18 would be required. This is somewhat different than we  
19 had included in the original, but I think, as a result  
20 of listening to the boards and as a result of the  
21 discussions, most of us felt comfortable with  
22 "completed the training and experience," and this  
23 would be used throughout the document, not just for  
24 the Radiation Safety Officer, but for the other  
25 individuals as well.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Okay, any other discussion on the  
2 Radiation Safety Officer?

3                   MR. LIETO: Are we opening it up to  
4 specific comments? This is Ralph Lieto speaking.

5                   CHAIRMAN CERQUEIRA: Yes.

6                   MR. LIETO: I have a comment, and I am  
7 just going to repeat some of the things that I had  
8 sent previously to the NRC. This was a comment  
9 throughout all the training.

10                   For example, if we go to 35.50, Part (b),  
11 No. 3, which says, "to provide a written certification  
12 from the supervising physicist or RSO," individuals  
13 don't certify, and I think Dick recognized this.

14                   My suggestion was that using the word  
15 "attestation," or if there is another term that the  
16 NRC would prefer that for now I guess to the preceptor  
17 concept, I think we maybe want to change that all the  
18 way throughout, because I don't think anybody is going  
19 to want to sign a statement that they certify an  
20 individual. I don't even know if they can, but that  
21 is a comment for this specific part and also  
22 throughout the training requirements for the  
23 authorized users.

24                   DR. VETTER: Richard Vetter.

25                   I think that is a very good point,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 particularly since it falls within the paragraph that  
2 is talking about certification boards, using the word  
3 "certification" in two different contexts there. So  
4 I would support Ralph's suggestion that we change it  
5 from "certification" to some other word, "attestation"  
6 or "written documentation." I don't know what is the  
7 best word, but I do agree with what he said.

8 MR. LIETO: My next comment has to do with  
9 the paragraph above it on No. 2 and maybe also to Dick  
10 and to the NRC staff. I guess there is some wording  
11 in there that I thought I'm a little confused by, the  
12 word "responsible professional experience." I guess  
13 I am kind of bothered by that word "responsible" being  
14 in there and would maybe recommend that we just delete  
15 that word.

16 DR. VETTER: Where's the word  
17 "responsible"?

18 MR. LIETO: It's No. 2. It would be  
19 (b)(2) where it says, "to have five or more years of  
20 responsible professional experience." I don't know if  
21 that is maybe taking verbatim from some other  
22 reference.

23 DR. VETTER: That is verbatim from one of  
24 the boards.

25 MR. LIETO: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. VETTER: But we don't need to go  
2 verbatim from the board. I don't have a problem with  
3 deleting that.

4 MR. LIETO: The other thing was, in that  
5 same paragraph, was professional experience versus  
6 applied health physics. I should say professional  
7 experience in health physics versus applied health  
8 physics. Is there some place where that is clarified?  
9 I know it is not in here, but, I mean, is there a  
10 reference that can be cited where there is that  
11 distinguishment between those two terms of  
12 radiologies.

13 DR. VETTER: This is Richard Vetter.

14 I think the reason the word "applied" is  
15 there is so that we assure that the person applying to  
16 become certified is not someone who is simply a book-  
17 learner; that is, they have never been in an actual  
18 operating environment.

19 We are suggesting that the individual  
20 actually has to have worked in the environment. In  
21 other words, it would be difficult for a person who  
22 went right from graduate school into a faculty  
23 position, never actually practiced, to meet this  
24 requirement.

25 Just let me expand on that a little bit

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 more. It is not that we are trying to exclude anyone.

2 MR. LIETO: Right.

3 DR. VETTER: It is just that we felt that  
4 it was important that the individual actually has been  
5 in an actual environment practicing health physics,  
6 taking measurements, doing calculations, doing all  
7 those sorts of things, doing surveys, so that they  
8 actually have some real experience. That was the  
9 purpose of that.

10 MS. SCHWARTZ: Maybe you could change --  
11 Sally Schwartz -- change the wording to "three years  
12 working in health physics"?

13 DR. VETTER: This is Richard Vetter.

14 You're also working if you are sitting at  
15 a desk doing calculations, and you've never actually  
16 took on a survey meter.

17 CHAIRMAN CERQUEIRA: This is Manuel  
18 Cerqueira.

19 Ralph, I mean you see the intent, what we  
20 are trying to get at. Do you agree with requiring  
21 some practical applied requirement as opposed to  
22 classroom?

23 MS. MCBURNEY: This is Ruth McBurney.

24 I think that goes also to the start of  
25 that No. 2, where you can have graduate training

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 substituting for two years, but you've got to have at  
2 least three of those years in applied health physics.  
3 You couldn't just have graduate training or, as Rich  
4 mentioned, faculty-type work.

5 MR. LIETO: But the applied would not, if  
6 I am understanding correctly, would not necessarily  
7 have to be in a medical or modality-specific  
8 environment, is that correct?

9 DR. VETTER: This is Richard Vetter. That  
10 is correct.

11 MR. LIETO: Okay.

12 DR. VETTER: Paragraph (e) takes care of  
13 that.

14 MR. LIETO: Okay, right. Okay. All  
15 right.

16 CHAIRMAN CERQUEIRA: So can we keep that  
17 as is, Ralph?

18 MR. LIETO: I'm sorry?

19 CHAIRMAN CERQUEIRA: We can keep that as  
20 through using "applied health physics"?

21 MR. LIETO: That's fine.

22 CHAIRMAN CERQUEIRA: We'll take  
23 "responsible" out.

24 Okay, other comments?

25 MR. LIETO: This is Ralph Lieto again.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           On the last paragraph, that Section (e),  
2           where it decouples from the board certification, just  
3           to be sure that I understand this correctly, because  
4           there has been a question brought up. This would  
5           allow, then, say, a teletherapy physicist to be an RSO  
6           over, say, a nuclear medicine area if they can  
7           demonstrate the training that meets the requirements  
8           of Section (e)? Is that correct, Dr. Vetter?

9           DR. VETTER: Yes, that is correct.

10          MR. LIETO: Okay.

11          DR. WILLIAMSON: This is Jeff Williamson.  
12          I would like to ask Mr. Hickey if he agrees with that  
13          interpretation.

14          MR. HICKEY: This is John Hickey.

15          The intent was -- I believe this is not  
16          the Subcommittee's wording. I think this is from the  
17          existing regulation. The intent was if they have  
18          experience with similar types of materials. So if you  
19          include a paragraph (e) which says they have to have  
20          -- this, taken in total, would say that they have to  
21          have the right training experience and experience with  
22          the radioactive material. So I would agree with Dr.  
23          Vetter.

24          DR. WILLIAMSON: Because why I asked, it  
25          says in (d), "has experience with the radiation safety

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 aspects of similar types of use of byproduct material  
2 for which the individual has Radiation Safety Officer  
3 responsibilities."

4 I guess, then, what it also means is, by  
5 extension, a nuclear medicine physician could become  
6 the RSO of a broad scope licensee?

7 DR. VETTER: This is Richard Vetter.

8 The answer, my opinion, the answer to that  
9 is yes, if he or she meets the requirements of (d) and  
10 (e), or specifically (d).

11 DR. WILLIAMSON: Yes, you know, it is not  
12 clear to me, I guess what I am saying, it is not clear  
13 to me that the requirements in (d) are the same as the  
14 requirements in (e). I mean, one interpretation of  
15 (d) and (e) is that (e) provides for the less  
16 stringent training and experience that's modality-  
17 specific, and the intent of (d) is kind of to limit  
18 the person to be an RSO of an operation that is more  
19 or less limited to what the person is already  
20 authorized to do as an authorized user or AMP.

21 DR. VETTER: Yes, I agree with that. This  
22 is Richard Vetter. I agree with him.

23 DR. WILLIAMSON: And, you know, its  
24 intention is to serve the small single or small  
25 licensees that have maybe one or two modalities

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 available, such as only nuclear medicine or only  
2 teletherapy or only brachytherapy, in which the most  
3 qualified person available to do that is probably an  
4 authorized user or AMP working with the specific  
5 modality.

6 MS. MCBURNEY: This is Ruth McBurney, and  
7 it is probably a medical physicist in a therapy that  
8 was a trained therapy physicist would probably meet  
9 the alternative pathway of (c) by virtue of their  
10 education and most of the experience, and if they had  
11 just a little extra in nuclear medicine, probably they  
12 could be authorized as an RSO for nuclear medicine.

13 MR. LIETO: This is Ralph Lieto.

14 The comment that Jeff brought up, that  
15 seems to present sort of I guess a danger, for lack of  
16 a better word, that would allow someone with minimal  
17 qualifications to be RSO over extremely multiple-  
18 modality-type licensees. Well, you know, do we want  
19 to do anything about that?

20 DR. WILLIAMSON: It would be some concern,  
21 I guess. I can see it cutting both ways, but I want  
22 to remind the Committee and Subcommittee of one of the  
23 positions that Bill Hindie presented in behalf of the  
24 ABR. He basically notes that in Subpart (c), the old  
25 requirement, they list in there anybody boarded by the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 American Board of Radiology, American Board of Medical  
2 Physics and Radiation Oncology, and a bunch of other  
3 things. They are listed as members of the -- they can  
4 be RSOs.

5 So on the negative side, it seems to me we  
6 are making it more difficult for certified therapy  
7 physicists to be RSOs of broad-scope licensees, and  
8 maybe in some cases that might be the best and most --  
9 how could I say? -- safety-conscious decision for a  
10 given licensee to make, as the alternative being  
11 somebody who is not onsite, who's a consultant RSO,  
12 and is not there, and so on. That is kind of an  
13 awkward dilemma to be put in. So I think it's  
14 possible that it cuts on the negative side a bit.

15 In another direction, it can cut on the  
16 negative side by, as you pointed out, Ralph, allowing  
17 somebody that really doesn't have the basic education  
18 and technical knowledge to absorb all of these  
19 modalities and their safety aspects, and doesn't have  
20 a global enough knowledge of the regulations, and so  
21 on, to be the RSO of a really complex program. That  
22 is another concern. So it could also let in some  
23 underqualified people, and it might also cut out some  
24 mainly well-qualified people.

25 MR. HICKEY: Could the last speaker

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 identify himself?

2 DR. WILLIAMSON: I'm sorry, I couldn't  
3 understand what you said.

4 MR. HICKEY: Could you identify yourself,  
5 please? Didn't catch your name.

6 CHAIRMAN CERQUEIRA: Jeff Williamson.

7 DR. WILLIAMSON: I'm sorry, Jeff  
8 Williamson.

9 CHAIRMAN CERQUEIRA: This is Manuel  
10 Cerqueira.

11 So how do you want to handle this,  
12 Ralph --

13 MR. LIETO: I guess I have been answered  
14 satisfactorily on that. I see this as, I guess, a  
15 double-edged sword here, but I guess we don't want to  
16 make it overly restrictive in the sense that we do cut  
17 out viable candidates for this position.

18 One thing that I would just want to add to  
19 this, as I had in my previous comment, was that it  
20 talks about training requirement being satisfied and  
21 by training under a supervised individual. I guess I  
22 would just like to add that there be some attestation  
23 statement, again, about the satisfactory completion of  
24 that training under Item (e).

25 (Pause.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           In other words -- this is Ralph Lieto  
2 again -- maybe a statement to the effect that, quote,  
3 "supervising medical physicists or Radiation Safety  
4 Officer must attest in writing to the satisfactory  
5 completion of the training."

6           DR. VETTER: This is Richard Vetter.

7           Our intent here was to put the  
8 responsibility on the licensee to assure that the  
9 Radiation Safety Officer had the training needed. We  
10 assume that licensing, if they wanted to pursue it,  
11 would ask the licensee to verify that they, in fact,  
12 did have the training.

13           So what training are we talking about?  
14 The last sentence, "the training requirement may be  
15 satisfied by meeting training supervised by an  
16 authorized medical physicist," et cetera, "who is  
17 authorized for the modality." So a licensee would  
18 then have to be able to demonstrate that that training  
19 occurred.

20           I am not arguing against what you are  
21 saying, Ralph. I am just saying that it is our intent  
22 here was for the burden to be put on the licensee, and  
23 not to prescribe how, in fact, they could demonstrate  
24 that the training had occurred.

25           MR. LIETO: So you're suggesting that --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. VETTER: I guess I was just making it  
2 a little bit more explicit that there needs to be a  
3 documented -- in other words, I could see the licensee  
4 could get this from the supervising physicist or RSO,  
5 yet it might not be in writing. I guess I was just  
6 saying that there needs to be a documentation that the  
7 training was completed satisfactorily; that's all.

8 CHAIRMAN CERQUEIRA: Well, Ralph, this is  
9 Manuel Cerqueira.

10 On (b)(3) you had us take certification  
11 out for completed the training and experience, and now  
12 here you want to put it back in some way that there is  
13 a documented competency or satisfactorily conclusion.  
14 Why would it be different in (b)(3) than in --

15 DR. VETTER: Well, in (3) you're asking  
16 for -- it uses the word "certification."

17 CHAIRMAN CERQUEIRA: Right.

18 DR. VETTER: I'm just kind of using  
19 Webster's definition of attestation and just saying  
20 that the licensee needs to have this document that the  
21 person has received, completed this training  
22 satisfactorily; that's all.

23 DR. WILLIAMSON: This is Jeff Williamson.

24 But isn't it the case that, if this is  
25 required, there is an understood obligation of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 licensee to be able to provide documentation that this  
2 training occurred if an inspector asks for it?

3 DR. VETTER: Right, but who does it come  
4 from? Let's say you hired a person and he says, "Yes,  
5 I have it. I'll write you a document that says I have  
6 it," as opposed to the person that did the actual  
7 supervision of the training. That is what I was  
8 saying.

9 DR. WILLIAMSON: I am just concerned that  
10 we are making more complexity and bookkeeping and  
11 making it more prescriptive than it needs to be. I  
12 mean, there is kind of a not-so-well-established for  
13 RSO, but I think there are fairly well-accepted  
14 pathways for getting this modality-specific training  
15 for authorized users and authorized medical physicists  
16 with the different modalities.

17 I think to put in place another sort of  
18 level of formal letters, I just don't see why it is  
19 necessary.

20 MR. LIETO: Well, this is Ralph Lieto  
21 again.

22 I seem to recollect that there was a  
23 concern -- I don't know if it was brought up in the  
24 Committee meetings or at the hearings or where -- that  
25 there was a problem and there were requirements for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 these trainings, and so forth, but no one had to  
2 necessarily attest to the fact that the person  
3 completed it satisfactorily. In other words, they  
4 could say, "Yes, this person did the training, but  
5 they're really not competent to function  
6 independently."

7 I think that was a concern that was raised  
8 several times in the past. My recommendation was  
9 simply to address that issue: that if you're going to  
10 say that this person is competent to be an RSO, then  
11 you should be willing -- and you supervised that  
12 training -- then someone should be willing to put  
13 their name that they were competent.

14 DR. WILLIAMSON: This is Jeff Williamson  
15 again.

16 We actually did discuss the general issue  
17 a lot. This is far more general than this paragraph  
18 (e), because the general position that the  
19 Subcommittee took was that the preceptor statement  
20 definition as written in the recently-published Part  
21 35 was so strong it required the preceptor to attest  
22 to the clinical competence of the applicant and the  
23 ability to practice independently; that we felt that  
24 there would be a problem because preceptors would be  
25 unwilling to sign such vague and unquantifiable

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 statements, for fear of taking on -- for fear of  
2 future liability, if it turned out there were some  
3 incident down the line involving the applicant.

4 So we backed off and wanted to go with  
5 nothing more strong than satisfactorily completed the  
6 training program, which, you know, is black and white  
7 and can be quantified that they did or did not, and  
8 leave it at that.

9 CHAIRMAN CERQUEIRA: This is Manuel  
10 Cerqueira.

11 I would like to hear some other Committee  
12 members kind of give us their view on this. Ruth,  
13 what do you think would be -- I mean, we had this  
14 discussion through multiple years of developing Part  
15 35 revisions and then also during the Subcommittee.  
16 I thought that this language had sort of finally  
17 captured what we felt was putting enough teeth into  
18 it, but not making it so restrictive. Ruth?

19 MS. McBURNEY: Yes, this is Ruth.

20 I think that, from a regulatory  
21 standpoint, if somebody wants, if an inspector wanted  
22 to see that somebody had completed that training, that  
23 there might be some sort of document available. But  
24 I think we decided not to put it into rule as far as  
25 requiring that to be submitted as a licensing, as a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 part of the licensing process.

2 CHAIRMAN CERQUEIRA: Well, I think that  
3 was the general --

4 MS. MCBURNEY: For the modality-specific  
5 training.

6 CHAIRMAN CERQUEIRA: Dr. Eggli, this is a  
7 whole new issue for you in some ways. Do you have any  
8 comments on this particular requirement?

9 DR. EGGLI: Well, I participated in one of  
10 the early Part 35 workshops. The issue is, wherever  
11 you set the bar for training and experience, no one  
12 should be able to crawl under the bar rather than leap  
13 over it. Having no defined documentation pathway  
14 leaves the potential for people to crawl under the  
15 bar.

16 CHAIRMAN CERQUEIRA: Okay, although,  
17 again, the SNM gave us pretty strong language that  
18 none of this should be required. So that runs a  
19 little bit against what some of the earlier  
20 recommendations have been.

21 Dr. Malmud, your comments? Dr. Malmud?

22 DR. MALMUD: Yes, my feeling is that, when  
23 we are overly prescriptive, we create new problems  
24 that would not otherwise have occurred.

25 Are you able to hear me?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CERQUEIRA: Yes, yes.

2 DR. MALMUD: My own feeling is that it  
3 would be better to certify that the individual had  
4 completed a training program. What the individual has  
5 done subsequent to the training program is not, in my  
6 mind, something that can be attributed to the training  
7 program itself, which addresses the issue that was  
8 raised about a liability of the person who certifies  
9 for the training program being held responsible  
10 forever.

11 I think we are responsible for that which  
12 we did while we were in charge of the training  
13 program. If the individual loses his capability for  
14 one reason or another beyond that, I don't think we  
15 can be held responsible for that.

16 So I would lean toward the less  
17 prescriptive, and running the risk, I agree, of  
18 someone crawling under the line rather than jumping  
19 over it. But I don't know that there is any way in  
20 human behavior that we can prevent every possible  
21 breach from occurring.

22 My preference would be to be less  
23 prescriptive.

24 CHAIRMAN CERQUEIRA: Okay, let's have  
25 David's comments then. Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. WILLIAMSON: This is Jeff Williamson.

2 Another point to be made is that this is  
3 a new requirement. It is not present in the Subpart  
4 (j). It does not seem that there is any evidence that  
5 this has caused a crisis in public safety. Like are  
6 these whole lines of people crawling under the wire  
7 endangering the radiation safety of numerous  
8 operations? The existing system works. So why make  
9 it more difficult?

10 DR. MALMUD: Yes, the most significant  
11 issue that we had at our institution was with a very  
12 well-trained person who, for some reason or another,  
13 wasn't behaving well. So I don't know that the issue  
14 of being overly prescriptive would not have dealt with  
15 that issue, while at the same time I agree we can't  
16 leave the door wide open.

17 So my tendency would be to go with those  
18 members of the Committee who prefer being less  
19 prescriptive.

20 CHAIRMAN CERQUEIRA: Okay, David Diamond,  
21 do you have any feelings on this issue?

22 DR. DIAMOND: I actually rather like the  
23 language as it is right now. I think that it is not  
24 too overly prescriptive. I think it gives enough  
25 guidance, and I like the way it is right now.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CERQUEIRA: Okay, good. Dr. Nag?

2 (No response.)

3 I guess he's not on at this point.

4 Sally, do you have any comments?

5 MS. SCHWARTZ: No, I think that as it is  
6 written is an acceptable --

7 CHAIRMAN CERQUEIRA: Okay. So I think we  
8 have had a fairly good discussion on this. I think  
9 people understand your concerns, but I think the  
10 feeling is that, as it is currently written, it would  
11 still deal with some of the issues that you have  
12 brought up.

13 DR. MALMUD: And that's my interpretation  
14 as well. This is Malmud again.

15 CHAIRMAN CERQUEIRA: Yes. Okay, well,  
16 again, just on behalf of my constituency, the nuclear  
17 cardiologists, again, I would love to get a  
18 clarification also, but if someone is an authorized  
19 user so that a private practice cardiology office, an  
20 authorized user under (2)(D) of this section would be  
21 able to qualify as a Radiation Safety Officer. That  
22 was brought up during the discussion, but I just  
23 wanted to make sure that that was agreed upon by  
24 everyone.

25 Okay, well, I think we have had a fairly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 good discussion on this. Some of these issues will  
2 come up with some of the other ones, and we will  
3 probably won't have to go into it in as much detail.

4 So other than a few changes under (b)(2),  
5 taking out "responsible" and then trying to come up  
6 with a different word under (b)(3) for certification,  
7 I think the feeling is to leave the rest of it as is.  
8 Richard, is that your understanding also?

9 DR. VETTER: Yes, that is my  
10 understanding.

11 CHAIRMAN CERQUEIRA: Okay. John?

12 MR. HICKEY: Dr. Cerqueira, John Hickey.  
13 I just wanted to clarify an important point with Dr.  
14 Vetter that will apply to all the sections.

15 I want to clarify that it is the intent of  
16 the Subcommittee that the boards that would be listed  
17 would have to be evaluated against paragraph (b) and  
18 meet paragraph (b) in order to continue to be listed.

19 DR. VETTER: This is Richard Vetter.

20 Yes, that is the intent of the  
21 Subcommittee.

22 MR. HICKEY: Thank you.

23 DR. MALMUD: This is Malmud.

24 Going back to (b)(3), might the word  
25 "statement" suffice instead of "certification"?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 "Provide a written statement from a supervising  
2 physicist" --

3 DR. VETTER: This is Richard Vetter.

4 I would certainly support the use of that  
5 word.

6 CHAIRMAN CERQUEIRA: I think we will  
7 probably have to get some idea from counsel on the  
8 appropriateness, but on that I think everyone agrees  
9 that maybe "certification" is too strong a word to put  
10 in there, but "attestation" or some other appropriate  
11 word or "a written statement" would be fine.

12 Okay, should we go on to 35.51, Training  
13 for an Authorized Medical Physicist?

14 DR. DIAMOND: Excuse me, Dr. Cerqueira.  
15 This is Dr. Diamond.

16 CHAIRMAN CERQUEIRA: Yes.

17 DR. DIAMOND: I was under the impression  
18 we would be able to do the therapy sections first. I  
19 have a fairly limited amount of time I can be on a  
20 conference call today.

21 CHAIRMAN CERQUEIRA: You're right, that  
22 had been requested. If no one else has any  
23 objections, then why don't we do that?

24 DR. DIAMOND: So let's please direct our  
25 attention to 35.390, which is the first section that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I worked on. This is Training for Use of Unsealed  
2 Byproduct Material for Which a Written Record is  
3 Required. This is about 5-d-iodine, which I will  
4 address in a minute. I will give you a second to get  
5 to 35.390.

6 For those of you who aren't familiar,  
7 there is a parallel structure to all of these therapy-  
8 related sections; simply, small paragraph (a)  
9 addresses the board pathway. Small paragraph (b)  
10 discusses the alternative pathway, and then small  
11 paragraph (c) enumerates the boards that are listed.

12 So just to highlight the changes,  
13 basically, small paragraph (a), this is indicating  
14 that there must be successful completion of a  
15 residency program, either radiation oncology or  
16 nuclear medicine.

17 Paragraph (b) is essentially exactly the  
18 same.

19 DR. MALMUD: Dr. Diamond?

20 DR. DIAMOND: Yes?

21 DR. MALMUD: This is Leon Malmud.

22 May I ask a question about --

23 DR. DIAMOND: Yes, sir.

24 DR. MALMUD: -- that paragraph? It says  
25 -- this is Section (a)(1).

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. DIAMOND: Yes, sir.

2 DR. MALMUD: "A minimum three-year  
3 residency program in nuclear medicine." Now what  
4 would happen to a radiologist who is board-certified  
5 in radiology and a one- or two-year program in nuclear  
6 medicine to augment that and become certified? Would  
7 that qualify as a three-year program?

8 DR. DIAMOND: My understanding, Leon, is  
9 that a radiologist who is currently board-certified in  
10 practice would be grandfathered from these changes.

11 DR. MALMUD: Thank you.

12 DR. DIAMOND: And I'm sorry, small  
13 paragraph (c) is just my attempt to enumerate the  
14 boards in nuclear medicine or radiation oncology  
15 currently recognized by the Commission. As Dr. Hickey  
16 just mentioned, in all these sections, of course, the  
17 staff would go back and assure that all the paragraph  
18 (b) requirements were met by that particular board  
19 before they were included in the regulation.

20 So I would be appreciative to hear the --  
21 oh, by the way, Ralph, I noticed that on the  
22 alternative pathway, I used the word "attestation" for  
23 you.

24 MR. LIETO: Right.

25 DR. DIAMOND: Okay. At least it would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 good to hear any comments from those folks who weren't  
2 on this working group or Subcommittee, please.

3 DR. WILLIAMSON: Jeff Williamson.

4 The currently-published training and  
5 experience requirement lists as a requirement 12 cases  
6 of iodine greater and less than 30 millicuries, and I  
7 have forgotten what the other two categories are. But  
8 you've dropped that out?

9 DR. DIAMOND: I used what I thought was  
10 the currently-recommended language. Jeff is referring  
11 to paragraph small (b), capital (G), where there are  
12 four subsections of 1, 2, 3, and 4.

13 DR. WILLIAMSON: Here they are, yes.

14 DR. DIAMOND: And they are enumerated  
15 there for you, Jeff.

16 DR. WILLIAMSON: Yes, but I guess the  
17 question is, do you think that --

18 DR. DIAMOND: That was supposed to be  
19 verbatim from what's --

20 DR. WILLIAMSON: Yes, I know that there,  
21 but my comment is that one could get through, you  
22 know, be board-certified in radiation oncology, have  
23 come through a program where they didn't even do one  
24 radionuclide application, and be an authorized user  
25 for this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I am wondering if it wouldn't be wise to  
2 take the paragraph small (b)(1)(G), 1 through 4, and  
3 put it as a separate section and say, regardless of  
4 which of the three pathways you come from, a listed  
5 board, a new board to be vetted in the future, or  
6 alternative pathway, you need to do these 12 cases.

7 DR. DIAMOND: Right, that's one option.  
8 The other option is simply to say that any doctor  
9 coming on staff to a medical center who wishes to go  
10 and have a specific privilege -- let's say you're a  
11 radiation oncologist and in your training you've never  
12 used radioactive iodine. Well, in that case you would  
13 have to go, when you apply for privileges and they  
14 will ask you, "Have you done this," and you say, "No,"  
15 then you will not be granted privileges for that  
16 particular submodality. That is the more  
17 straightforward way to handle it, in my opinion.

18 MR. LIETO: This is Ralph Lieto.

19 Dr. Diamond, I kind of agree with Dr.  
20 Williamson because my concern is that -- and correct  
21 me if I am wrong -- but most radiation oncology  
22 residencies don't involve the unsealed  
23 radiopharmaceutical end of therapy. How would, say,  
24 someone applying to the NRC, how would they know  
25 whether their training program included

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 radiopharmaceutical therapies?

2 DR. DIAMOND: Well, Ralph, there is a  
3 tremendous disparity in radiation oncology programs.  
4 I can't give you a breakdown --

5 MR. LIETO: Okay.

6 DR. DIAMOND: -- but I would say it is a  
7 50/50 mix. I have no specific objections in principle  
8 to changing this around to be more prescriptive, in  
9 other words, to tell the American Board of Radiology,  
10 Section of Radiation Oncology, that they must go and  
11 meet requirements 1 through 4 to grant board  
12 certification.

13 DR. WILLIAMSON: No, I didn't say that,  
14 David. I'm sorry, this is Jeff Williamson again. I  
15 said that an authorized user is one who is certified  
16 by the American Board of Radiology and Radiation  
17 Oncology or some other board for nuclear medicine or  
18 has this following alternative experience.

19 The last paragraph would be, "In addition  
20 to the above paragraphs (a) through (b), an authorized  
21 user for radiopharmaceutical therapy should have this  
22 distribution of case experience."

23 DR. DIAMOND: And what I would propose,  
24 Jeff, is I would go and add simply a small paragraph  
25 (d), as in "dog," which we have done in other therapy-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 related sections. Basically, again, to remind you of  
2 the structure, small paragraph (a) is the board  
3 pathways; small paragraph (b) is the alternative  
4 pathway; small paragraph (c) is the currently-  
5 recognized or is enumerated, and small paragraph (d)  
6 would be basically a notation or a specification that  
7 certain specific modality training for that particular  
8 area in which they wish to function must also be  
9 present, regardless of their board certification.

10 DR. WILLIAMSON: That's essentially what  
11 I was suggesting.

12 MR. LIETO: Yes, this is Ralph Lieto. I  
13 thought that's what Jeff said, too, because I would  
14 agree with that, Dr. Diamond. I think that would  
15 answer at least my concerns because, knowing that  
16 someone was board-certified in radiation oncology, yet  
17 had no unsealed source experience, and yet got  
18 approved for that, I think it is just a disaster  
19 waiting to happen.

20 DR. DIAMOND: As I think this proves, Jeff  
21 and Ralph, this may be a very clear way to proceed,  
22 and it would bring it in parallel, for example, with  
23 Section 35.690, which is simply exactly that. For any  
24 specific modality with which you wish to work, you  
25 must have training experience in that specific

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 modality.

2 CHAIRMAN CERQUEIRA: This is Manuel  
3 Cerqueira.

4 I think that would solve, though, the  
5 problem. Really it almost sounds like (2)(E)(1), the  
6 Radiation Safety Officer requirement, where we try to  
7 put some more specific training requirements in there.

8 So, Ralph, you are happy with that?

9 MR. LIETO: Yes. This is Ralph Lieto. I  
10 would agree with that.

11 DR. WILLIAMSON: Jeff Williamson. I think  
12 also it is a less radical restructuring of this part,  
13 so less likely to provoke a negative response from the  
14 regulated community.

15 DR. MALMUD: Leon Malmud. I agree.

16 CHAIRMAN CERQUEIRA: Any other comments  
17 from other members of the Committee?

18 DR. VETTER: This is Richard Vetter. I  
19 agree as well.

20 CHAIRMAN CERQUEIRA: Okay.

21 MS. SCHWARTZ: Sally Schwartz. I agree  
22 also.

23 DR. BRINKER: This is the other Jeff. I  
24 agree.

25 CHAIRMAN CERQUEIRA: All right, so, David,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I think if we add that small (d) at the end --

2 DR. DIAMOND: Would you like me to move  
3 onto the next two sections --

4 CHAIRMAN CERQUEIRA: I'm sorry, what?

5 DR. DIAMOND: Would you like me to move  
6 onto the next two sections?

7 CHAIRMAN CERQUEIRA: Yes.

8 DR. DIAMOND: The next two sections,  
9 35.392 and .394, respectively, have to do with the use  
10 of sodium I-131; we find these less than or greater  
11 than 33 millicuries, respectively. Basically, all  
12 that was done is a competency statement was removed.

13 As was mentioned earlier, there was a very  
14 strong sense by the Subcommittee that it is not  
15 appropriate to have a preceptor attest to competency.  
16 Therefore, I simply removed the competency statement  
17 for both of those two sections and left the remainder  
18 of the sections unchanged.

19 CHAIRMAN CERQUEIRA: Except we may want to  
20 change some of that to "written statement" instead of  
21 "certification." Ralph, would that be in line with  
22 your earlier comment?

23 DR. MALMUD: You're referring now to  
24 Sections 35.392 and 35.394?

25 CHAIRMAN CERQUEIRA: Right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MALMUD: Agreed. Malmud.

2 CHAIRMAN CERQUEIRA: Okay. Any further  
3 discussion on these sections then?

4 DR. VETTER: This is Richard Vetter.

5 So did we decide to not use the word  
6 "written certification" but something else a little  
7 less strong, or what did we -- is that a theme we want  
8 to follow in this whole section?

9 DR. EGGLI: I understood so then,  
10 "attestation" or "statement."

11 MS. MCBURNEY: "Notation."

12 DR. VETTER: Okay, so we will find a new  
13 word for that.

14 CHAIRMAN CERQUEIRA: Okay.

15 MR. LIETO: This is Ralph Lieto.

16 On the copy here it doesn't have what the  
17 hour requirement -- is there still the hour  
18 requirements?

19 DR. DIAMOND: Everything is exactly the  
20 same, Ralph, other than the removal of the competency  
21 statement.

22 CHAIRMAN CERQUEIRA: Okay, any further  
23 discussion on .392 and .394?

24 (No response.)

25 Again, if people have, you know, late,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 late thoughts about some of these issues, they can  
2 still send us written comments while the staff is  
3 reviewing some of these changes.

4 Shall we go to 35.490?

5 DR. DIAMOND: Okay, 35.490 is Training for  
6 the Use of Manual Brachytherapy Sources. This we did  
7 not discuss in our June meeting. Basically, what I  
8 have done is I have gone back and made it parallel in  
9 structure to 35.690, which we did, in fact, discuss at  
10 great length. So, once again, there is that format of  
11 a board pathway, small paragraph (a); an alternative  
12 pathway, small paragraph (b), and the small paragraph  
13 (c), which is the enumeration of boards.

14 The only really changes in this whole  
15 section is just, again, listing the residency  
16 programs. Paragraph (a) continues also the residency  
17 program director's statement attesting that the  
18 training requirements have been met.

19 The examination, the hours on paragraph  
20 (b), both for work experience and classroom experience  
21 are unchanged.

22 DR. WILLIAMSON: Now (b) handles  
23 alternative pathway, correct?

24 DR. DIAMOND: Correct, Jeff.

25 DR. WILLIAMSON: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. MCBURNEY: This is Ruth.

2 This is the 20-hour requirement for manual  
3 brachytherapy?

4 DR. DIAMOND: It is 200 hours of classroom  
5 and laboratory.

6 MS. MCBURNEY: Yes.

7 DR. DIAMOND: That's paragraph small (b)  
8 on little Roman numeral (i), and then right after that  
9 is 500 hours of work experience.

10 MS. MCBURNEY: Right.

11 DR. DIAMOND: So that is unchanged.  
12 Again, this was simply reworded to be parallel with  
13 .690.

14 DR. WILLIAMSON: Could I just make a  
15 comment about the sort of style of paragraph (a), I  
16 guess? It is not really a substantive comment.

17 Jeff Williamson speaking.

18 DR. DIAMOND: Okay.

19 DR. WILLIAMSON: I wrote the --

20 DR. DIAMOND: The Williamson manual style.

21 (Laughter.)

22 DR. WILLIAMSON: Yes, right. To me,  
23 paragraph (a) is not terribly clear that the board has  
24 to meet features or has to exhibit features 1 through  
25 4.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 To give you an example, I wrote it in the  
2 physicist part as, "if certified by a specialty board  
3 in radiation oncology, certification has been  
4 recognized by the Commission and requires all  
5 diplomates," and then bang, bang, bang, bang, and it's  
6 very clear that the 1 through 4 then are essential  
7 features of a recognizable board, or one recognizable  
8 by the Commission.

9 So it is just an issue of how it is  
10 phrased rather than substantive.

11 DR. VETTER: This is Richard Vetter.

12 I actually support what Jeff just said.  
13 If you moved those few words out of paragraph (a)(1)  
14 into the major paragraph, then you eliminate room for  
15 argument about whether 2, 3, and 4 go along for it or  
16 if they are separate.

17 DR. DIAMOND: That is an easy fix.

18 CHAIRMAN CERQUEIRA: This is Manual  
19 Cerqueira.

20 Any other comments on those changes that  
21 have been proposed by Jeff and Richard?

22 MR. LIETO: This is Ralph Lieto.

23 I have one point for clarification. Under  
24 the alternative pathway, (b), at the end of No. 2 you  
25 say that the "experience may be obtained concurrently

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with the supervised work experience." Did you want  
2 that to state paragraph (b)(1)(ii) or did you just  
3 want it to be (b)(1)? In other words, do you want the  
4 700 hours to be concurrently with the three years of  
5 supervised experience? Because right now you are just  
6 saying the 500.

7 DR. DIAMOND: Oh, I see.

8 MR. LIETO: I think your intent is to have  
9 just --

10 DR. DIAMOND: It is a lot clearer just  
11 (b)(1).

12 MR. LIETO: Yes, drop the Roman numeral --

13 DR. DIAMOND: Well, that last sentence is  
14 referring specifically to the supervised work  
15 experience --

16 MR. LIETO: Right.

17 DR. DIAMOND: -- which is that paragraph  
18 small Roman numeral (ii). Small Roman numeral (i) is  
19 all classroom/laboratory time, Ralph.

20 MR. LIETO: Okay. Well, I'm just checking  
21 for clarification. Did you want the classroom  
22 experience to be also concurrent with the supervised  
23 -- you know, with the three years of clinical  
24 experience? In other words, I guess what I am asking  
25 is, couldn't you or wouldn't most programs have their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 classroom and work experience as a part of the three  
2 years with the residency program?

3 I don't have a strong opinion one way or  
4 the other, but I just wanted to be sure that --  
5 because what it sounds like here, you've got to have  
6 200 hours plus three years of supervised experience.  
7 That is what I am interpreting that to mean right now,  
8 and I don't know if that was the intent.

9 DR. DIAMOND: Other thoughts on that?

10 DR. VETTER: This is Richard Vetter.

11 I agree with Ralph's interpretation. I  
12 didn't catch that either, but normally the lectures,  
13 and so forth, that the residents receive, they would  
14 receive during that three years of residency, wouldn't  
15 they?

16 DR. DIAMOND: Okay, so we could go and  
17 change that to (b)(1) alone --

18 DR. VETTER: Right.

19 DR. DIAMOND: -- and delete that small  
20 Roman numeral (ii).

21 MS. McBURNEY: This is Ruth McBurney.

22 With the "this experience may be obtained  
23 concurrently with the" --

24 DR. DIAMOND: Training?

25 MS. McBURNEY: -- "training and supervised

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 work experience required by paragraph (b)(1)."

2 DR. DIAMOND: Yes.

3 MS. McBURNEY: Or (b) --

4 DR. DIAMOND: (b)(1).

5 MS. McBURNEY: (b)(1), right.

6 DR. WILLIAMSON: Jeff Williamson. I  
7 support this, too.

8 MS. SCHWARTZ: Sally Schwartz. I agree  
9 that sentence is to clarify.

10 DR. MALMUD: Malmud. Agree.

11 CHAIRMAN CERQUEIRA: So I think there is  
12 pretty much agreement.

13 There's been a couple of comments that  
14 have been made if perhaps under this .490 we should  
15 also include a paragraph similar to what we have on  
16 the .690, which is the last (d), which basically tries  
17 to -- will give training in a specific modality for  
18 which authorized use is being sought,

19 DR. DIAMOND: I thought about that when I  
20 was working on this, and I didn't think that there was  
21 enough -- this is such a specific section. This is  
22 Manual Brachytherapy Sources and so specific that I  
23 can't imagine that there is enough differences in  
24 modality, or whatnot, to justify a paragraph (b). It  
25 is already such a narrow field, if you will.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CERQUEIRA: Okay, how does the  
2 rest of the Committee feel about --

3 DR. WILLIAMSON: Well, this is Jeff  
4 Williamson.

5 I do believe that the Accreditation  
6 Committee for Radiation Oncology requires minimum  
7 caseload in general brachytherapy as a condition of  
8 being an approved program. Is that not true, David?

9 DR. DIAMOND: Yes, that is correct. This  
10 is one of the areas where you must go and enumerate  
11 the number of cases that you have done to meet basic  
12 -- to become board-certified.

13 DR. WILLIAMSON: So I guess I would submit  
14 the proposition that I think the residency, even  
15 minimal residency in radiation oncology, includes  
16 adequate clinical experience and hands-on training  
17 with forms of manual brachytherapy. I agree with Dr.  
18 Diamond that a special modality-specific competence  
19 really isn't meaningful.

20 CHAIRMAN CERQUEIRA: For manual  
21 brachytherapy. Richard, do you have any comments,  
22 Richard Vetter?

23 DR. VETTER: No, I agree with David and  
24 Jeff's interpretation that we do not need that  
25 specific paragraph or paragraph on specific modalities

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for this section.

2 CHAIRMAN CERQUEIRA: Okay, other comments  
3 from the Committee?

4 (No response.)

5 Now we had one comment from the audience  
6 here at NRC headquarters in Rockville. Bill Uffelman?  
7 Okay, no, we have answered it.

8 Okay, so how does the Committee feel?  
9 They're happy with .490 as modified?

10 DR. VETTER: This is Richard Vetter. I'm  
11 happy with it.

12 MS. SCHWARTZ: Sally Schwartz. I'm happy  
13 with the modification.

14 CHAIRMAN CERQUEIRA: Okay.

15 DR. MALMUD: Malmud. Content.

16 MS. MCBURNEY: This is Ruth. Sounds good  
17 to me.

18 DR. BRINKER: Brinker. It's fine with me.

19 MR. LIETO: Ralph Lieto. It's okay with  
20 me.

21 DR. EGGLI: Eggli. Okay.

22 CHAIRMAN CERQUEIRA: All right, so then I  
23 think we are finished with .490.

24 DR. DIAMOND: Okay, why don't we go to  
25 35.491?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CERQUEIRA: Okay.

2 DR. DIAMOND: This is, again, an example  
3 of just simply removing a competency statement, to be  
4 parallel with what we were doing earlier. This is for  
5 the ophthalmic use of strontium-90 for, for example,  
6 the prevention of tracheia, and so forth.

7 Simply, if you look at a competency  
8 statement, again, we could go and change the wording  
9 from "certification" or "attestation," or whatever we  
10 would like.

11 CHAIRMAN CERQUEIRA: Yes, I think, again,  
12 we will make that uniform across all of these  
13 different modalities.

14 DR. DIAMOND: Okay, then we will go and  
15 skip to 35.690, which is Training for Use of Remote  
16 After-Loader Units, Teletherapy Units, and Gamma  
17 Stereotactic Radiosurgery Units.

18 Once again, Colleagues, format is small  
19 paragraph (a), boards pathway; small paragraph (b),  
20 which is alternative pathway; small paragraph (c),  
21 which is the currently-recognized boards, and small  
22 paragraph (d), which is a modality-specific training.

23 Let's see, paragraph (a) will really be  
24 exactly the same as what we just did for the manual  
25 brachytherapy sources. So if there is any sense, once

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 again, that we should go and clarify paragraph (a) in  
2 .490, we should do the same in this section, whatever  
3 language Dick or Jeff wanted to recommend.

4 Paragraph (b)(1) is exactly the same.

5 Paragraph (b)(2) is the preceptor  
6 statement. We can discuss, for example, on paragraph  
7 (b)(2), just as we discussed a few moments ago, the  
8 concurrent experience, should it apply both to Roman  
9 numeral (i) and (ii) or just to Roman numeral (ii).

10 DR. WILLIAMSON: Yes, I would recommend  
11 making the changes we discussed for 35.490 --

12 DR. DIAMOND: Okay.

13 DR. WILLIAMSON: -- to both paragraph (a)  
14 and paragraph (b) to this section.

15 DR. DIAMOND: That's fine with me. So  
16 what we would do is, again, change that last sentence  
17 on paragraph (b)(2) to read, "This experience may be  
18 obtained concurrently with the training and supervised  
19 work experience required by paragraph (b)(1) of this  
20 section."

21 DR. VETTER: This is Richard Vetter. I  
22 support that change.

23 MS. SCHWARTZ: Sally Schwartz. I agree.

24 DR. DIAMOND: We spent a lot of time in  
25 our June meeting on paragraph (d), thanks to Jeff's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 help, which basically says that, for whatever specific  
2 modality which you're choosing to seek authorization,  
3 you must also have specific training in that  
4 particular area. So that's a very important change  
5 that we made.

6 CHAIRMAN CERQUEIRA: Any additional  
7 comments or changes, disagreement with what has been  
8 proposed?

9 MR. LIETO: This is Ralph Lieto. I have  
10 a question for NRC staff in relation to this Section  
11 (d).

12 The very last sentence says, "training  
13 supervised by an authorized user or authorized medical  
14 physicist, as appropriate, who is authorized for the  
15 modality." The NRC, are the licenses going to list  
16 the modalities that the physicist is authorized for?

17 MR. HICKEY: This is John Hickey.

18 Yes, it will be either in the license or  
19 it will be clear from the application what activity  
20 the medical physicist or authorized user is authorized  
21 for.

22 MR. LIETO: Okay, thank you.

23 DR. WILLIAMSON: This is Jeff.

24 In redrafting 35.51 for the authorized  
25 medical physicist, I tried to eliminate the ambiguity

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in the wording that led to NRC staff's initial  
2 conclusion that there could not be modality-specific  
3 AMP.

4 CHAIRMAN CERQUEIRA: Okay, any further  
5 discussions on this then or does the Committee agree  
6 that this is acceptable as written with the changes  
7 that have been proposed? Any disagreement on this,  
8 rather than running around and getting people's  
9 concurrence on it?

10 DR. WILLIAMSON: Well, this is Jeff  
11 Williamson.

12 I think that at some point we will have to  
13 -- maybe it won't be us; maybe it will be the staff --  
14 will have to decide which language to use for hard-  
15 wiring the boards, because now the diagnostic 35.190  
16 and .290 have (a) "is certified in nuclear medicine by  
17 American Board of Nuclear Medicine," et cetera, et  
18 cetera. So the AMP is written in a similar way.

19 Dr. Diamond has proposed an alternative  
20 way of seeding this which lists which boards are  
21 currently recognized. So there is an asymmetry in the  
22 language that at some point has to be straightened  
23 out. All of the sections should be written one way or  
24 the other.

25 CHAIRMAN CERQUEIRA: Okay, I would agree

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with that. I think the staff will do so.

2 It has also been pointed out to me, if we  
3 look at the last page in (d), in addition to meeting  
4 the requirements of paragraphs (a) or (b), it should  
5 also say, "or (c) of this section." I think that is  
6 sort of implied.

7 All right, I think for 35.690, I think  
8 there is general agreement on this.

9 DR. DIAMOND: Dr. Cerqueira,  
10 unfortunately, I have to get going. I have some  
11 patients waiting. I appreciate you allowing me to go  
12 ahead with this therapy section.

13 CHAIRMAN CERQUEIRA: David, the one  
14 section we didn't cover was 35.590.

15 DR. DIAMOND: Would that be diagnosis?

16 MS. MCBURNEY: I had that one. This is  
17 Ruth.

18 CHAIRMAN CERQUEIRA: Ruth has it, okay.  
19 Okay, thank you, David.

20 DR. DIAMOND: My pleasure. Thank you very  
21 much.

22 CHAIRMAN CERQUEIRA: All right. So we  
23 have covered the therapy. I guess we can then go back  
24 to 35.51, which is Training for Authorized Medical  
25 Physicists, and Dr. Williamson.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. WILLIAMSON: Okay, this one is written  
2 in a parallel fashion to the RSO and the authorized  
3 user for full-time emitting devices. It says, "(a) an  
4 authorized medical licensee shall require authorized  
5 medical physicists to be an individual who is (a)  
6 certified by one of the following specialty boards in  
7 radiation oncology physics," and it lists them all,  
8 "(b) is certified by a specialty board in radiation  
9 oncology physics whose certification has been  
10 recognized by the Commission and requires all  
11 diplomates" -- it runs through a graduate degree from  
12 an accredited institution to two years of full-time  
13 practical training in radiation oncology physics, and  
14 specifies that it actually has to be done in a  
15 clinical facility providing external beam therapy and  
16 some form of brachytherapy service.

17 "Obtains written certification," or I  
18 guess maybe now "statement," "of physicists who are  
19 certified by one of the recognized specialty boards as  
20 to candidates satisfactorily completing the training  
21 experience, and (4) passes an examination administered  
22 by a diplomate."

23 Then (4) leads to Part (c), which is the  
24 alternative pathway. This is very similar to what is  
25 in the current regulation. I have tried to soften it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a little bit because I am afraid there may be some  
2 people who want to use the alternative pathway, and so  
3 few institutions have cobalt-60 teletherapy and not  
4 that many have gamma stereotactic, that I tried to  
5 liberalize it a little bit, so that there would be  
6 more training facilities that would be eligible.

7 Then (d) is the modality-specific section.  
8 In addition to meeting the requirements of (a), (b),  
9 or (c) in this section, "an authorized medical  
10 physicist must have training in the modality for which  
11 authorization is sought." It lists the features  
12 there.

13 The intent is to basically have the  
14 mechanisms that are already used within the community  
15 for training new physicists for these modalities,  
16 would be able to comply with this sentence.

17 Okay, so that finishes my summary.

18 CHAIRMAN CERQUEIRA: All right, any  
19 comments or suggestions? There's been a lot of work  
20 on this.

21 MR. LIETO: Jeff, this is Ralph Lieto.

22 Just on part (c) there, where you have the  
23 services in a task listed in those sections, do you  
24 think that might be too prescriptive as opposed -- in  
25 other words, do you want to list the subject matter as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       opposed to the sections, or sections change in  
2       content, and so forth? And just a thought, do you  
3       think that would be a concern for future changes?

4               DR. WILLIAMSON: Yes, I thought about this  
5       some, and the way I think it is written now is these  
6       different sections, 35.643, and so forth, they make  
7       reference to spotchecks and full calibrations of  
8       stereotactic radiosurgery, high-dose-rate  
9       brachytherapy, and cobalt-60 teletherapy. The intent  
10      was to actually have experience with LINAC-based  
11      external beam to qualify an applicant for doing  
12      calibrations on a cobalt unit, since the basic  
13      methodology is identical.

14             The only modality I thought was reasonable  
15      to expect a facility to have is high-dose-rate  
16      brachytherapy, which is now pretty pervasively  
17      available in the community. It's certainly large  
18      market penetration compared to the other two devices.

19             But we certainly could take out 35.67 and  
20      put whatever it refers to, which is external beam full  
21      calibrations and periodic spotchecks.

22             MR. LIETO:       That would be my  
23      recommendation simply because down the pike it may be  
24      that people will, or it may be interpreted that they  
25      have to be the task on that specific device. Do you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 see what I'm saying?

2 DR. WILLIAMSON: Yes.

3 MR. LIETO: I don't think that was your  
4 intent.

5 DR. WILLIAMSON: That's correct. I am  
6 trying to get away from that.

7 MR. LIETO: I was thinking that maybe you  
8 might want to list, just like you specified full  
9 calibrations and periodic spotchecks, and the tasks  
10 that are involved as opposed to the section, because  
11 I think it is going to be interpreted that they have  
12 to have the experience that satisfies that section,  
13 which may be to the cobalt or whatever -- that's my  
14 concern.

15 DR. WILLIAMSON: Well, I think that is a  
16 reasonable change to make. I support that.

17 CHAIRMAN CERQUEIRA: Any other comments  
18 for Dr. Williamson?

19 MS. McBURNEY: This is Ruth. I agree with  
20 those changes, to list the tasks rather than specific  
21 to Part 35, and make it a little plainer.

22 DR. WILLIAMSON: Yes, just so it is clear  
23 to the staff and everyone, too, who is examining this,  
24 the concept underlying this is that calibration and  
25 quality assurance experience for LINACs is applicable

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to cobalt-60 teletherapy. All of the operational  
2 procedures that are used for LINAC-based stereotactic  
3 radiosurgery I think give one very good general  
4 qualifications for carrying out the same tasks for  
5 cobalt-60 -- no, for gamma knife stereotactic  
6 radiosurgery.

7 There is, in addition, Part (d) would  
8 essentially require alternative pathway candidates as  
9 well as board-certified candidates to have gone  
10 through some kind of a training experience for the  
11 specific device, which would redress any of the small  
12 deficiencies or differences between their training  
13 experience and what their current clinical duties will  
14 be. That's the assumption.

15 MS. SCHWARTZ: I agree with what you are  
16 saying, Jeff, also. This is Sally Schwartz.

17 CHAIRMAN CERQUEIRA: All right, I think  
18 there is pretty good consensus that this is well-  
19 written, Jeff.

20 Does anyone feel strongly that we should  
21 have further discussion on this or are people in  
22 general happy with the new language?

23 DR. VETTER: Vetter is happy.

24 DR. MALMUD: Malmud's content.

25 CHAIRMAN CERQUEIRA: Okay, good, then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 excellent job, Jeff. You've persevered with this.

2 The next section is 35.55, Training for an  
3 Authorized Nuclear Pharmacist. Sally, you were on the  
4 Subcommittee, but who was responsible for this?

5 MS. SCHWARTZ: I was, the Authorized  
6 Nuclear Pharmacist.

7 CHAIRMAN CERQUEIRA: Oh, you were? Okay.

8 MS. SCHWARTZ: Yes. Actually, I was  
9 contacted by Dr. Vetter --

10 CHAIRMAN CERQUEIRA: Good.

11 MS. SCHWARTZ: -- actually followed  
12 through with this section.

13 CHAIRMAN CERQUEIRA: Good, okay.

14 MS. SCHWARTZ: Essentially, there weren't  
15 changes majorly in the new Part 35, but there were  
16 comments that came up, I guess, in the workshop open  
17 session. What I was asked to do is essentially define  
18 an alternate pathway for another board, if there would  
19 become one. Currently, for the board of pharmacy,  
20 there is one national board, the American  
21 Pharmaceutical Association, which board certifies  
22 nuclear pharmacists.

23 So what I was asked to do is essentially  
24 define what those qualities were, so that if in the  
25 future another board would become available, that they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would have to meet the same requirements that are  
2 already defined by the Board of Pharmaceutical  
3 Specialties, which is what I did.

4 So, essentially, the (a) is that a  
5 pharmacist be board-certified by the Board of  
6 Pharmaceutical Specialties or (b) board-certified as  
7 a nuclear pharmacist by a specialty board whose  
8 certification process has been recognized by the  
9 Commission, and then requires all diplomates to  
10 essentially fulfill all the currently listed  
11 requirements for board certification.

12 Something that comment-wise has come up  
13 since I wrote this from Joel Hung, and I wanted to  
14 raise this, rather than being as prescriptive as  
15 listing all of these items, as I have done in (b), he  
16 did provide a thought that maybe just a general  
17 statement to the effect that says, "if certified as a  
18 nuclear pharmacist by a specialty board whose  
19 certification process includes all of the requirements  
20 in paragraph (b)," which define the requirements for  
21 licensure -- I guess it would be now (c) -- "of this  
22 section, whose certification program should be  
23 equivalent to that offered by the Board of  
24 Pharmaceutical Specialties in Nuclear Pharmacy,  
25 including the recertification process, or have been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 recognized by the Commission or an Agreement State."

2 So I wanted to at least state that to this  
3 group, and for myself either is acceptable, the  
4 listing of what is currently required or the less  
5 prescriptive statement that essentially any board, if  
6 it would become available, that it would have to  
7 comply.

8 MS. MCBURNEY: This is Ruth McBurney.

9 I would prefer the way you have it here  
10 with setting out the criteria for the Commission to  
11 follow --

12 MS. SCHWARTZ: Right.

13 MS. MCBURNEY: -- on approving any board.

14 I just had a quick question. Do the  
15 Canadians have board certification? Do you know?

16 MS. SCHWARTZ: I am not aware that they do  
17 or not, but there is an omission from this that  
18 actually has a reflection on what your question is in  
19 the Board Candidate's Guide for the current Board of  
20 Pharmaceutical Specialties.

21 In No. 1 they actually state that, "has  
22 graduated from a pharmacy program accredited by the  
23 American Council on Pharmaceutical Education or an  
24 alternative educational program accepted by EST." So  
25 there are other programs available outside the United

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 States that are acceptable pathways for licensure,  
2 board certification. So I would like that written  
3 into this No. 1.

4 DR. VETTER: This is Richard Vetter.

5 Sally, is there a way to make that more  
6 generic? Rather than an alternative program  
7 acceptable to the Board of Pharmaceutical  
8 Specialties --

9 MS. SCHWARTZ: Yes, okay, so we could not  
10 list that, but --

11 DR. VETTER: No.

12 MS. McBURNEY: Okay.

13 MR. HICKEY: Please speak up.

14 MS. McBURNEY: Oh, I was kind of mumbling  
15 to myself. All right, this is Ruth. I am trying to  
16 think of some alternate language.

17 DR. VETTER: This is in (b)(1)?

18 MS. McBURNEY: (b)(1).

19 DR. VETTER: And the intent of the  
20 language is just to recognize --

21 MS. SCHWARTZ: Alternative educational  
22 programs, and these are outside of the United States.

23 DR. VETTER: Okay.

24 MS. SCHWARTZ: Because there are those  
25 candidates that come in with acceptable educational

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 programs; they still, then, apply with that  
2 training --

3 DR. VETTER: To the Board?

4 MS. SCHWARTZ: Yes, correct.

5 DR. VETTER: Well, yes, somehow it seems  
6 -- so what is the criterion that the Board uses for  
7 eligibility?

8 MS. SCHWARTZ: Now what board?

9 DR. VETTER: Well, when the Board -- when  
10 applicants come before the Board --

11 MS. SCHWARTZ: From another country?

12 DR. VETTER: -- of Nuclear Pharmacy, Board  
13 of Pharmaceutical Specialties and Nuclear Pharmacy --

14 MS. SCHWARTZ: Correct.

15 DR. VETTER: -- and they have some  
16 applicant from a foreign pharmacy school, what is  
17 their criterion for accepting it?

18 MS. SCHWARTZ: All of the listed items,  
19 essentially. So that it could be an alternate  
20 educational program including all the listed  
21 requirements.

22 CHAIRMAN CERQUEIRA: Under (c).

23 MS. SCHWARTZ: Of (b) in this section.

24 DR. VETTER: Well, there aren't any, I  
25 don't see any requirements for the educational program

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 here, other than it is accredited by the American  
2 Council on Pharmaceutical Education.

3 MS. SCHWARTZ: Well, essentially, the  
4 2,000 hours academic, the 4,000 hours of  
5 training/experience in nuclear pharmacy practice, and  
6 essentially then the passing grade on a board  
7 certification exam, those types of requirements.

8 MS. MCBURNEY: This is Ruth again.

9 DR. VETTER: I'm confused now.

10 MS. MCBURNEY: I was wondering if we could  
11 use parallel language to some of these others, that  
12 board certification includes diplomates who graduated  
13 from -- for example, a medical physicist is from an  
14 institution accredited by a regional accrediting body.

15 MS. SCHWARTZ: Yes, that would be  
16 acceptable.

17 DR. WILLIAMSON: Yes, I think the  
18 qualification needs to be put into (b)(1). It is a  
19 qualification for the degree, and you have 2, 3, and  
20 4 as separate requirements. So I think the person  
21 obviously has to show evidence that he has the 4,000  
22 hours of training experience or additional education.

23 I understood your question, Sally, to be  
24 one of, how do you identify appropriate educational  
25 degree-granting programs are acceptable for No. 1, for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 No. (b)(1) only?

2 MS. SCHWARTZ: That is correct. That is  
3 correct.

4 DR. WILLIAMSON: So you have to find a  
5 statement for that that probably doesn't make  
6 reference to 2, 3, and 4 --

7 MS. SCHWARTZ: Correct.

8 DR. WILLIAMSON: -- which are other  
9 components.

10 MS. SCHWARTZ: Those are additional  
11 components required.

12 DR. WILLIAMSON: Yes.

13 MS. SCHWARTZ: Right. The alternative  
14 educational program accepted, rather than by the Board  
15 of Pharmaceutical Specialties, accepted --

16 DR. WILLIAMSON: Yes, so the question is,  
17 when the Board looks at candidates who comes from  
18 these different programs and looks just at the  
19 academic program component of their credentials, what  
20 is their criterion for accepting it as a good program  
21 versus the bad program?

22 MS. SCHWARTZ: Well, that's review, I'm  
23 assuming, of the educational requirement for the  
24 pharmaceutical program at the universities in the  
25 alternate country, similar academic, essential six-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 year training program, not that necessarily they list  
2 that six-year requirement, but it is a six-year  
3 degree-granting program in the United States.

4 So I am not certain how they have  
5 evaluated those criterion. I could get a hold of  
6 them.

7 DR. WILLIAMSON: Maybe it would be worth  
8 looking into it.

9 MS. SCHWARTZ: Yes. All right, I will do  
10 that.

11 DR. WILLIAMSON: Because I don't think we  
12 want to exclude a pool of qualified candidates from  
13 abroad --

14 MS. SCHWARTZ: Right.

15 DR. WILLIAMSON: -- if the whole industry  
16 depends on them; it would be a bad mistake.

17 MS. SCHWARTZ: What I could essentially do  
18 is get this information and then report back to -- who  
19 would be the appropriate individual in this group that  
20 I would report back to as far as finalizing this  
21 section?

22 MR. HICKEY: This is John Hickey.

23 First of all, I wanted to mention that Dr.  
24 Cerqueira was paged, so he had to step away from a  
25 moment, and he asked that we continue.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Dr. Vetter, I think that they should get  
2 back to you with the changes.

3 MS. SCHWARTZ: Okay.

4 MR. HICKEY: Does Dr. Vetter agree with  
5 that?

6 DR. VETTER: Dr. Vetter agrees with that.

7 MR. HICKEY: Okay.

8 MS. SCHWARTZ: All right. Dr. Vetter, I  
9 will get the information back to you then. I will not  
10 be back to St. Louis for a week. Is that acceptable?

11 DR. VETTER: That is acceptable to me. Is  
12 it acceptable to the NRC relative to their timeline?

13 MR. HICKEY: Well, we want to wrap this up  
14 as soon as we can, but you could go ahead and submit  
15 that. If there's still a piece that is missing, we  
16 could handle that later.

17 DR. VETTER: Okay.

18 MR. HICKEY: But I wouldn't want the whole  
19 thing to be held up because of that.

20 DR. VETTER: Right.

21 MS. SCHWARTZ: Right. I will still send  
22 it to you in a week.

23 DR. VETTER: Okay.

24 MS. SCHWARTZ: All right?

25 Additionally, for this section,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 essentially, this Part (c) is completion of the 700  
2 hours; (b) structured educational program, essentially  
3 defining the alternate pathway consisting of didactic  
4 training. It provides practical training.

5 And, No. 3, then, having obtained "written  
6 attestation signed by a board-certified nuclear  
7 pharmacist or a preceptor authorizing that an  
8 individual has completed the required training listed  
9 in (b)(2) of this section." So certifying just the  
10 training, not the educational material.

11 DR. MALMUD: Malmud. May I ask a  
12 question? How many authorized nuclear pharmacists are  
13 there in the United States?

14 MS. SCHWARTZ: About 490.

15 DR. MALMUD: Do you regard that number as  
16 being adequate to further certify other individuals?

17 MS. SCHWARTZ: This can also be -- it  
18 doesn't require that the training be authorized by an  
19 authorized nuclear pharmacist; they can be by an AMP  
20 or board-certified, yes, nuclear pharmacist.

21 DR. MALMUD: So there would be more than  
22 ample ways of individuals becoming --

23 MS. SCHWARTZ: Correct.

24 DR. MALMUD: Okay. Thank you.

25 DR. WILLIAMSON: This is Jeff. I have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 another question.

2 Where did the 700 hours come from, and  
3 what was the intent behind that? There seems to be a  
4 rather large disparity between the training and  
5 experience requirements of the Board versus its  
6 alternative pathway.

7 MS. SCHWARTZ: That was written prior. I  
8 did not change that. That was what was listed as the  
9 alternate training hours, and I was not involved in  
10 the writing of that section. I assumed that what my  
11 task was essentially was to define what a board, if  
12 there were to be another board defined in the United  
13 States, what those qualifications should be for  
14 essentially a new board.

15 But now the alternate pathway was defined.  
16 I did not define that.

17 DR. VETTER: This is Richard Vetter.

18 The scope of our charge did not include  
19 addressing the alternate pathway except for the issue  
20 of preceptor statement.

21 MS. SCHWARTZ: And in that case the  
22 preceptor statement is just that the preceptors sign  
23 or attest to the training, but not the didactic  
24 training.

25 CHAIRMAN CERQUEIRA: This is Manuel

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Cerqueira. I think that 700 hours is very similar to  
2 what we have in the therapies sections as well as in  
3 the diagnostic studies as well.

4 You know, we had some discussions when  
5 Dennis Swanson sat on the Committee. I think people  
6 felt comfortable with the hourly requirements in the  
7 didactic and the supervised training. I would be in  
8 favor of keeping that in.

9 MS. SCHWARTZ: I agree with that. It was  
10 Dennis Swanson who was involved in that portion of the  
11 regulation, and I am in favor of maintaining that as  
12 700 hours.

13 CHAIRMAN CERQUEIRA: Are there other  
14 comments?

15 MR. LIETO: This is Ralph Lieto.

16 Sally, I have a question on the Section  
17 (b) there. I am a little confused by the 1,500 credit  
18 hours. It talks about undergraduate and post-  
19 graduate.

20 MS. SCHWARTZ: Correct.

21 MR. LIETO: Are those supposed to be hours  
22 of -- I'm trying to think, God, these people are going  
23 to be in there forever.

24 MS. SCHWARTZ: Fifteen hundred hours, and  
25 it should probably not say "of credit," but just of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 hours.

2 MR. LIETO: Okay.

3 MS. SCHWARTZ: As it is written above, it  
4 is a maximum of 2,000 hours can be obtained  
5 academically by undergraduate courses. Up to a  
6 maximum of 1,500 hours credit can be obtained under  
7 certain undergraduate courses.

8 MR. LIETO: So then that is not supposed  
9 to be "credit hours," --

10 MS. SCHWARTZ: No.

11 MR. LIETO: -- but they go towards that  
12 2,000 total?

13 MS. SCHWARTZ: Correct. That is correct.  
14 So those words could be removed.

15 MR. LIETO: Okay. It is also in (c) and  
16 (d), too.

17 Now in (d) it says 220 hours of credit.  
18 Is that correct?

19 MS. SCHWARTZ: That's right, and the way  
20 that the current Board of Pharmaceutical Specialties  
21 -- actually, I semi-modified this (b). They actually  
22 have two programs. Dr. Vetter directed me to -- I had  
23 listed them previously. One is the University of New  
24 Mexico program, and the other is Purdue University.  
25 I think Purdue -- I'm sorry, Purdue and Oklahoma have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 two programs, and they allow one 210 and the other, I  
2 think it's 217, and we can just take it to 200, if you  
3 want, but I just kind of rounded it up to 220 hours.  
4 That has been defined by the Board for these  
5 individual programs. So I left it as a maximum of  
6 220.

7 MR. LIETO: Okay.

8 MS. SCHWARTZ: It seems like an odd  
9 number, but that is written in the Guide for the Board  
10 of Pharmaceutical Specialties. I can read you their  
11 actual language. I will get it.

12 CHAIRMAN CERQUEIRA: Other comments for  
13 Sally?

14 MS. SCHWARTZ: I can just reiterate the  
15 actual statement in there. They are listing it as  
16 "successful completion of the nuclear pharmacy  
17 certificate program offered by Purdue University,  
18 which is 217 hours, or the Ohio State University, 214  
19 hours. Credit for all other courses will be assessed  
20 on a case-by-case basis. So I just left it as a more  
21 generic 220 hours.

22 Should I add possibly that, of course, it  
23 would be accreditation on a case-by-case basis?

24 DR. EGGLI: Well, would you reject the  
25 board that refused to look at these other programs on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a case-by-case basis?

2 MS. SCHWARTZ: Well, I mean, it should be  
3 looked at on a case-by-case basis.

4 DR. EGGLI: Well, I'm not arguing what the  
5 current Board has decided to do, whether it is wise or  
6 not, but these are supposed to be criteria for --

7 MS. SCHWARTZ: Right, for new --

8 DR. EGGLI: -- for new programs. So it  
9 seems to me you wouldn't be giving up very much to  
10 simply delete that, if it is confusing or difficult to  
11 enforce.

12 MS. SCHWARTZ: Right.

13 DR. EGGLI: So what if a program comes  
14 along that has 4,000 hours but doesn't look at those  
15 ones? Does it really matter? It seems that it is  
16 such a small thing that --

17 MS. SCHWARTZ: That's true. That's true.

18 DR. EGGLI: You know, rather than exactly  
19 put down the precise board requirements, you really  
20 want to capture the essence --

21 MS. SCHWARTZ: Yes.

22 DR. EGGLI: -- of what makes your board  
23 the way it is.

24 MS. SCHWARTZ: I agree. For that purpose,  
25 (b) could actually be omitted, if that would make

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it --

2 DR. EGGLI: Less confusing.

3 MS. SCHWARTZ: -- less confusing.

4 CHAIRMAN CERQUEIRA: Yes, I think that  
5 would help.

6 MS. SCHWARTZ: All right.

7 CHAIRMAN CERQUEIRA: So it would eliminate  
8 1 actually through (d)?

9 MS. SCHWARTZ: Yes.

10 CHAIRMAN CERQUEIRA: Okay. Are there  
11 other comments? I guess we could probably send  
12 another draft of this portion on because I have to  
13 admit I didn't look at it that closely. I think some  
14 of the suggestions would sort of simplify it and give  
15 us the intended results without making it too  
16 restrictive.

17 Richard, any other changes?

18 DR. VETTER: This is Richard Vetter.

19 No, I think these suggestions are  
20 excellent. When Sally revises the section, including  
21 adding those words under (b)(1), I will make sure that  
22 the new section in its entirety gets referred to the  
23 Committee, the entire Committee, for an additional  
24 look.

25 CHAIRMAN CERQUEIRA: Okay, great. Shall

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we go on to 35.190, Training for Uptake Dilution and  
2 Exclusion Studies?

3 MS. MCBURNEY: This is Ruth McBurney. I  
4 had that one.

5 This is the first of the series of  
6 authorized user requirement. What I did on this was  
7 the hard-wiring and back in the boards that had been  
8 accepted by the Commission in the past, and for  
9 parallel structure changed what the preceptor signed  
10 as just attesting to the satisfactory completion of  
11 the training requirement, training experience of 60  
12 hours.

13 We also added in that, if that training is  
14 received in conjunction with a residency program, that  
15 written -- I guess we're changing it to "attestation,"  
16 or whatever -- could be signed by the residency  
17 program director.

18 So those are the basic changes that were  
19 made from the new Part 35.

20 CHAIRMAN CERQUEIRA: I think there was  
21 fairly good agreement at the Subcommittee meeting on  
22 these changes.

23 Any other comments?

24 DR. WILLIAMSON: This is Jeff Williamson.

25 I think in Section (b)(2), someone

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 commented on my section that instead of having written  
2 and oral exams, one should just have an examination,  
3 because some of the boards are talking about going to  
4 computer-administered exams and such, and that it  
5 seems unnecessarily detailed and prescriptive to  
6 specify both written and oral components.

7 DR. VETTER: This is Richard Vetter.

8 I think that the comment is an accurate  
9 reflection of a discussion that occurred during  
10 Committee. Somehow we have overlooked that. But I  
11 agree, we did intend to make that a little bit more  
12 generic.

13 MS. McBURNEY: So we would be taking out  
14 "written and oral" and it would just be "required  
15 successful completion with a passing grade of exam" --

16 DR. WILLIAMSON: Of an examination, yes.

17 MS. McBURNEY: -- "examination."

18 DR. VETTER: Yes, an examination.

19 MR. LIETO: Ralph Lieto. Are "successful  
20 completion" and "with a passing grade" redundant?

21 DR. VETTER: Yes, yes, take off  
22 "successful." That also was a comment that we had  
23 earlier.

24 MS. McBURNEY: Okay.

25 DR. WILLIAMSON: And then the next

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 question is on (b)(3). Some of the sections say,  
2 "board recognized by a Commission" and some say, this  
3 one says, yours, Ruth, says, "by the Commission or an  
4 Agreement State."

5 MS. McBURNEY: Right, I had just forgotten  
6 to take that out.

7 DR. WILLIAMSON: Okay, so "by the  
8 Commission" then --

9 MS. McBURNEY: By the Commission.

10 DR. WILLIAMSON: -- is what you intend?  
11 The idea was several people commented on my strawman  
12 T&E that they thought that the recognition process  
13 should somehow be centralized.

14 MS. McBURNEY: Right, at the Board.

15 DR. WILLIAMSON: Yes, the board  
16 recognition process.

17 MS. McBURNEY: But for (c), if they are  
18 already on an Agreement State license --

19 DR. WILLIAMSON: No, that's okay, I think.

20 MS. McBURNEY: .290 or .390, yes; then  
21 they can do the .190 stuff.

22 DR. WILLIAMSON: Yes, I think so.

23 MS. McBURNEY: All right.

24 DR. WILLIAMSON: It was only (b)(3) I was  
25 talking about.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. MCBURNEY: Yes, I had just failed to  
2 take that out, and the same way on .290 as well.

3 DR. WILLIAMSON: Exactly.

4 MS. MCBURNEY: Right. Corrections there,  
5 too. Okay.

6 Is that it for .190?

7 CHAIRMAN CERQUEIRA: Other comments for  
8 this?

9 DR. MALMUD: Not from Malmud.

10 CHAIRMAN CERQUEIRA: Okay, then let's go  
11 on to .290.

12 MS. MCBURNEY: Okay. For .290, this is  
13 for Energy and Localization Studies. We hard-wired in  
14 the boards that have been accepted, including the one  
15 that the Commission has recently accepted, and that is  
16 the Certification Board of Nuclear Cardiology.

17 Then, likewise, on (b) we will make the  
18 same changes in (2) about the examination, and in (3)  
19 correcting the "or an Agreement State."

20 We also did the same thing for parallel  
21 structure on the (d)(2) to obtain a written  
22 certification of whatever we are changing that to.  
23 The preceptor, that's just attesting to their  
24 training.

25 Or, if it was received in conjunction with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a residency program, then that written attestation can  
2 be signed by the residency program director attesting  
3 to the fact that they had successfully completed the  
4 requirements of (c)(1), the 700 hours of training.

5 CHAIRMAN CERQUEIRA: Again, the question  
6 of "certification" as opposed to some other word  
7 will --

8 MS. MCBURNEY: Right.

9 CHAIRMAN CERQUEIRA: -- be worked with.

10 MS. MCBURNEY: I'm sure NRC staff can come  
11 up with some word.

12 CHAIRMAN CERQUEIRA: A magic word.

13 Any other questions or discussions for  
14 Ruth on .290?

15 MR. LIETO: This is Ralph. I have two  
16 questions.

17 One, just clarification under (a) that has  
18 the certification --

19 MS. MCBURNEY: Uh-hum.

20 MR. LIETO: So does this mean that they  
21 are certified in nuclear cardiology by the new  
22 Certification Board of Nuclear Cardiology; they are  
23 authorized for all imaging modalities, imaging -- is  
24 that correct?

25 MS. MCBURNEY: They can be, but --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. LIETO: So if they want to do --

2 MS. MCBURNEY: -- we had a discussion of  
3 that. At one time I had pulled out "nuclear  
4 cardiology" as a separate specialty, but really as far  
5 as the radiation safety aspects of it, it is the same.

6 CHAIRMAN CERQUEIRA: We had some  
7 discussion, I think, during the meeting. We felt that  
8 a lot of this would be done at the facility with  
9 credentialing committees. We thought about putting  
10 language in there that would try to sort of make  
11 certain that cardiologists weren't doing brain scans,  
12 but I think the general discussion was that was sort  
13 of an issue of medical practice rather than a  
14 radiation safety issue.

15 DR. WILLIAMSON: This is Jeff Williamson.

16 The ACMUI had a very long discussion that  
17 ran about two years on this issue. The background was  
18 that at some point it was decided to distinguish  
19 between low-risk and high-risk modality.

20 In high-risk modalities the central  
21 feature is that purely safety, especially radiation  
22 safety, considerations could not be distinguished from  
23 clinical experience or clinical competence, whereas  
24 for low-risks they could.

25 So this was the result of a long

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 deliberation whereby it was decided that the nuclear  
2 medicine imaging application should emphasize safety  
3 and technical skills rather than clinical competence.  
4 So it seemed unwise to reargue this whole large  
5 philosophical issue since it was part of the initial  
6 SRM from which the new Part 35 regulation was derived.

7 MS. MCBURNEY: This is Ruth again.

8 Another aspect of that was that, as Dr.  
9 Cerqueira mentioned or somebody, that the credible  
10 practice for those individuals would probably limit  
11 what they could do. A cardiologist would limit,  
12 probably limit their practice to cardiology.

13 MR. LIETO: I just wanted to be sure that  
14 that was the intent.

15 My other comment had to do, under the  
16 Section (d) -- was that the alternative pathway with  
17 the 700 hours? Under "work experience," (b), and this  
18 occurs, I think, in other areas of  
19 training/experience, it is a word -- it says,  
20 "calibrating instruments used to determine activity."  
21 I had a real problem with this calibration.

22 If I could make the recommendation of  
23 using what Sally has under the Authorized Nuclear  
24 Pharmacist, where they say, "use and perform checks  
25 for proper operation," because they really don't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 calibrate it. I think that is saying that the dose is  
2 calibrating. They really don't calibrate dose  
3 calibrations.

4 MS. MCBURNEY: Right.

5 MR. LIETO: I imagine if you did, if you  
6 got a special setting or something like that, but I  
7 think the intent was really to have experience in  
8 using and performing the checks for proper operation,  
9 if I could just make that recommendation.

10 DR. EGGLI: This is Eggli.

11 I think that is correct, and you might use  
12 a term such as "quality control procedures" because  
13 the actual calibrations are done by the manufacturer.

14 CHAIRMAN CERQUEIRA: This is verbiage from  
15 the old regs., and I think we can certainly make those  
16 changes.

17 I just have one other comment, too, on  
18 Part (2), I guess it is (d)(2), where it says, "signed  
19 by the residency," again, a lot of the cardiology  
20 programs, they are fellows. So it should be  
21 "residency/fellowship program." It is a minor change,  
22 but it would sort of make it a little bit clearer for  
23 some of our constituencies.

24 MS. MCBURNEY: Okay.

25 CHAIRMAN CERQUEIRA: All right, other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 questions or issues in this part then?

2 MS. MCBURNEY: Question. This is Ruth  
3 again. Would that be true on the Uptake and Dilution  
4 as well, that that would be a fellowship, could be a  
5 fellowship?

6 CHAIRMAN CERQUEIRA: Well, I guess there  
7 is probably a generic training program.

8 MS. MCBURNEY: Okay.

9 CHAIRMAN CERQUEIRA: Yes, I don't think in  
10 that situation it would necessarily be a fellowship.

11 MS. MCBURNEY: I didn't think so.

12 CHAIRMAN CERQUEIRA: No.

13 DR. EGGLI: This is Eggli again.

14 For people like endocrinology fellows, it  
15 could be a fellowship.

16 MS. MCBURNEY: Yes.

17 CHAIRMAN CERQUEIRA: Yes.

18 DR. EGGLI: If you, again, would say,  
19 "training program director" rather than "residency  
20 program director," do you not cover both?

21 CHAIRMAN CERQUEIRA: You do. I guess we  
22 could do it that way as well.

23 MS. MCBURNEY: Okay.

24 CHAIRMAN CERQUEIRA: All right, so that  
25 should take us through pretty much all of these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sections. I guess the one that we didn't cover that  
2 Ruth said she was responsible for was 35.590, for Use  
3 of Sealed Sources for Diagnosis.

4 MS. McBURNEY: Yes, this was a really  
5 simple one. All I did was put back in the words that  
6 had previously been accepted. In this one, in the  
7 current rule there is no requirement for an  
8 attestation of that training, for the eight hours of  
9 classroom and laboratory training that are required.  
10 So I just left it at that without having  
11 "attestation." I didn't bring that up for discussion.

12 CHAIRMAN CERQUEIRA: John, did you have a  
13 comment?

14 MR. HICKEY: Yes. this is John Hickey.

15 I agree this is a simple section, but I  
16 would point out the last line about training on the  
17 use of the device, it raises the issue that really we  
18 focused on in .690 about the modality. So it seems to  
19 me that that should be separated out as a separate  
20 paragraph, so that the board certification process  
21 does not have to include training in the use of the  
22 devices, unless that is the case.

23 MS. McBURNEY: It doesn't

24 DR. WILLIAMSON: Yes. This is Jeff  
25 Williamson, and I support that change, too: Make a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Section (d) which says, "in addition to satisfying  
2 Parts (a), (b), or (c) above" --

3 MS. McBURNEY: What would the criteria for  
4 another specialty board then be?

5 DR. WILLIAMSON: Well, you see, the  
6 concern is that the American Board of Radiology, say  
7 therapeutic radiology, would not meet the criterion  
8 (b), which says, all diplomates have to have training  
9 in the use of this particular device.

10 MS. McBURNEY: Oh, I see.

11 DR. WILLIAMSON: So the suggestion is to  
12 create a Section (d) which is parallel to the device-  
13 specific or modality-specific training that we have  
14 had with some of the others.

15 MS. McBURNEY: Okay. So if I --

16 DR. WILLIAMSON: Just take No. (c)(5)  
17 away --

18 MS. McBURNEY: Right.

19 DR. WILLIAMSON: -- and make a Section (d)  
20 which says, in addition to complying with the  
21 requirements of (a), (b), and (c), an authorized user  
22 for such-and-such shall have training in the use of  
23 the specific device for the uses requested.

24 MS. McBURNEY: Okay.

25 CHAIRMAN CERQUEIRA: Ruth, are gadolinium

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sources covered under this?

2 MS. MCBURNEY: Are what?

3 CHAIRMAN CERQUEIRA: Gadolinium sources  
4 for attenuation correction, I mean, is that covered  
5 under this or --

6 MS. MCBURNEY: No. That is covered with  
7 the diagnostics.

8 CHAIRMAN CERQUEIRA: It is? Okay.

9 MS. MCBURNEY: But the gadolinium sources  
10 here are not used for diagnostics. These are like  
11 bone densities.

12 CHAIRMAN CERQUEIRA: Okay. All right

13 MS. MCBURNEY: Yes.

14 DR. WILLIAMSON: So these would probably  
15 be americium.

16 CHAIRMAN CERQUEIRA: Right, okay.

17 Do people agree in Jeff's suggested  
18 changes to sort of keep it in parallel with some of  
19 the other areas?

20 DR. VETTER: Vetter agrees.

21 MS. MCBURNEY: That makes sense.

22 CHAIRMAN CERQUEIRA: Yes, okay. All  
23 right, well, that takes us through this portion of the  
24 document. We were supposed to take a break at 2:45,  
25 but is the Committee in favor of continuing, pushing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on to get done?

2 DR. EGGLI: I favor pushing on.

3 CHAIRMAN CERQUEIRA: Okay. Because the  
4 remaining items aren't really -- we just have to  
5 review a couple of other areas.

6 So, John, do you have any other comments  
7 that you would like to make at this point? Because we  
8 seem to have gotten fairly good consensus on all of  
9 these. At this point should the Committee take a vote  
10 formally now or would it be better for the Committee  
11 to have some time to think about this and then make  
12 comments?

13 MR. HICKEY: This is John Hickey.

14 I mean, ideally, the earlier vote, the  
15 better, but it seems to me that, even if we take a  
16 vote now, that should be subject to review of the  
17 edited version that we would send out to the Committee  
18 to see if they wanted to add any comments or point out  
19 any errors that they notice.

20 CHAIRMAN CERQUEIRA: What are the wishes  
21 of the Committee on how to proceed on this? Approve  
22 it, pending review of the revisions?

23 DR. VETTER: This is Richard Vetter.

24 I think the suggestions for editing,  
25 improvement, et cetera, have been very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 straightforward. I would vote for voting for approval  
2 now, contingent on seeing the revision, so that we  
3 don't have to take a formal vote later.

4 DR. MALMUD: If that's the motion, I will  
5 second it.

6 CHAIRMAN CERQUEIRA: Okay, we have a  
7 motion and a second. Any discussion? Anyone have  
8 disagreements on doing that? Dr. Malmud?

9 DR. MALMUD: Malmud seconding it.

10 DR. BRINKER: This is Brinker.

11 Just as a sort of point of order, does  
12 that mean that there will be no second vote on the  
13 final product?

14 CHAIRMAN CERQUEIRA: Well, I guess people  
15 could give us written comments. But I guess if we  
16 approve it, then technically it has been approved.

17 DR. EGGLI: I think it means that if you  
18 see the draft or the revised draft and you don't like  
19 it, I think you can retract your vote.

20 DR. BRINKER: Well, I don't think that's  
21 good.

22 MR. LIETO: No. This is Ralph Lieto.

23 I tend to echo Dr. Brinker's concerns that  
24 voting on something before we have seen the final  
25 written version I think I have some great concern

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with. So I would oppose taking a formal vote on  
2 approving it without having a written document in  
3 front of me.

4 DR. NAG: This is Subir Nag.

5 I think what we can do, we can vote  
6 online. I mean we can say we approve online. That  
7 way we won't have to have a separate meeting.

8 CHAIRMAN CERQUEIRA: Right. I think,  
9 John, would that be acceptable for the --

10 MR. HICKEY: Yes, yes, and I would suggest  
11 that people could vote "approve with comments." We  
12 can append the comments to the report. If a Committee  
13 member feels they have a comment but they don't want  
14 to vote "disapprove," they could still vote approved  
15 and add their comment.

16 CHAIRMAN CERQUEIRA: So we do have a  
17 motion. Does the Committee -- it sounds like  
18 basically get the final text revised, sending it out  
19 to the Committee members, and then getting their vote,  
20 either a fax or an email vote on the final motion,  
21 giving people the opportunity to make specific  
22 comments, and if there's significant disagreement, I  
23 guess we could convene another conference call. Does  
24 that sound acceptable to the Committee?

25 (Multiple members respond "yes" at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 same time.)

2 CHAIRMAN CERQUEIRA: Okay, let's go ahead  
3 and do that then.

4 All right, so the other two items on the  
5 agenda, then, are basically the Agreement State  
6 Implementation of the 10 CFR Part 35 Training and  
7 Experience Requirements. I asked John to put this on  
8 the Committee agenda because I think we've got a new  
9 rule which has been published and goes into effect on  
10 October 24th, and then we have like a two-year period  
11 during which you can either apply by the old or the  
12 new Part 35, and the Agreement States have three years  
13 upon which to either become compliant with the NRC or  
14 make some statement as to whether they would like to  
15 have alternative rules.

16 So it is going to be quite -- it is going  
17 to be very chaotic out there. When the Commissioners  
18 approved this, the agreement Level, the Agreement  
19 State was Level C, John, is that --

20 MR. HICKEY: No, B. I'm going to ask Mr.  
21 Lloyd Bolling to join us at the table at a microphone,  
22 from our Office of State and Tribal Programs, and we  
23 can go through this.

24 CHAIRMAN CERQUEIRA: Okay.

25 MR. HICKEY: But the compatibility level

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is what is called B, which is essentially identical.

2 CHAIRMAN CERQUEIRA: Right.

3 MR. HICKEY: So the only issue is timing.  
4 It is not whether they are required to implement  
5 compatible rules.

6 Lloyd Bolling has now joined us.

7 MR. BOLLING: That is correct, John. The  
8 Agreement States have been given three years from the  
9 October '02 date. So that means that on October of  
10 2005 the Agreement States will have to have a  
11 compatible rule, all parts of the rule, including the  
12 T&E requirements. The two-year transition period  
13 within which the old and the new may be accepted is  
14 within the three-year compatibility period.

15 Now during the promulgation of Part 35,  
16 which will go into effect this year, the Agreement  
17 States, some organizations I believe petitioned the  
18 Commission to have the implementation be sooner than  
19 three years, but the Commission has clearly indicated  
20 that they want the Agreement States to have the full  
21 three years. So that's where we are at this point.

22 CHAIRMAN CERQUEIRA: That would be ideal.  
23 I just sort of recall that in the early nineties the  
24 Glenn Commission sort of looked at the NSC and the  
25 Agreement States, and one of their conclusions was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that there is no enforcement mechanism at the federal  
2 level if the states are not in compliance. So I am  
3 just not sure that if the states decide not to  
4 necessarily enforce things the way the federal regs.  
5 have been written, does the NRC have the ability to  
6 enforce it?

7 MR. BOLLING: I am not sure enforcement is  
8 the right word to use, but when it comes to  
9 compatibility, those regulations or program elements,  
10 and regulations are among the program elements, that  
11 are deemed to be high matters of compatibility are  
12 reviewed by us on a regular basis when the rules are  
13 being promulgated as well as just before one of our  
14 routine, periodic audits of the state programs. So  
15 that when we go out and audit a program, if we find  
16 that a certain portion of a rule has not been adopted  
17 or the whole rule itself has not been adopted, the  
18 state will not get an adequate review for that period.

19 As you know, the agreement is between the  
20 governor and the Chairman of the Commission. So if,  
21 in fact, some health and safety issue has not been  
22 addressed, we can go directly to the governor and  
23 discuss with the governor what we consider to be a  
24 lapse in the regulation. Usually, that is enough to  
25 get the regulation passed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CERQUEIRA: Okay, again, maybe I  
2 am just being too concerned about something that will  
3 work out, but, again, it can be very chaotic out there  
4 unless we get very good agreements. So I just kind of  
5 wanted to bring that up as an issue.

6 Ruth, do you think the Agreement States,  
7 which are clearly the majority of states now, will  
8 pretty much go along with the revised Part 35 and then  
9 the revision of the Training and Experience  
10 Requirements?

11 MS. MCBURNEY: Yes, I'm pretty sure that  
12 they will. For some states the process takes a little  
13 longer than it does with others. Some states have to  
14 take their rules to a legislative committee; others  
15 just to their rulemaking body, which for a health  
16 department could be a board of health or a commission,  
17 if it is an environmental agency. So the time that it  
18 takes to get those rules adopted is going to vary.

19 I know that the Nuclear Regulatory  
20 Commission is training this summer for implementing  
21 Part 35, and a lot of the Agreement State personnel  
22 are participating in that regional training. It is  
23 going to be put on at, I guess, the regional offices,  
24 is that right, Lloyd?

25 MR. BOLLING: That is correct, yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. MCBURNEY: And we have had it brought  
2 up at national meetings. So everybody is really aware  
3 of the rules and the changes. So it is just a matter  
4 of getting it done. It is going to vary from state to  
5 state for a while, but I think within that two-to-  
6 three-year timeframe you will see them getting them  
7 adopted.

8 CHAIRMAN CERQUEIRA: Well, good, that is  
9 reassuring.

10 Any other comments from the Committee?

11 (No response.)

12 Okay, the last thing on the agenda then is  
13 the Status of the New ACMUI Appointments and Future  
14 Vacancies. John, do you have an update on that?

15 MR. HICKEY: Yes, I am going to ask Angela  
16 Williamson to join us at a microphone just for a  
17 moment. I am going to ask Angela to correct me if I'm  
18 wrong.

19 In 2003 the only appointments are people  
20 that are eligible for reappointment. There are five  
21 of those: Dr. Diamond, Dr. Nag, Ms. Schwartz, Dr.  
22 Williamson, and Dr. Vetter. I am not sure, Angela,  
23 whether all of them have indicated an interest in  
24 reappointment or have we not heard back from some of  
25 the people yet?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. WILLIAMSON: This is Angela  
2 Williamson.

3 The people that I have a definite  
4 commitment to another term from are Dr. Diamond, Dr.  
5 Williamson, and Dr. Vetter.

6 MR. HICKEY: I should point out people are  
7 not obligated at this point to indicate whether they  
8 are willing to be reappointed, but they will need to  
9 indicate that in the future, so that we can arrange  
10 the followup by 2003.

11 CHAIRMAN CERQUEIRA: I think in 2004 I  
12 rotate off, and Ruth McBurney will be rotating off.

13 So I think one of the discussion that we  
14 had at the full Committee meeting was to try to do the  
15 appointments in a more timely fashion, so we avoid the  
16 vacancies. I think we should formally contact all the  
17 people that are up for reappointment in 2003 and see  
18 if they are interested in being reappointed. If they  
19 are not, then we should basically request new  
20 appointees for those positions. I guess sometime next  
21 year we should sort of do the same for the two people  
22 that will be rotating off the following year.

23 MR. HICKEY: Yes, we agree, and our  
24 Directors have indicated their agreement that we need  
25 to make sure these things are done with adequate lead

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 time, so that there is no standing vacancies.

2 CHAIRMAN CERQUEIRA: Good. Well, I think  
3 that pretty much concludes the formal agenda of the  
4 Committee. I did say that we would have the  
5 opportunity for the public, and there is actually only  
6 four people sitting out there in the public here at  
7 the NRC headquarters, to make comments.

8 So, Mr. Uffelman, Bill Uffelman, legal  
9 counsel for SNM, wishes to --

10 MR. UFFELMAN: Never letting a moment to  
11 comment on something pass me by, I am Bill Uffelman.  
12 I am the General Counsel and Director of Public  
13 Affairs for the Society of Nuclear Medicine. Just a  
14 couple of nitpicking comments, I suppose, but it is  
15 what I get paid for.

16 Section 35.55, under the Nuclear  
17 Pharmacist, the language at the new or what is now  
18 (c)(3) I think is inappropriate. The reference to  
19 (b)(2) of this section doesn't make any sense anymore.  
20 That went back to 35.55 as printed in The Federal  
21 Register.

22 I think what we are trying to say, or what  
23 you really want to say because of the rewrite that  
24 became (c)(1) and (2) is that (3) needs to say,  
25 "listed in (c)(1) and (2) of this section," But

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 having only spent a few minutes looking at it, I think  
2 that is correct.

3 MS. SCHWARTZ: I think that I understand  
4 the comment, and I think it should be (b)(3). I think  
5 the issue is the certification or the attestation,  
6 which is now in (b) -- or, excuse me, (c)(2). The  
7 Supervised Practical Training needs to be attested to  
8 by the board-certified nuclear pharmacist. But they  
9 are not certifying the didactic training. So it  
10 should be just --

11 MR. UFFELMAN: It should be "Charlie" 2,  
12 not "Bravo" 2.

13 MS. SCHWARTZ: Excuse me?

14 MR. UFFELMAN: It should be, at least what  
15 was handed out here locally, it should be then (c)(2),  
16 not (b)(2) because you changed your -- you're in  
17 "Charlie," not "Bravo." Okay. I will buy that. I  
18 have no problem with that.

19 MS. SCHWARTZ: That is correct.

20 MR. UFFELMAN: Okay. Then, I'm sorry, I'm  
21 standing up holding all this stuff, and I've got to  
22 find the right page before I dump everything.

23 The training in 35.390, and numerically I  
24 think it is 4(g)(1), (2), (3). It was the area where  
25 you were talking about the sodium iodide, I-131. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think that should be "of" rather than "or" in (g)(1)  
2 and (g)(2). Otherwise, grammatically, it makes no  
3 sense.

4 MS. SCHWARTZ: Yes.

5 MR. UFFELMAN: In the beginning, when you  
6 were talking about 35.390, I believe it was Dr. Malmud  
7 who asked a question about the -- or the question came  
8 up as to the three-year residency programs, and the  
9 comment was made, "Well, those are grandfathered or  
10 the existing ones are grandfathered."

11 But, in fact, this is the prospective  
12 section, so that in fact the ABR program, (b)  
13 residency in radiology, or something else with a two-  
14 year fellowship, would that, in fact, be covered in  
15 (a)(1)? The comment was made, "Well, this was just  
16 grandfathered."

17 I am looking forward prospectively. Are  
18 you, in fact, covering all the programs you intend to  
19 cover? I know you want to cover them, but did you, in  
20 fact, capture that in that language?

21 DR. WILLIAMSON: This is Jeff Williamson.

22 I believe that Dr. Uffelman is correct  
23 that we should change this to be a minimum three-year  
24 residency, including -- "that includes 700 hours of  
25 nuclear medicine training," something like that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MALMUD: But may I suggest that it be  
2 a "minimum of three years of residency" rather than a  
3 "three-year residency"?

4 DR. WILLIAMSON: Yes, and then indicate a  
5 duration of nuclear medicine training that fits with  
6 what was negotiated in previous years, it seems to me  
7 would be reasonable.

8 MR. HICKEY: This is John Hickey.

9 Could I clarify, are we looking at  
10 .390(a)(1)?

11 MR. UFFELMAN: Correct.

12 DR. MALMUD: Yes.

13 MR. UFFELMAN: I think what they want to  
14 capture -- ABR's staff representative is here, too.  
15 We try and huddle on some of this stuff. They want to  
16 capture that a person who has been in a radiology  
17 training program which encompasses nuclear medicine is  
18 qualified, as is a nuclear medicine physician, or  
19 somebody who has done a nuclear medicine residency.  
20 Am I correct that's what you are trying to capture?

21 DR. WILLIAMSON: That was my  
22 understanding. This is Jeff Williamson.

23 DR. VETTER: This is Richard Vetter. That  
24 was my understanding as well.

25 MR. UFFELMAN: So, yes, you do need to fix

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the language, whatever the fix is that you want to do.

2 Other than that, I think we've got  
3 everything. We were huddling back here when you were  
4 talking about -- and it was a carryforward of the  
5 language, but when you look at .590, it says, look at  
6 35.590 in (a) "is certified in radiology" under  
7 (a)(1), and then in (a)(2) it says "nuclear medicine  
8 by the American Board of Nuclear Medicine." There is  
9 no specific reference to nuclear medicine. You know,  
10 it is a presumption that nuclear medicine is  
11 encompassed in the radiology certification, is that  
12 correct?

13 In an ABR radiology certification, that  
14 encompasses nuclear medicine because there is a point  
15 back here in one of the other sections where you, in  
16 fact, break out and say, "in nuclear medicine by ABR."

17 MR. LIETO: You mean a special competency  
18 -- this is Ralph Lieto -- you mean a special  
19 competency in nuclear medicine?

20 MR. UFFELMAN: Right. Yes, I've got to  
21 find the language. I'd better have all the pages  
22 flagged here, like I should have.

23 DR. VETTER: This is Richard Vetter.

24 My understanding of radiology, it would be  
25 old radiology. It is not current diagnostic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 radiology. But the old radiology included therapeutic  
2 radiology.

3 MS. FAIROBENT: This is Lynne Fairobent  
4 with the American College of Radiology.

5 Ralph, the question, and I guess Dr.  
6 Eggli, the question is, does ABR have a separate  
7 certification in nuclear medicine from diagnostic  
8 radiology? What's been brought forward is the current  
9 language that's in the existing Subpart (j), but my  
10 question is, does ABR actually have a separate nuclear  
11 medicine certification in addition to the diagnostic  
12 radiology certification?

13 DR. VETTER: No, the diagnostic radiology  
14 was special competency, I think is what they have in  
15 ABR.

16 DR. EGGLI: This is Eggli.

17 It is actually these days called a  
18 Certificate of Added Qualification.

19 MS. FAIROBENT: Okay, and then I guess my  
20 question is, do we have to do anything to change to  
21 reflect the words that are being proposed in these  
22 sections? Because on unnumbered page, but it would be  
23 -- Section 35.390(c)(1) states that, "Boards currently  
24 recognized by the Commission to meet all the  
25 requirements of paragraph (a) of this section include

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the American Board of Nuclear Medicine and the Nuclear  
2 Medicine sections of the American Board of Radiology."

3 So that wording in that particular section  
4 on .390 is different than the wording in .190 and .290  
5 and .590.

6 MR. HICKEY: This is John Hickey.

7 What is the significance of that  
8 difference? What's the concern?

9 MS. FAIROBENT: My concern is that we  
10 don't drop out radiologists who are practicing nuclear  
11 medicine.

12 MR. HICKEY: Okay.

13 MS. FAIROBENT: Or it is being nuclear  
14 medicine physicians certified by the American Board of  
15 Nuclear Medicine.

16 MR. HICKEY: The understanding was that  
17 all of these existing board certifications were going  
18 to be re-reviewed and determined whether they met  
19 certain criteria before they were listed. So at that  
20 time a determination would be made whether they are  
21 titled correctly. Is that your concern?

22 MS. FAIROBENT: Well, that and, also,  
23 consistent language from one section to the other as  
24 you are referring to the board --

25 MR. HICKEY: Well, it was discussed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 earlier that the language needs to be consistent from  
2 section to section.

3 DR. WILLIAMSON: Well, Jeff Williamson,  
4 and I think this whole section needs to be rewritten  
5 fairly carefully. You know, it seems it would help,  
6 first of all, if we put the listing of the boards  
7 maybe at the beginning to get that straightened out  
8 and then came up with some wordsmithing that gets  
9 across the point, which was I think the emphasis, the  
10 Subcommittee's consensus was that there should be a  
11 three-year residency in something, some field. It  
12 just shouldn't be 700 hours of training alone because  
13 this is a high-risk modality.

14 The idea, I think, was the three-year  
15 residency in radiology, with the minimum 700 hours of  
16 practice in nuclear medicine or certification in  
17 radiation oncology, and I guess we would have to maybe  
18 break out what the other options would be to make sure  
19 we don't leave anyone out. Because the intent was to  
20 cover all of the other groups that were allowed to  
21 practice this indication, not excluding.

22 DR. MALMUD: My suggestion -- this is  
23 Malmud again -- my suggestion was that we use the term  
24 "three years of residency" so that we would not  
25 exclude either radiologists who took one year of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 training in nuclear beyond their radiology program or  
2 nuclear physicians who only had two years of nuclear  
3 medicine residency above their basic training in  
4 either radiology or medicine or some other field.

5 DR. WILLIAMSON: Yes.

6 DR. MALMUD: And that is why I thought the  
7 term "three years of residency," rather than a "three-  
8 year resident" would rather be prescriptive.

9 DR. WILLIAMSON: How would you capture the  
10 -- or how would you exclude somebody who has a three-  
11 year residency in dermatology or something and zero  
12 experience or zero significant experience with  
13 ionizing radiation medicine?

14 DR. MALMUD: Don't the requirements for  
15 the components of the training program remain, even  
16 though they have had as requirements of the three  
17 years of training? In other words, are we not  
18 requiring that there be some experience within those  
19 three years?

20 DR. NAG: The problem, I think, of the  
21 acceptability at three years of residency is that  
22 almost every physician has three years of residency.  
23 They may be in something closely associated to either  
24 radiology and nuclear medicine or radiation oncology.  
25 So unless you have those words either "radiotherapy or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 nuclear medicine," standards become essentially  
2 irrelevant.

3 DR. WILLIAMSON: All right. Dr. Nag would  
4 suggest maybe we put three years of residency in  
5 radiation oncology or three years of residency in  
6 radiology or a related field that includes at least  
7 blah, blah, blah hours of nuclear medicine, imaging  
8 experience.

9 DR. MALMUD: That sounds like an  
10 improvement.

11 CHAIRMAN CERQUEIRA: Well, I think Jeffrey  
12 should make these changes and then sort of get them  
13 out for comment, so we get full clarification on this,  
14 and I guess sort of get all the involved parties to  
15 make comment.

16 DR. WILLIAMSON: Okay, hearing that I am  
17 now assigned the task of rewriting of 35.390 --

18 (Laughter.)

19 MR. HICKEY: Well, this is John Hickey.  
20 Unfortunately, Dr. Diamond had to leave early, but I  
21 am sure he would be willing to assist when he's  
22 available.

23 On that point, Dr. Cerqueira, Dr. Vetter,  
24 I would ask, do you feel you, with the Subcommittee,  
25 are in a position to develop the revised draft?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. VETTER: This is Richard Vetter. Yes,  
2 I do.

3 MR. HICKEY: Okay.

4 CHAIRMAN CERQUEIRA: And we should come up  
5 with a timeline on this as well, because this is the  
6 8th, and we really -- Dick, realistically, how long do  
7 you think it is going to take your Subcommittee to  
8 turn this around?

9 DR. VETTER: This is Richard Vetter.  
10 Well, up until a few minutes ago, I thought we could  
11 do it in a couple of days.

12 (Laughter.)

13 But now with the potential rewrite of .390  
14 here --

15 CHAIRMAN CERQUEIRA: Well, what's the  
16 Committee's feeling? I mean, I think the issues that  
17 have been brought up are -- we don't have David on the  
18 line, unfortunately. Jeffrey, what do you think?

19 DR. WILLIAMSON: I can try to turn it  
20 around in a couple of days because later this week the  
21 AAPM Annual Meeting starts that I'm going to be  
22 unavailable for the next week.

23 CHAIRMAN CERQUEIRA: I think if we made it  
24 a week from today, the 15th, that would be ideal.

25 DR. VETTER: Sally, are you able to get

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 your -- this is Richard Vetter -- are you able to get  
2 your section to me a week from today?

3 MS. SCHWARTZ: Yes, I will do that.  
4 Actually, I am on vacation this week, but I have my  
5 computer with me, so I will contact people I need and  
6 I am sure I can have it to you in a week.

7 DR. VETTER: Okay.

8 CHAIRMAN CERQUEIRA: So we will aim for  
9 the 15th.

10 Ruth, do you think you could -- you don't  
11 have too many revisions on yours.

12 MS. McBURNEY: This will be pretty simple.  
13 I can do that in a couple of days then.

14 CHAIRMAN CERQUEIRA: So if we did it by  
15 the 15th, and then the staff has some verbiage to come  
16 up with for some of these things, and --

17 MS. McBURNEY: So we send them all to Rich  
18 again?

19 DR. VETTER: Yes.

20 MS. McBURNEY: Okay.

21 CHAIRMAN CERQUEIRA: Yes, and then he  
22 would send it around to the staff. When does the  
23 staff, if they get everything by the -- Dick, I think  
24 your job should basically just be to coordinate and  
25 then pass it on.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. VETTER: I agree, yes. This is  
2 Richard Vetter. If everyone could send me their  
3 revisions, I will make sure it all gets incorporated  
4 into a single draft, and I will forward that to NRC  
5 staff for distribution to the Committee.

6 DR. NAG: By the way, if when you are  
7 doing that you can do it on the edit mode, where you  
8 have exact changes on, it is a lot easier to see what  
9 was changed, rather than having to go through the  
10 entire document.

11 DR. VETTER: Okay. This is Richard  
12 Vetter. Would the Committee like to see it in edit  
13 mode?

14 MR. HICKEY: That means there would be  
15 redlines and strikeouts marked on it, correct?

16 DR. VETTER: That's correct, yes.

17 DR. NAG: And if you don't like it, you  
18 can always turn it off. As you go through the top,  
19 you can turn it off.

20 DR. VETTER: Right.

21 CHAIRMAN CERQUEIRA: But does the staff,  
22 if you get it on the 17th from Dr. Vetter, do you  
23 think you could get it out to the people by the 19th  
24 of July?

25 MR. HICKEY: Yes, we would intend to get

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it back out the same week. We would like to know from  
2 the Committee how long they would like to review it.  
3 Again, I suggested that if you want to approve with  
4 comments, that could be a type of vote, as opposed to  
5 just a straightforward approval or disapproval.  
6 Hopefully, there wouldn't be any disapprovals.

7 CHAIRMAN CERQUEIRA: So if we get  
8 everybody to send it over two weeks, or three weekends  
9 and two weeks in between, if we go for August the 5th,  
10 would that give everyone enough time?

11 MS. SCHWARTZ: Yes, it would.

12 DR. VETTER: Yes.

13 CHAIRMAN CERQUEIRA: Okay, then we could  
14 basically, once we have gotten that, we could take the  
15 comments and see the level of disagreement, and I  
16 guess we could make a decision at that point whether  
17 we should send it out for -- if there are substantive  
18 disagreements, then we could basically convene another  
19 conference call.

20 Does that sound like a reasonable timeline  
21 and game plan on this?

22 DR. VETTER: Yes, that sounds reasonable.

23 MS. SCHWARTZ: Yes, it does.

24 CHAIRMAN CERQUEIRA: Okay. I appreciate  
25 Sally's giving up part of her vacation to do this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Laughter.)

2 MS. SCHWARTZ: Thank you.

3 CHAIRMAN CERQUEIRA: Okay, I have no other  
4 -- any new business or any other items that people  
5 would like to discuss?

6 MS. SCHWARTZ: And we will be editing the  
7 June 27th, 2002 version in edit mode? Is that  
8 correct?

9 DR. VETTER: That is correct.

10 CHAIRMAN CERQUEIRA: Right.

11 DR. WILLIAMSON: Jeff Williamson here.

12 CHAIRMAN CERQUEIRA: I knew Jeff would  
13 have something.

14 DR. WILLIAMSON: Briefly, for John Hickey,  
15 what is the overall process that this document is  
16 going to undergo or this effort is going to undergo  
17 after the preparation and approval of this document by  
18 the ACMUI?

19 MR. HICKEY: This is John Hickey.

20 The Commission has asked the staff to  
21 provide options prior to the effective date of the  
22 rule, prior to October 24th, for their review. That  
23 would include the recommendations of the Committee as  
24 well as other options identified by the staff, which  
25 could include no change. It could include adopt the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ACMUI recommendations, and it could include other  
2 options.

3 So the recommendations of the Committee  
4 will be incorporated into that transmittal to the  
5 Commission prior to October 24th, but then the  
6 Commission will have to review that. It is too early  
7 now to try to predict how long it would take for the  
8 Commission to decide what they are going to do about  
9 this issue.

10 DR. WILLIAMSON: Is there any opportunity  
11 for the ACMUI to have some input or express its  
12 opinions about the other option?

13 MR. HICKEY: We haven't determined that  
14 yet, but we can talk more with the Committee and look  
15 into that.

16 DR. WILLIAMSON: I mean, it just would  
17 seem to me to be, given how difficult this issue has  
18 been, if the Committee could have some kind of a  
19 briefing or some opportunity to express its view about  
20 the overall white paper that you are going to present  
21 to the Commission, including, you know, the other  
22 option --

23 MR. HICKEY: We will look into that. I  
24 can't speak for the Commission as to what they want to  
25 do, but that is certainly a reasonable request.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. WILLIAMSON: This is before it gets to  
2 the Commission.

3 MR. HICKEY: Well, but the Commission has  
4 to agree on what the arrangements are.

5 DR. WILLIAMSON: Well, yes, I understand  
6 they have to make a decision and they will or will not  
7 consult us, depending on what they want to do, but it  
8 sounds like our document is going to be a subset of a  
9 larger document that your staff is going to prepare.

10 MR. HICKEY: That is correct.

11 DR. WILLIAMSON: So what I'm asking is, do  
12 we have any opportunity to express our opinion or  
13 views on the other components of the document that are  
14 contributed by your staff?

15 MR. HICKEY: I understand that. I say we  
16 have not specifically arranged for that, but we will  
17 look into that. But since it is a communication with  
18 the Commission, we also have to coordinate that with  
19 the Commission, both with respect to the timing and  
20 the substance, but we certainly will look into that.

21 CHAIRMAN CERQUEIRA: And, John, when you  
22 tentatively set up a meeting for the ACMUI Committee  
23 with the Commissioners on October 28th and 29th, which  
24 are a Monday and Tuesday, would we have a time then to  
25 discuss this with them?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           MR. HICKEY: Well, I don't think that that  
2           is a meeting with the Commission. I don't recall --  
3           I think that is the ACMUI meeting, but did we agree  
4           that that was going to be a meeting with the  
5           Commission? Because you met with the Commission  
6           earlier this year. But, again, we could ask if the  
7           Commission can meet with the Committee, not just make  
8           the written communications.

9           CHAIRMAN CERQUEIRA: Well, I think what  
10          Jeff and some of the other Committee members are  
11          suggesting is that it would be appropriate. We have  
12          spent a lot of time on this, and we certainly would  
13          like to get some feedback as well as have some  
14          interaction with these --

15          MR. HICKEY: But Dr. Williamson is also  
16          asking about having prior review and comment, even  
17          before this goes to the Commission, but both of those  
18          could be arranged, the prior interaction and also a  
19          face-to-face meeting with the Commission.

20          DR. WILLIAMSON: Well, in view of the  
21          importance of this to the regulated community, and the  
22          conduct of radiation medicine, I think it wouldn't be  
23          a bad idea to have -- the more views, I should think  
24          the better your report would be, that it would be  
25          ultimately to the Commission's advantage to have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 additional feedback on the other alternatives that the  
2 staff comes up with.

3 CHAIRMAN CERQUEIRA: So I guess it is the  
4 feeling of the Committee and the view from the other  
5 people is to basically try to get more feedback to the  
6 Commissioners as well as try to meet with them on this  
7 specific issue? Is that what people are saying?

8 DR. WILLIAMSON: I guess I would put it as  
9 a form of a motion, if you would like. So that is a  
10 motion, that we should have an opportunity to discuss  
11 the final report with the Commission and have an  
12 opportunity to give some feedback on the report  
13 prepared by the staff prior to submission to the  
14 Commission.

15 DR. MALMUD: I'll second that motion.

16 CHAIRMAN CERQUEIRA: Okay, any further  
17 discussion?

18 (No response.)

19 All those in favor of the proposal?

20 Any opposed?

21 I think it is pretty unanimous, John.  
22 It's easy for John to say; he's not going to be here.

23 Okay, well, I think that ends our  
24 business.

25 MS. SCHWARTZ: Could I ask one thing?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CERQUEIRA: Yes.

2 MS. SCHWARTZ: Could you paginate the  
3 document when you send it back?

4 DR. WILLIAMSON: I will do that.

5 MS. SCHWARTZ: Thank you.

6 DR. WILLIAMSON: Sure.

7 CHAIRMAN CERQUEIRA: I would like to thank  
8 the committee for excellent work, and our minimalist  
9 audience out here. Thank you.

10 (Whereupon, the foregoing matter went off  
11 the record at 3:36 p.m.)

12

13

14

15

16

17

18

19

20

21

22

23

24

25

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701