

# Official Transcript of Proceedings

## NUCLEAR REGULATORY COMMISSION

Title: Advisory Committee on the Medical Uses  
of Isotopes:

Docket Number: (not applicable)

Location: Rockville, Maryland

Date: Wednesday, April 26, 2006

Work Order No.: NRC-984

Pages 1-168

**NEAL R. GROSS AND CO., INC.**  
**Court Reporters and Transcribers**  
**1323 Rhode Island Avenue, N.W.**  
**Washington, D.C. 20005**  
**(202) 234-4433**

## 1 UNITED STATES OF AMERICA

## 2 NUCLEAR REGULATORY COMMISSION

3 + + + + +

## 4 ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

5 + + + + +

6 WEDNESDAY,

7 APRIL 26, 2006

8 + + + + +

9 The meeting was convened in Conference  
10 Room E in the Natcher Conference Center, Natcher  
11 Building (Building 45), National Institutes of Health,  
12 Bethesda, Maryland, at 8:00 a.m., Leon S. Malmud,  
13 M.D., Chairman, presiding.

14 MEMBERS PRESENT:

15	LEON S. MALMUD, M.D.	Chairman
16	EDGAR BAILEY	Member
17	DOUGLAS F. EGGLI, M.D.	Member
18	RALPH P. LIETO	Member
19	SUBIR NAG, M.D.	Member
20	SALLY WAGNER SCHWARZ	Member
21	ORHAN SULEIMAN, Ph.D.	Member
22	WILLIAM VAN DECKER, M.D.	Member
23	RICHARD J. VETTER, Ph.D.	Member
24	JEFFREY F. WILLIAMSON, Ph.D.	Member

25

SPEAKERS AND PARTICIPATING NRC STAFF:

DONNA-BETH HOWE, NRC

LYDIA CHANG, NRC

THOMAS H. ESSIG, Designated Federal Official,

NMSS/IMNS/MSIB

CYNTHIA M. FLANNERY, NMSS/IMNS/MSIB

ANGELA McINTOSH, NMSS/IMNS/MSIB

CHARLES MILLER, Ph.D., NMSS/IMNS

MOHAMMAD SABA, NRC

RONALD ZELAC, Ph.D., NRC

## I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
ACMUI Review of Medical Events Involving	
I-131 . . . . .	4
Status of Medical Events . . . . .	53
Potential Changes to 10 CFR 35 . . . . .	90
Adjourn	

P-R-O-C-E-E-D-I-N-G-S

(8:04 a.m.)

CHAIRMAN MALMUD: Okay. We'll start. The first item on the agenda is the ACMUI Review of Medical Events Involving I-131, and Dr. Eggli is making a presentation.

MEMBER EGGLI: Good morning. This is an ACMUI Subcommittee report. Subcommittee members were me, Ralph Lieto, Sally Schwarz, and Dick Vetter.

The charge from NRC staff was to review the I-131 administration incidents to determine if there were any patterns to the errors, and, secondly, to determine whether there was any way to further reduce the iodine administration errors. And since several of the incidents were initially intended to be less than 30 microcuries, which would not have required a written directive, but ended up being larger administrations, if there were any recommendations that could be made to prevent these sort of conversion errors, converting a low dose into a higher dose administration.

So what I thought we would do is essentially review the incidents one by one and look for the common threads. What I have done here is I have simply cut and pasted the description of the

1 incident out of the NMED database, so that I had  
2 reliably represented the information that was  
3 available.

4 In the first incident, it was -- a thyroid  
5 uptake dose of approximately one millicurie, .98  
6 millicuries, was administered instead of the  
7 prescribed dose of approximately 15 microcuries. The  
8 event occurred due to the prescription being made  
9 incorrectly, with no subsequent verification by the  
10 technologist.

11 So if you look at sort of what were the  
12 errors that occurred, first, the dosage was ordered  
13 incorrectly. And then, we're going to make some  
14 assumptions, because, again, the NMED database  
15 contains summary reports, and we're assuming that  
16 unless a specific error was mentioned in the database  
17 it probably didn't occur.

18 So that we're going to assume here that  
19 when the -- that the dosage was actually labeled  
20 properly with the activity that it actually contained.  
21 Then, if the dose had been verified in a dose  
22 calibrator, which is not required, but, nonetheless,  
23 if a dose had been verified in a dose calibrator, they  
24 would have been able to confirm that the dose was  
25 outside of the written range.

1                   The other thing is that if the  
2                   technologist even looked at the label on the capsule,  
3                   they would have noted that the amount of iodine  
4                   contained within the capsule was greater than  
5                   30 microcuries and required a written directive. So  
6                   there are two issues. One is the initial order didn't  
7                   require a written directive, but the amount of iodine  
8                   that was actually administered would have required a  
9                   written directive, and the tech did not look for a  
10                  written directive.

11                 So this is effectively an error in  
12                 following procedure, that if a technologist had  
13                 verified the written directive, which would have been  
14                 required for the dose they were administering, they  
15                 would have -- or had attempted to verify that, they  
16                 would have discovered that, in fact, the dosage was in  
17                 error.

18                 The lack of a written directive for a  
19                 roughly one millicurie dose of I-131 should have been  
20                 a big red flag to the technologist, and apparently it  
21                 wasn't. So this is an error in confirming that  
22                 presence of a written directive on a dose that the  
23                 technologist knew was in the range requiring a written  
24                 directive at the time the dose was administered. This  
25                 is going to be a recurring theme throughout this

1 process.

2 The second event was the patient was  
3 administered approximately 500 microcuries of  
4 radioactive iodine instead of a prescribed five  
5 microcurie uptake dose. This was a verbal order from  
6 an authorized user for the five microcurie dose that  
7 was misunderstood, and 500 microcuries were ordered.

8 Again, we are doing the same -- we have  
9 the same category of error: a dosage of 500  
10 microcuries was ordered incorrectly based on a  
11 misunderstood verbal order for five microcuries. The  
12 technologist should not have accepted a verbal order  
13 for a dosage in the range requiring a written  
14 directive. Again, although the doctor intended to  
15 order a dose that did not require a written directive,  
16 the dose that the technologist understood and ordered  
17 did require a written directive, and the technologist  
18 did not pursue either looking at or verifying that a  
19 written directive for that order existed.

20 Again, likewise, the administering  
21 technologist went ahead and administered the dose  
22 without verifying the written directive. So we have  
23 two people who administered dose -- one who ordered  
24 and one who administered a dose which would have  
25 required a written directive.



1           The assumption is that the technologist  
2 knew how much activity was being administered to the  
3 patient, and, therefore, should have known that that  
4 amount of activity would have required a written  
5 directive. Once again, we have a failure of a  
6 technologist to follow procedure, which is to verify  
7 the presence of a written directive.

8           Event 3, wrong patient was administered  
9 two millicuries of I-131 for a thyroid cancer workup  
10 instead of 200 microcuries of I-131 -- of I-123 for an  
11 uptake and scan. The patient apparently responded to  
12 the name that the technologist called in the waiting  
13 room, and the technologist didn't use any further  
14 procedure to verify the identity of the patient.

15           Basically, this was an error in  
16 identification. I've seen this actually happen  
17 before, it turned out, with a tagged red cell  
18 administration many, many years ago while I was in  
19 training. A patient was here for -- was here for --  
20 was in our clinic for bloodwork, and there was another  
21 patient who had the last name of Blood who was having  
22 a tagged red cell study. And the technologist went in  
23 and called the name for blood, and the patient who was  
24 here to have their blood drawn got up and got injected  
25 with the blood of the patient whose last name was

1 Blood.

2 So if you don't verify the name of the  
3 patient, you can have all sorts of interesting errors  
4 occurring.

5 Basically, JCAHO requires that you have  
6 two ways of independent -- of identifying the patient.  
7 What the technologist should have done in this case,  
8 and what our technologists routinely do, is when they  
9 bring the patient into the dose room, in spite of the  
10 fact that they went out into the waiting room, called  
11 the patient's name and the patient followed them into  
12 the dose room and sat down, the technologists are  
13 required to ask the patient to state their full name  
14 and their date of birth, which the technologists then  
15 verify. This procedure would have prevented this  
16 administration error.

17 Likewise, in therapeutic administrations,  
18 using two people to identify the patient, much the  
19 same as is required for blood administration, where  
20 two people have to identify that it is the same  
21 patient. For a therapeutic administration, we are  
22 going to -- we recommend that the committee consider  
23 endorsing a requirement of two people identifying --  
24 positively identifying the patient for a therapeutic  
25 administration.

1 Jeff?

2 MEMBER WILLIAMSON: Isn't there already a  
3 requirement in Part 35 for redundant identification?

4 MEMBER EGGLI: I believe the requirement  
5 is a JCAHO requirement, and the requirement for -- the  
6 JCAHO requirement says you have to use two means of  
7 identifying the patient. And what we are using is  
8 asking the patient to state their full name and to  
9 tell us their date of birth, which we have on the  
10 paperwork. But I'm not aware of a specific  
11 requirement in Part 35.

12 MEMBER NAG: But at least for -- at least  
13 for 400 and 600 use, require two methods of  
14 identification.

15 MEMBER EGGLI: I think that for -- I don't  
16 think that -- Donna-Beth, do you know, in 200, is  
17 there a -- because these are all 200, most of these  
18 are 200 errors. Is there a requirement in Part 35 for  
19 200?

20 DR. HOWE: When we had the quality  
21 management rule, which we don't use that name anymore,  
22 when we revised it in 2002, the committee believed  
23 that requiring two methods of identification was  
24 overly prescriptive. And so the requirement now is  
25 that you have procedures in place to identify the

1 patient, but it does not specify how you identify the  
2 patient.

3 MEMBER EGGLI: Okay.

4 DR. HOWE: So it's a performance-based  
5 rule now.

6 MEMBER EGGLI: Essentially, we're governed  
7 by many regulators in clinical nuclear medicine, one  
8 of which is JCAHO, which requires two methods of  
9 positive patient identification. Again, what we are  
10 using in our practice is name and date of birth. And  
11 the subcommittee may be suggesting a more rigorous  
12 approach for known therapeutic doses.

13 Okay. Event 4, the patient received  
14 roughly a millicurie of I-131 for a thyroid uptake  
15 study instead of the intended dose of 10 microcuries.  
16 The root cause of the event was a lack of adequate  
17 doublechecking of the I-131 uptake prior to dose  
18 administration. This is a unique case where a pipette  
19 -- where an institution actually pipetted liquid  
20 doses, and they reused a pipette that had been used  
21 for a high dose therapy for a low dose uptake, and the  
22 residual activity in the pipette brought the activity  
23 up over the top.

24 This is I think a unique error, not likely  
25 to be repeated. The site has corrected that error by

1 not allowing any pipette to be reused. The pipette  
2 had to be disposed of after each use, which should  
3 solve that problem. But, again, looking at the dose  
4 in a dose calibrator also would have solved that  
5 problem as well.

6 So this is a unique incident. No real  
7 theme associated with the rest of our incidents.

8 Incident 5, a 19-year old patient was  
9 diagnosed with Grave's Disease and was administered a  
10 12.5 millicurie dose instead of the prescribed dose of  
11 12 microcuries. However, the clear intent of the  
12 procedure was to ablate the thyroid gland. And,  
13 actually, the physician who wrote the prescription  
14 wrote "microcuries" instead of the intended  
15 "millicuries." So the patient actually got the  
16 intended dose, but it wasn't the dose that was  
17 actually ordered.

18 So the right thing happened essentially  
19 for the wrong reason here. So in this case, again, a  
20 physician ordered a dose a thousand-fold smaller than  
21 the intended dose. The tech actually gave the  
22 intended dose. However, again, right outcome, wrong  
23 reason. If the tech had compared the dosage ordered  
24 with the dose administered, again, the ordering error  
25 would have been detected.

1                   And the technologist again administered a  
2 dosage greater than 30 microcuries, and should have  
3 reviewed a written directive before administering the  
4 dose but did not. And the tech would have detected on  
5 the written directive that the order was in error, and  
6 could have asked the physician to correct the order  
7 before administering the radioactive iodine to the  
8 patient.

9                   Event 6, patient received 2.8 millicuries  
10 of I-131 instead of a prescribed two millicurie dose.  
11 This is a vendor problem. This is a problem we see  
12 every day where the vendors send us capsules that are  
13 out of range for the dose that we ordered. Again, we  
14 measure all of these in our -- in my site, we measure  
15 all of these capsules in a dose calibrator and  
16 determine that the dose is within our prescribed and  
17 our policy error range allowed.

18                  But, again, the assumption is that the  
19 capsule was properly labeled. And the technologist,  
20 again, did not verify the activity listed on the  
21 capsule label with a written directive. And the --  
22 nor did the technologist review the order with the  
23 authorized user who ordered it.

24                  So, again, what we have is a failure to  
25 follow a simple, straightforward process of verifying

1 a dose that would require a written directive when you  
2 know the dose is above the 30 microcurie range.

3 In Event 7, a licensee reported that the  
4 patient received three millicuries of I-131 for the  
5 assessment of metastatic thyroid disease instead of  
6 the prescribed dose of 25 microcuries. This  
7 prescribed dose was prescribed by a requesting  
8 physician, and it appears to be practice in some  
9 locations that requesting physicians can specify the  
10 dose, and the Nuclear Medicine Department simply  
11 delivers the dose requested. And an authorized user  
12 did not -- did not approve that particular order.

13 So the bottom line is a dosage of 25  
14 microcuries for the evaluation of metastatic thyroid  
15 cancer is both inappropriate and ineffective. I don't  
16 think that any iodine should ever be ordered at the  
17 direction of a referring physician, who is not an  
18 authorized user without the knowledge and approval of  
19 a responsible authorized user.

20 The dosage actually administered would  
21 have required a written directive, which, again, was  
22 not reviewed by the administering technologist.  
23 Again, the amount ordered is inconsistent with good,  
24 clinical practice, and, in fact, a three millicurie  
25 dose is an appropriate dose -- dosage for evaluating

1 thyroid cancer metastasis, assuming that a patient has  
2 been properly prepared for the study.

3 So I think we have a couple errors here.  
4 One error is ordering an iodine dosage at the request  
5 of a physician who is not an authorized user. The  
6 second, again, is not reviewing a written directive,  
7 which apparently didn't exist in this case, not  
8 reviewing a written directive when administering a  
9 dose that the technologist was aware was greater than  
10 30 microcuries.

11 Event 8, the licensee reported that the  
12 patient received about 100 millicuries of I-131  
13 instead of a prescribed dose of 17 millicuries.  
14 Multiple patients were scheduled on the same day, and  
15 there was an error in administration. I mean, it's  
16 not uncommon for a busy patient -- a busy clinic to  
17 treat multiple patients with radioactive iodine in the  
18 same day, and to have multiple doses of radioactive  
19 iodine on hand.

20 Our supplier gets very grumpy when we  
21 bring the doses in one at a time when we have three in  
22 a row, and we bring all the doses in at the same time.  
23 And this is simply an issue of both accurate patient  
24 identification and review of the written directive for  
25 the appropriate patient resulted in this error.



1                   So now we've got two themes going, one of  
2                   them a minor theme and one of them a major theme. The  
3                   major theme, again, is failure to review a written  
4                   directive for a dosage that would require a written  
5                   directive, regardless of how the case started out.  
6                   But if the technologist sees that a dosage is greater  
7                   than 30 microcuries, they certainly should be  
8                   reviewing a written directive before administering  
9                   that dosage. And then, again, we have our second  
10                  error in patient identification.

11                 Okay. In this case, in Event 9, the  
12                 licensee reported that a patient was administered four  
13                 millicuries of I-131 without a written directive.  
14                 Again, several patients were scheduled to receive a  
15                 dose of four millicuries, but one of the patients did  
16                 not have a written directive, so the technologist  
17                 simply went ahead and administered this dose to a  
18                 patient. It was subsequently determined that the  
19                 patient was supposed to have a 150 millicurie  
20                 therapeutic dose instead of a four millicurie dose for  
21                 a whole body scan.

22                 I believe that the dose was subsequently  
23                 boosted up to the 150 millicurie range, and the  
24                 patient subsequently received the correct total  
25                 treatment. But, again, what we have is a failure to

1 review a written directive. And in this case,  
2 actually, the technologist knew there was no written  
3 directive at the time of administration of the  
4 radioactive dosage, so this was essentially a clear  
5 and willful violation of the regulation.

6 But, again, an unambiguous policy of  
7 requiring the technologist to have the written  
8 directive in hand prior to administration of any dose  
9 greater than 30 microcuries would have presented this.  
10 And this is an issue that, as you deal with basically  
11 a well understood and routinely enforced policy, is  
12 likely to be followed by the technologist than a sort  
13 of haphazardly enforced administration policy.

14 So if you're really serious about this,  
15 and you require that this be done every time, the  
16 technologists are more likely to do it, because it's  
17 hard to expect a technologist to make a judgment when  
18 they have to do what they're supposed to do and when  
19 they don't have to do what they're supposed to do,  
20 when there is not a clear-cut culture of always doing  
21 it the right way.

22 Event 10, patient was scheduled to receive  
23 two millicuries of I-131, and was instead administered  
24 a dose of 15 millicuries. The patient's thyroid was  
25 surgically removed previously due to cancer, and the

1 patient had also previously received an ablative dose.  
2 The patient was scheduled to receive a two millicurie  
3 dose as a diagnostic procedure, as a followup to  
4 verify the effectiveness of the previous therapy.

5 Unfortunately, there was not enough detail  
6 in the NMED database to determine whether or not a  
7 written directive existed for the dose. But if we  
8 assume that a written directive existed, and that the  
9 dosage was properly labeled with the correct activity,  
10 then the technologist must not have reviewed the  
11 written directive prior to administering the dosage.  
12 And, again, so we have a failure to match a dose  
13 administered greater than 30 microcuries with a  
14 written directive for that dosage.

15 And again, as we go back and look over the  
16 events, it doesn't matter what dose was ordered. If  
17 the technologist knows at the time of administration  
18 that the dosage they are administering is greater than  
19 30 microcuries, based on a label, based on putting it  
20 in a dose calibrator, based on whatever reason the  
21 tech knows that the dose is greater than 30  
22 microcuries, then they have an obligation to verify  
23 the written directive.

24 The final event that was in the date range  
25 that we reviewed was a patient received 5.6

1 millicuries of I-131 instead of the prescribed two  
2 millicuries for a -- again, for a followup scan on a  
3 thyroid cancer patient. The prescribing physician  
4 discovered the error subsequent to the administration  
5 when the patient underwent a scan. The patient had a  
6 useful scan, nonetheless.

7 The amount of iodine used for neck and  
8 chest scanning varies widely around the country, from  
9 as low as one millicurie to as high as 10 millicuries.  
10 And depending on how much you're worried about  
11 studying the patient, and how much thyroid bed you  
12 think the patient is likely to have, there is a wide  
13 range of iodine used.

14 So, again, this did not result in harm to  
15 the patient, but it was not a dose -- dosage to the  
16 patient that was in compliance with the directive.

17 Again, we don't know whether a written  
18 directive exists, but if a written directive existed  
19 the technologist did not verify the dosage against  
20 that written directive. And the technologist clearly  
21 knew how much activity they were administering. What  
22 they didn't do was verify the order for that dosage.

23 So overall, we reviewed 11 iodine  
24 incidents in the NMED database for the period which  
25 was considered, which was about a year and a half.

1       There are actually tens of thousands of both  
2       diagnostic and therapeutic administrations in the  
3       United States every year. So assuming that we're  
4       capturing the vast majority of these incidents, the --  
5       which Orhan shakes his head and said we're not  
6       capturing the vast majority of the incidents.

7               But probably, nonetheless, the numerator  
8       is fairly small compared to the denominator. I think  
9       that's probably safe to say. Of the 11 incidents  
10      reported, four involved intended therapeutic dosages,  
11      two involved dosages that were intended to be greater  
12      than 30 microcuries, and five involved dosages that  
13      were intended to be less than 30 microcuries and would  
14      not have required a written directive.

15             Actually, I have an error here. Two cases  
16      involved incorrect patient identification. And the  
17      subcommittee essentially recommends that two positive  
18      methods of patient identification be adopted as good  
19      practice. Again, whether the regulation is  
20      prescriptive or not in that case, at least hospital  
21      facilities have to deal with JCAHO, which is  
22      prescriptive about identifying -- positively  
23      identifying patients.

24             In nine of the 11 cases, the dosage  
25      administered was in the range that would have required

1 a written directive with the technologist knowing the  
2 dosage they were administering. And it's hard to  
3 believe that the tech didn't actually know what dosage  
4 they were administering, since there is no evidence  
5 that any of these dosages were incorrectly labeled or  
6 incorrectly calibrated, although in one case the  
7 technologist didn't believe the dose calibrator and  
8 had a decimal placement error.

9 Five of the six administrations, again,  
10 were intended to be under 30 microcuries. There is no  
11 reason to believe that the administering technologist  
12 was unaware that the dosages were in the range  
13 requiring a written directive. The subcommittee's  
14 recommendation is that the written directive must be  
15 reviewed with the authorized user by the administering  
16 technologist whenever the dosage is greater than 30  
17 microcuries, and that would have prevented all of  
18 these errors.

19 The only two errors that would not have  
20 been prevented by enforcing a policy of at least  
21 validating that a written directive exists, and  
22 comparing the written directive against the dose being  
23 administered, only the two cases of incorrect patient  
24 identification would have been missed by this  
25 procedure. So it would have taken an N of 11 and

1 reduced that N to two by simply making sure that the  
2 technologists follow a standard procedure.

3 In two of the cases, in spite of erroneous  
4 orders, the patient received the medically appropriate  
5 dose. However, doing the right thing for the wrong  
6 reason doesn't necessarily keep you out of trouble.  
7 In both cases, the iodine dosages were in the range  
8 that would have required a written directive, and,  
9 again, the technologist did not pursue the written  
10 directive.

11 The absence of a written directive in an  
12 iodine dosage greater than 30 microcuries should be a  
13 big red flag to the individual administering the dose.

14 And so the -- as a final conclusion, the  
15 subcommittee on I-131 administration incidents  
16 reaffirms the recommendations from April 2005, which  
17 are probably impossible to read because I stuffed them  
18 all into one slide.

19 But the bottom line is sort of it's  
20 impossible to entirely eliminate all human errors from  
21 any process. However, verification procedures similar  
22 to blood administration could be considered for  
23 therapeutic administration, since the risk there is  
24 greater than the burden to make sure that it's done  
25 correctly can be greater.

1 Verbal orders should not be permitted at  
2 any step in a therapeutic process or for dosages  
3 greater than 30 microcuries. Verbal orders should not  
4 be permitted. They should -- a written directive is  
5 required, and the individual should validate that  
6 written directive.

7 One of the, again, recurring common themes  
8 here were communication errors or communication  
9 breakdowns. And those links between the authorized  
10 user and the administer -- and the individual  
11 administering the dosage should be strengthened. One  
12 of the ways to do that is to have -- require the  
13 technologist to review the written directive. Another  
14 is to require the technologist to review the written  
15 directive with the authorized user prior to  
16 administration.

17 The second one imposes a greater burden.  
18 If the first was done routinely, again, all but two of  
19 these errors would have been prevented.

20 Reverifying therapeutic dosages in a dose  
21 calibrator onsite prior to administration might have  
22 prevented a couple of the therapeutic administration  
23 errors. And, again, more detailed documentation in  
24 the NMED database might help in data analysis, but a  
25 lot of these come from agreement states and the NRC



1 doesn't control what actually ends up in the NMED  
2 database. But more data in the database, or  
3 encouraging a more complete description of the event,  
4 might assist in the evaluation of root causes of these  
5 events.

6 But let me go back. I'm a firm believer  
7 in the Will Rogers School of Public Speaking, which  
8 says you tell people what you're going to tell them,  
9 you tell them what you're telling them, and then you  
10 tell them what you told them. So what I'm going to do  
11 one more time is that a big root cause here is failure  
12 to require the technologist to verify the dosage  
13 against a written directive when the technologist  
14 knows that the dose is in the range that would require  
15 a written directive.

16 And, secondly, positive patient  
17 identification is a standard practice required by  
18 JCAHO in hospitals. It should be extended to  
19 outpatient clinics as well.

20 And, thirdly, communications links between  
21 authorized users and individuals administering  
22 radioactive materials need to be strengthened. And  
23 that's -- again, this is a subcommittee report to the  
24 entire ACMUI, so the rest of the committee needs to  
25 decide what to do with this.

1 CHAIRMAN MALMUD: Thank you, Dr. Eggli,  
2 for a very thorough and informative review.

3 It appears that Dr. Williamson has a  
4 comment or question.

5 MEMBER WILLIAMSON: Yes. I guess the two  
6 cases of patient identification were those in the  
7 requiring or not requiring written directive category?

8 MEMBER EGGLI: Effectively, the doses --  
9 dosages administered were both in the therapeutic  
10 range and required a -- would have required a written  
11 directive.

12 MEMBER WILLIAMSON: Now, in looking at  
13 35.41, it appears that procedures need to be in place  
14 -- it's not specified exactly what -- for identifying  
15 the patient or human subject. In the case where a  
16 written directive is required, but there are no --  
17 there is no such requirement for the standard 35.200  
18 cases where a written directive is not required.

19 So is it the recommendation of the  
20 subcommittee that the rule be changed, and this  
21 requirement --

22 MEMBER EGGLI: No.

23 MEMBER WILLIAMSON: -- imposed on 35.200?

24 MEMBER EGGLI: No. Because the dosages  
25 administered greater than 30 microcuries require a

1 written directive. And so it doesn't really matter  
2 whether the dose ordered was under 30 microcuries, the  
3 technologists knew they were administering a dose  
4 greater than 30 microcuries. A written directive was  
5 required. So there is no change in regulation.

6 But, again, what we're talking about I  
7 think predominantly is a culture issue. And if the  
8 culture is such that you verify the written directive  
9 on any dose you are administering that you know is  
10 greater than 30 microcuries, then these errors, with  
11 the exception of the erroneous patient identification  
12 -- two errors -- nine out of the 11 errors would have  
13 been prevented, because all nine of those doses,  
14 whether they started that way, at the time of  
15 administration were greater than 30 microcuries and  
16 would have required a written directive which was not  
17 verified by the technologist.

18 So I don't think any regulation change is  
19 required. What is required is rigorous compliance  
20 with the existing regulation for administrations  
21 greater than 30 microcuries.

22 CHAIRMAN MALMUD: Dr. Miller.

23 DR. MILLER: Dr. Eggli, thank you. I  
24 think that that was a great presentation, and I guess  
25 what I'd like to do is offer some thoughts for the

1 committee to ponder in providing advice to the staff  
2 to try to see if we can get a dialogue going.

3 First, one of the things that the staff  
4 looks at when we review events ourselves are: is  
5 there a recurring theme? And, you know, are there  
6 enough of these events that something needs to be  
7 done? Okay. Many times what we do is we put out some  
8 generic communication to remind people of what they  
9 should be doing.

10 And I guess that's one thing I would ask  
11 the committee to consider. Were there enough  
12 instances here that the staff should consider some  
13 kind of generic communication?

14 Dr. Eggli, what did you say the period  
15 of --

16 MEMBER EGGLI: It was approximately a year  
17 and a half worth of data that we looked at.

18 DR. MILLER: About a year and a half,  
19 okay.

20 MEMBER EGGLI: So we're looking at 11  
21 reported incidents over about a year and a half. But,  
22 again, nine of them had a common theme --

23 DR. MILLER: Right.

24 MEMBER EGGLI: -- of failing to review a  
25 written directive in a case where the dosage

1 administered was in a range that required a written  
2 directive. And I would think that reminding licensees  
3 that any dose that a technologist administered that is  
4 over 30 microcuries requires a written directive, with  
5 the advice that that directive should be reviewed  
6 prior to administration is potentially effective and  
7 certainly cost effective. It just simply reminds  
8 people of that obligation.

9 DR. MILLER: Okay. So that consideration  
10 in place, I mean, for the full committee to ponder.  
11 The second thing that caught my attention that I would  
12 be interested in your reaction to is, given the fact  
13 that most if not all of these fell on the shoulders of  
14 the technologist, is there something in technologist  
15 training that should be enhanced that would address  
16 this, or do you not see it as a training issue rather,  
17 you know, an individual lack of attention issue.

18 MEMBER EGGLI: As a working day nuclear  
19 medicine doc, I can tell you our techs are trained for  
20 this. It's usually the pressure of being busy, and  
21 there's always -- the rule is there is never time to  
22 do it right, but there's always time to do it again.  
23 You know, so the technologists sense -- artificially  
24 sense pressure to get things done, and they devise in  
25 their own workflow shortcuts that don't necessarily

1       comply with departmental policy.

2               And I think the issue to encourage is that  
3       the technologists need to feel free to communicate  
4       with the authorized user, and that they just have to  
5       stop and verify the written directive. They have to  
6       stop, and they have to find it.

7               But then, what they need is a workflow  
8       that makes it easy for them to find the written  
9       directive. I mean, I -- you know, I can't speak to  
10      what other -- all practices do, but typically when I  
11      write a written directive for a dose that's going --  
12      dosage that's going to be administered today, I  
13      personally carry it back to the radiopharmacy and hand  
14      it to the technologist.

15              I don't think that that extreme is  
16      necessary, but the technologist has to feel free to go  
17      back to the authorized user and say either, "I don't  
18      have the written directive," or "I don't understand  
19      the written directive" or "there is a disconnect  
20      between the written directive and the dosage that I  
21      have in the hot lab ready to administer to the  
22      patient."

23              So the culture needs to be that the  
24      technologist feels free to ask questions. Or maybe in  
25      a more direct fashion, to challenge the authorized

1 user on the dosage ordered.

2 CHAIRMAN MALMUD: Dr. Suleiman.

3 MEMBER EGGLI: In a couple of cases, the  
4 tech did the right thing without challenging the  
5 authorized user, and it became an incident, even  
6 though the right thing was done.

7 CHAIRMAN MALMUD: Dr. Suleiman.

8 MEMBER SULEIMAN: I agree completely on  
9 everything that has been said. Supporting your Will  
10 Rogers theme, I think human errors are common. They  
11 happen all the time. I don't think it would hurt --  
12 you don't need a 10 percent error rate reported real  
13 or whatever. I think this is an ongoing thing. It's  
14 not unique to radioactive dosages. And I think to  
15 sort of remind people about this wouldn't hurt.

16 I don't know -- we're not there now. I  
17 wonder if we'll ever get to a culture where people  
18 consider reporting errors as part of doing business.  
19 You know, baseball players miss, you know, two-thirds  
20 of the time, and they accept that. Nobody is perfect.  
21 And when people make errors, reporting that  
22 information back is very important to identifying a  
23 trend and remediating it. But society just -- you  
24 don't want to admit that you made a mistake.

25 But how we change that is a different

1 issue. But I think communicating this I don't think  
2 would hurt. I don't think you need a higher number to  
3 support that. And this sort of thing happens with  
4 drugs across the board.

5 One thing, though, to encourage standard  
6 units. I think sometimes the millicurie/microcurie  
7 business, the becquerals, does cause confusion. You  
8 were saying 30 millicuries for therapeutic. I think  
9 that would be appropriate for I-131. But I wouldn't  
10 separate the two; maybe put a quantity so it would  
11 trigger, you know, the technologist that may not be  
12 aware of that.

13 But I pretty much agree. I think it's a  
14 nice presentation, and I think we don't need any more  
15 justification that, you know, this sort of thing  
16 happens. And, again, this is the cream of the crop.  
17 These are the self-reporters. Trust me, there are  
18 people out there who make mistakes, and they don't  
19 report them.

20 CHAIRMAN MALMUD: Dr. Vetter was next.  
21 Dr. Vetter.

22 MEMBER VETTER: A number of years ago, the  
23 NRC put out -- I think it was an information notice  
24 addressing this issue, saying that the primary cause  
25 was inattention to detail. And I think since then the



1 error rate has gone way down. Now, whether or not we  
2 can attribute it to that information notice, or a lot  
3 of other things, I'm not sure.

4 But I agree with Orhan. I think it would  
5 be appropriate to -- I don't know if it's information  
6 notice or how you communicate this, but I think it  
7 would be appropriate to focus in on this particular  
8 issue, the written directive and needing to pay  
9 attention to it -- attention to detail, review with  
10 the authorized user, etcetera, to get out to the  
11 nuclear medicine community, and then ask inspection  
12 and enforcement to ask the question when they are  
13 inspecting: did you receive this information notice,  
14 has it been reviewed with you, etcetera, to sort of  
15 focus on that.

16 CHAIRMAN MALMUD: Dr. Nag.

17 MEMBER NAG: Yes. We have a policy in our  
18 Department -- and I don't know whether it's like a  
19 state -- like the JCAHO, that we have a timeout for  
20 any procedure. That before any procedure is done  
21 using the HDR or gamma knife, there's a timeout, and  
22 the timeout includes identification of the patient by  
23 two methods and ensure that the procedure that is  
24 scheduled is the procedure that is given for that  
25 patient. Is that something we can incorporate here?

1                   MEMBER EGGLI:    Yes.    That is a JCAHO  
2 regulation.  Unfortunately, that does not drift down  
3 to the level of Part 200 or Part 300 uses of  
4 radioactive iodine.

5                   MEMBER NAG:    Yes.    But, you know, if you  
6 have a rule for that, even if it's not there in  
7 Part 200 or Part 300, it's something your hospital has  
8 to do.

9                   MEMBER EGGLI:    And I think that our  
10 recommendation on these issues of both verifying the  
11 written directive and positively identifying the  
12 patient approaches that type of a timeout requirement  
13 for the -- that JCAHO requires for other procedures.

14                   You know, it does a couple of things.  It  
15 identifies the patient correctly.  It makes sure that  
16 you're doing the right procedure on the right patient,  
17 and that everything you have is what you're supposed  
18 to have for that procedure.  And I think the  
19 recommendations for positive patient identification  
20 and reviewing the written directive with the  
21 authorized user really meets the spirit of that  
22 timeout concept.

23                   CHAIRMAN MALMUD:  Dr. Williamson.

24                   MEMBER WILLIAMSON:  Yes.  I don't think  
25 recommendations to do this are necessary, because

1 35.41 requires both things. It's a requirement  
2 whenever more than 30 microcuries is given. I think  
3 the recommendation has to focus on the issues of  
4 attention to detail, being able to recognize when the  
5 unexpected occurs, when -- that may be another aspect,  
6 that it might not be willful negligence.

7 It might be that if you're expecting a  
8 very low dose case to occur, you're not necessarily  
9 neurologically receptive to evidence indicating the  
10 contrary possibility. That often happens.

11 MEMBER EGGLI: I think the issue here is  
12 not that we're recommending that there be any new  
13 rulemaking or any new policy. I think the  
14 recommendation is that people are reminded of their  
15 existing obligations under the regulation.

16 CHAIRMAN MALMUD: Mr. Essig.

17 MR. ESSIG: Yes. Two things. First, I  
18 wanted to respond to Dr. Vetter's observation about  
19 the use of information notices. And he's right, that  
20 we have done that in the past and that's normally the  
21 appropriate vehicle for calling attention to the  
22 licensee community of this -- these types of events.

23 And we also have the additional mechanism  
24 of the NMSS quarterly newsletter for licensees. And  
25 so depending on the nature of the event, we could put

1 it in either or both of those.

2 And then, I wanted to address Dr. Eggli,  
3 if I might. The events that were of particular  
4 interest to me that you reviewed were those where --  
5 that were intended to be or thought to be diagnostic  
6 and ended up being therapeutic.

7 MEMBER EGGLI: Right.

8 MR. ESSIG: And it seems to me that a  
9 simple reinforcement of the use of a dose calibrator  
10 could have at least put up the red flag that you said  
11 was -- should have been apparent. But if someone  
12 doesn't verify that they, in fact, are confronted with  
13 a therapeutic dose, and they believe they actually  
14 have a diagnostic, and they just blindly follow what  
15 the piece of paper says, then it seems like those kind  
16 of things could be maybe not entirely prevented, but  
17 at least an awareness that the technician would have.

18 MEMBER EGGLI: I personally wouldn't run  
19 a clinic without a dose calibrator, but there are a  
20 lot of places who unit dose out of central pharmacies  
21 who do not have a dose calibrator. I think the dose  
22 calibrator serves as an extra reminder to the patient  
23 -- or to the clinic. However, there is no evidence in  
24 NMED that these doses were not properly labeled, so  
25 there should have been no problem for the

1 administering individual to know how much  
2 radioactivity they were actually administering.

3 Sally has been waiting for a long time to  
4 make a comment.

5 CHAIRMAN MALMUD: Yes. Dr. Schwarz.

6 DR. SCHWARZ: I just wanted to make a  
7 comment in regard to administration within our  
8 Department, something that doesn't have to be done but  
9 that we do, is once the physician writes the written  
10 directive, and then the dose is assayed in the dose  
11 calibrator, it is written on the lower part of the  
12 prescription, and then the physician, who is then  
13 responsible to administer the dose -- actually, he  
14 doesn't give it, but he comes in and sees the dose and  
15 dose calibrator, signs off a second time on that dose,  
16 and then it's administered by the technologist to the  
17 patient.

18 So it's kind of a confirmation, of course  
19 none of which is required in the regulation, but it is  
20 certainly the verification with the dose calibrator  
21 and the second check by the physician who has written  
22 the directive. And even if we wouldn't have a  
23 directive, we're always measuring the doses as you're  
24 mentioning that. So if it is a diagnostic dose, and  
25 a therapeutic dose is received, again, it's noticed

1 with that dose calibrator.

2 But that second measurement on the written  
3 directive, the second signature that we ask for,  
4 really is a help, because it does confirm that in fact  
5 what they wrote for is what they are getting in the  
6 dose calibrator.

7 CHAIRMAN MALMUD: Dr. Howe.

8 DR. HOWE: I think it might help if you  
9 look at our regulations. And Jeff is right, we do  
10 have current regulations in place for the greater than  
11 30 microcurie requirements for written directives.  
12 But perhaps the focus needs to shift to 35.27, which  
13 is supervision. And I think in my mind many of these  
14 that were supposed to be diagnostic, they were given  
15 therapeutic.

16 I think the individuals were probably  
17 thinking diagnostic, and the red flag that should have  
18 been there, that should have said, "Oh, I need a  
19 written directive," maybe they don't handle many  
20 written directives. So that red flag wasn't really  
21 there. So maybe it is a focus on ensuring that under  
22 supervision, even if you don't give many written  
23 directives, all of the personnel are aware of and  
24 trained on the fact that there should be a written  
25 directive greater than 30 microcuries. So that red

1 flag does become a red flag.

2 MEMBER EGGLI: And I think that's the  
3 issue of the culture of following the regulation. And  
4 if it -- in truth, it is at the leadership level in  
5 the Department. If that's not enforced from top down,  
6 it doesn't happen. The culture has to -- it has to be  
7 there, that you do this every time. And even if you  
8 only do it infrequently, it has to be reinforced.  
9 Particularly if you do it infrequently, it has to be  
10 reinforced with your annual technologist retraining or  
11 whatever your frequency is, that there are some things  
12 you have to do.

13 And so I think it is -- it's a top-down  
14 culture issue. If it isn't enforced from the  
15 leadership of the Department, that the technologists  
16 don't take it seriously.

17 CHAIRMAN MALMUD: Mr. Bailey.

18 MEMBER BAILEY: On the analysis, you  
19 turned up with most of them, the vast majority of them  
20 being a technologist problem. And yet Part 35 is  
21 totally silent with regard to technologists. And I  
22 think back when Part 35 was being put in place, with  
23 the RSO qualifications and the medical physicists, the  
24 agreement states in particular were concerned that  
25 there was no addressing of technologists, not even

1 saying what the minimal training of a technologist had  
2 to be, much less saying they had to be certified.

3 I think that's something that needs to be  
4 reexamined at some point. I would like to have seen  
5 in the analysis whether or not -- the training level  
6 of the technologist. Were they a certified nuclear  
7 medicine technologist? Were they licensed by the  
8 state in which they were operating? And so forth.

9 MEMBER EGGLI: And that data is not  
10 available.

11 MEMBER BAILEY: Right. But I think that's  
12 something that, you know, we ought to start looking at  
13 if, in fact, it is really the technologists' fault, if  
14 you want to put it that way, that these things  
15 occurred.

16 Now, I would play devil's advocate a bit  
17 and say that there had -- if there was a culture there  
18 that said, "Hey, you see this, you go question the  
19 nuclear medicine doctor," we might have seen some  
20 different results. There was mention of putting out  
21 an information notice, and another piece of  
22 information that would have been interesting to have  
23 from my perspective was, how many of these 11 occurred  
24 in agreement states, and how many in non-agreement  
25 states? Because an information notice in effect only



1 reaches 20 percent of the licensees in the United  
2 States. They are not mailed to agreement state  
3 licensees routinely.

4 So I think if we're having the problems in  
5 the agreement states, then we need to look at it and  
6 focus on it. On the other hand, if it's, you know,  
7 going to be addressed by an information notice, then  
8 we need to make sure that everybody gets a copy of it.

9 I would say that one thing that we have  
10 required in California for years other than having  
11 state licensed nuclear med techs is that on the  
12 administration of therapeutic doses the physician has  
13 to be physically present in the room when the dose is  
14 administered. And in my however many years in  
15 California, the only two cases I can remember of a  
16 therapeutic misadministration occurred when the  
17 physician was not physically present.

18 CHAIRMAN MALMUD: Thank you, Mr. Bailey.

19 This is Malmud speaking as a member of the  
20 committee. I have a couple of questions which will  
21 precede my statement. Number one, how often is I-131  
22 used today in quantities of less than 30 microcuries?  
23 And what is it used for?

24 MEMBER EGGLI: In my practice, it's used  
25 for measuring iodine uptake by the thyroid gland. We

1 do seven to ten a week.

2 CHAIRMAN MALMUD: How many microcuries do  
3 you use for uptakes?

4 MEMBER EGGLI: Seven to ten.

5 CHAIRMAN MALMUD: Okay. You've answered  
6 that question. It occurs to me that the additional  
7 training of technologists is always valuable, but it  
8 won't be the solution, because the most frequent  
9 errors that occur in the United States, according to  
10 the literature that's produced, are medication errors  
11 which are distributed by RNs who are well trained and  
12 retrained periodically, so that these kinds of errors  
13 will occur. They are human errors.

14 The issue of the written directives is an  
15 important one. The reason I question the number of  
16 times that one uses I-131 is that we don't use it at  
17 all for uptakes at our institution. We use I-123 now.  
18 And I was wondering whether a written directive might  
19 be appropriate for the use of I-131, period. That  
20 would lead to a less thoughtful consideration of  
21 whether or not a written directive was required,  
22 because a written directive would be required for each  
23 use of I-131 for diagnostic or therapeutic purposes.

24 But that would be burdensome to a  
25 Department such as yours which is still using I-131

1 for uptakes, I assume.

2 MEMBER EGGLI: Actually, our Department is  
3 unique. We do a written directive on every  
4 radiopharmaceutical ordered, whether it's a Part 200  
5 or a Part 300 use. So it would pose no additional  
6 burden. It's just that for us it's a different form,  
7 but not -- but for Departments who don't use written  
8 directives for Part 200 uses, it would probably be  
9 seen as burdensome.

10 CHAIRMAN MALMUD: So that it would not be  
11 an additional burden, in your particular situation.

12 MEMBER EGGLI: No, it would not.

13 CHAIRMAN MALMUD: Because you already are  
14 using written directives for all diagnostic and  
15 therapeutic doses.

16 MEMBER EGGLI: For every dose that comes  
17 out of the radiopharmacy.

18 CHAIRMAN MALMUD: Do you also require that  
19 the patient have identification, such as a bracelet,  
20 which is done at the time of registration?

21 MEMBER EGGLI: Not for outpatients. We  
22 use the JCAHO requirement for using two pieces of  
23 information to identify.

24 CHAIRMAN MALMUD: We've gone to using the  
25 bracelet even for outpatients, and the reason is that

1 we have a multilingual patient population. And often  
2 asking if the patient is who we think it is, the  
3 patient says yes, comes in, only for us to discover it  
4 is not the patient that we thought that it was. And  
5 we check this in two ways -- by asking for the  
6 patient's name, which often gets a positive response  
7 whether or not the name is correct, and then check the  
8 patient's birthday, and that often results in the  
9 patient saying, "No, that's not my birthday."

10 MEMBER EGGLI: What we do -- we don't  
11 allow the technologist to offer a name to the patient.  
12 The patient has to state their full name, which is  
13 slightly different. You can't have the error of a  
14 patient misunderstanding and saying, "Yes, that's my  
15 name," when you ask the patient, "Please tell me your  
16 full name."

17 CHAIRMAN MALMUD: The third issue that was  
18 mentioned, of course, is the issue of the dose  
19 calibrator. I can't imagine running the Department  
20 without a dose calibrator. We have gone to unit doses  
21 a long time ago, but we still check them with a dose  
22 calibrator. Since we are the final individual who  
23 hands the dose to the patient, we feel it's our  
24 responsibility whether or not a certified nuclear  
25 pharmacy has previously calibrated the material or

1 not.

2 MEMBER EGGLI: And I agree with you,  
3 because if I get a bad dose from my central  
4 radiopharmacy, I still do the paperwork. I have to  
5 explain to the patient and the referring physician  
6 that an incorrect dosage was administered, so I would  
7 not personally practice without a dose calibrator.  
8 But there are a lot of -- there are a lot of practices  
9 who, in fact, just do not use them.

10 CHAIRMAN MALMUD: Dr. Suleiman.

11 MEMBER SULEIMAN: I have a question.  
12 What's the uncertainty in dose delivery, in terms of  
13 the dose calibration, how much of the drug actually  
14 gets into the patient. What are we talking about,  
15 five percent, 20 percent? Is there a number out  
16 there? Does anybody know?

17 MEMBER EGGLI: For a lot of the  
18 radiopharmaceuticals, it depends on how long it sits  
19 in the syringe before administration and how much of  
20 the dose sticks to the plastic of the syringe. But in  
21 general, if you rinse the syringe well, you get -- and  
22 the dose is freshly prepared, you get virtually all of  
23 it into the patient.

24 CHAIRMAN MALMUD: But if I may, the other  
25 answer to your question is, after we give a

1 therapeutic dose, we measure the syringe again in the  
2 well, in the scintillation counter, to see how much of  
3 the activity remains in the syringe.

4 MEMBER EGGLI: And we do that with  
5 diagnostic doses as well.

6 DR. SCHWARZ: Sally Schwarz. I think if  
7 you don't flush the syringe, you still get about three  
8 percent left in the syringe, unless it has been  
9 sitting maybe possibly -- there are those that are  
10 greater, but on average probably three or four.

11 CHAIRMAN MALMUD: Okay. Aside from those  
12 errors, what about the accuracy of the dose  
13 calibrators themselves? I understand that these --  
14 they are not as calibrated for the different  
15 radionuclides as one would expect. A lot of them are  
16 calibrated using cesium.

17 DR. SCHWARZ: Well, I think the dose  
18 calibrator is very accurate at measurement of doses  
19 for all of the radiopharmaceuticals that we routinely  
20 use, yes.

21 MEMBER EGGLI: I think the reliability of  
22 the dose calibrator is far greater than 10 percent.

23 CHAIRMAN MALMUD: So you have given us a  
24 lot to think about, Doug.

25 MEMBER EGGLI: Ralph has a comment.

1 CHAIRMAN MALMUD: I'm sorry?

2 MEMBER EGGLI: Ralph has a comment.

3 CHAIRMAN MALMUD: Ralph. Excuse me.

4 MEMBER LIETO: Actually, I'm going to  
5 answer a question you asked some time ago about uses  
6 of I-131 over 30 mics. We do a lot of cancer  
7 ablations at our facility, so we routinely do a lot of  
8 the three to five millicurie whole body studies. So,  
9 and I would say there is probably at least three to  
10 five of those a week that we do. So it's a fairly  
11 common procedure for those types of activities.

12 CHAIRMAN MALMUD: Thank you.

13 My question had been about doses of 30  
14 microcuries or less, because I was wondering whether,  
15 given the availability of I-123, if I-131 was being  
16 used very much any longer in doses of 30 microcuries  
17 or less. And if it had not been continued to be used,  
18 then perhaps we should just have a blanket rule for  
19 I-131, which would be a less challenging rule for  
20 those to interpret who dispense I-131. But it's still  
21 being used for uptakes in small doses, and, therefore,  
22 that would be burdensome, except in a Department such  
23 as Dr. Eggli's, where he is using written directives  
24 routinely anyway.

25 I was just trying to think of how we could

1       simplify this for those who are dispensing the  
2       pharmaceutical.

3               So, in conclusion, then, what are your --  
4       what is the recommendation?

5               MEMBER EGGLI:       Again, I think the  
6       recommendation is to remind the licensees that they  
7       have an obligation under the current regulations to  
8       verify any dose of greater than 30 microcuries against  
9       a written directive, and strongly recommend an  
10      effective positive approach to identify patients. And  
11      then, thirdly, to encourage free communication between  
12      the authorized user and the administering  
13      technologist.

14              CHAIRMAN MALMUD:   Thank you, Dr. Eggli.  
15              Dr. Williamson?

16              MEMBER WILLIAMSON: I would suggest adding  
17      to this, if we're making up a motion, that there be a  
18      reminder, even in the diagnostic cases, to be aware of  
19      the possibility of erroneous delivery of a larger  
20      dose, and to examine the label before administering to  
21      ensure that it's less than 30 microcuries.

22              CHAIRMAN MALMUD:   I'm not sure whose arm  
23      it was. Mr. Lieto.

24              MEMBER EGGLI:   Mine is over here.

25              (Laughter.)



1 CHAIRMAN MALMUD: We had this problem  
2 yesterday. I kept moving your extremities from one  
3 person to another. Ralph?

4 MEMBER LIETO: I would like to see that  
5 the recommendations -- that the committee make a  
6 recommendation that the subcommittee's recommendations  
7 be put into an informational mechanism to be decided  
8 by NMSS staff as to which is the best, whether it's  
9 the information notice or the newsletter or both. Or  
10 possibly a third is to incorporate some of these into  
11 the NUREGs which are guidance for licensees, and  
12 proceed from there.

13 MEMBER EGGLI: Could I make a suggestion  
14 on that distribution as well? Mr. Bailey's point that  
15 direct NRC mailings get a small portion of the  
16 technology group, one of the other approaches might be  
17 to actually send the information letter to the two  
18 main certifying boards for technologists -- AART and  
19 CNMT -- and ask them to distribute this information to  
20 their memberships along with their newsletters.

21 CHAIRMAN MALMUD: Thank you. That's a  
22 good idea.

23 Dr. Miller.

24 DR. MILLER: I have a question for Mr.  
25 Bailey. Ed, when we put out information notices,

1 don't copies go to the agreement states? So the  
2 agreement state regulator does get a copy to do with  
3 as they choose, right? Donna-Beth is waving her head  
4 yes.

5 MEMBER BAILEY: I was going to answer if  
6 you want me to.

7 CHAIRMAN MALMUD: You're on.

8 DR. MILLER: I do.

9 MEMBER BAILEY: Yes. We get -- I would  
10 say we get at least one copy --

11 DR. MILLER: Okay.

12 MEMBER BAILEY: -- because there are  
13 several different mailing lists. What happens from  
14 that point on, though, is very variable.

15 DR. MILLER: Depending on the state.

16 MEMBER BAILEY: Okay. First of all, if  
17 you're talking about the newsletter, it's four pages  
18 long generally, you hit it like this and pass it on to  
19 somebody who passes it on. An example -- my notebook.  
20 Came into the office, it was passed on to someone who  
21 passed it on to the head of licensing, who passed it  
22 to a medical licensing guy. So it took me about two  
23 days to find it.

24 There is not a set routine, particularly  
25 in a large state program.

1 DR. MILLER: Okay.

2 MEMBER BAILEY: But I think the -- there  
3 does need to be some better way of communicating these  
4 generic type issues to the agreement state licensees,  
5 and I don't know how that's going to occur, really,  
6 unless we have a national database of licensees.

7 DR. MILLER: Right. But I do think the  
8 suggestion about having copies go to the boards is a  
9 good one. That would be an enhancement at least to  
10 get it in the boards.

11 MEMBER BAILEY: Yes, that seems to be a  
12 very effective way of getting the word out, assuming  
13 that the majority of technologists are certified by  
14 one or more of those bodies.

15 MEMBER EGGLI: I think the reality is that  
16 the vast majority of technologists are certified by  
17 one of those two bodies. In most hospital-based  
18 practices, again, to be JCAHO-compliant, the  
19 technologists are certified. The outpatient  
20 freestanding clinics -- still the vast majority of  
21 those technologists, at least in our area, although  
22 Pennsylvania has no licensure for technologists, most  
23 -- virtually all of the technologists are certified by  
24 one of those two boards.

25 DR. MILLER: Okay.

1 CHAIRMAN MALMUD: Thank you again.

2 If we may, we'll move on to the next item  
3 on the agenda, which is the status of -- which is Mr.  
4 Essig.

5 MR. ESSIG: Was there an item that hasn't  
6 been finished? Had Ralph made a motion that -- a  
7 general recommendation? Okay.

8 CHAIRMAN MALMUD: The committee supports  
9 the recommendation. Did you want an actual formal  
10 motion? Is it required?

11 MR. ESSIG: I don't know that it's  
12 necessarily required. I wasn't sure if it was just a  
13 recommendation or a motion.

14 CHAIRMAN MALMUD: All right. Dr. Eggli,  
15 would you like this to be in the form of a motion?

16 MEMBER EGGLI: I think that that would  
17 probably be useful, to put it in the record and it  
18 makes it an action item if it's --

19 CHAIRMAN MALMUD: Thank you, Mr. Essig,  
20 for bringing that to our attention.

21 So the motion has been made by Mr. Lieto.  
22 Is there a second to the recommendation?

23 MEMBER WILLIAMSON: Second.

24 CHAIRMAN MALMUD: Dr. Williamson. Any  
25 further discussion?

1 (No response.)

2 All in favor?

3 (Chorus of ayes.)

4 Any opposed?

5 (No response.)

6 Any abstentions?

7 (No response.)

8 It's unanimous. Thank you very much.

9 Mr. Essig.

10 MR. ESSIG: One other point, if I may. I  
11 know Dr. Howe is at the podium, and we're about ready  
12 to begin her presentation, which was originally well  
13 over an hour. She has slides in the notebook, and  
14 we've condensed it down to 15 minutes. And I would  
15 offer that we could go ahead with that as planned --  
16 in other words, the 15-minute presentation.

17 The thing that we have to be sure to do,  
18 and that is to allow the one hour that we've allocated  
19 for Mr. Lieto. And I notice that we have an error on  
20 the agenda. It's not Dr. Howe that's going to present  
21 it, it's Mr. Lieto that -- from 9:00 until 10:00. And  
22 we have to be sure to get that done out of fairness to  
23 Mr. Lieto and to allow the committee to provide any  
24 input that they may have.

25 So we can either go ahead with the

1 presentation, keeping it to 15 minutes if at all  
2 possible, then go on to the session where we review  
3 Ralph's slides, or we can just not do the 15-minute  
4 presentation, recognizing that there are some detailed  
5 slides in the notebook. So I would just offer that as  
6 a timesaver, if -- whatever the committee would  
7 prefer.

8 CHAIRMAN MALMUD: We'll move ahead with  
9 Dr. Howe, if you're ready, for 15 minutes, and we will  
10 -- we appreciate your having concentrated so much  
11 material into a briefer presentation.

12 MR. ESSIG: And, I'm sorry, I misspoke.  
13 The 9:00 to 10:00 was what I remembered I had written  
14 down yesterday. And, actually, Ralph's session is at  
15 10:15, and I just wanted to make sure that we did  
16 that. So --

17 CHAIRMAN MALMUD: Thank you.

18 DR. HOWE: What I did was I took a look  
19 for half a year. Normally, I give a presentation in  
20 October for the complete fiscal year, and so what I've  
21 done is I've used the first half of a year. I have  
22 given you printouts of the NMED reports for all of the  
23 reportable medical events, and some of the other  
24 reportable events, but not necessarily medical events,  
25 behind that.

1 I have organized them by regulation, so  
2 you have the 200 events together, the 300, the 400,  
3 the 600, so that they should be easy for you to review  
4 and identify any common factors.

5 I'm going to give just a summary  
6 presentation. One of the -- so far we've had 12  
7 medical events in the first half of the year, and I've  
8 given a breakdown of where they are. You'll see that  
9 we don't have any 200 events, so at this particular  
10 point we aren't having diagnostic I-131 and  
11 therapeutic doses delivered.

12 300 are two iodine-131 events. In both  
13 cases multiple capsules were intended, and the total  
14 number of capsules administered to the patient were  
15 less than those that were sent by the pharmacy, and  
16 35.400 -- we've had gynecological ones in addition to  
17 prostate cancer, and in 600 most of ours are HDR  
18 units. We've also had a yttrium 90 microsphere  
19 medical event.

20 One of the things that we looked at last  
21 time was to see if there was a problem with delay in  
22 reporting medical events. I'm pleased to -- last time  
23 we had events that were not reported for up to about  
24 two years, and those were things that were identified  
25 during inspection, and the licensee had to go back and

1 find other cases.

2 We aren't seeing the same length of time,  
3 but we're still having events, especially in the two  
4 months and the four months, that are identified at  
5 inspection but not by the licensee themselves. And a  
6 number of the events coming out at these later dates  
7 are where we have asked the licensee to go back and  
8 review their records to see if there weren't other  
9 medical events that weren't reported.

10 For 35.300, we had essentially one capsule  
11 left in the vial. It's the root cause for both of the  
12 events, and one of the factors is that at least in one  
13 of them they didn't identify that the capsule was left  
14 in the vial until seven to ten days later. And it --  
15 you would think that if they were measuring and  
16 checking the vials and checking things before they put  
17 them into waste, that they would have identified this  
18 much earlier on.

19 In our brachytherapy medical events, we've  
20 had five of them. Three of them were gynecological  
21 events. You'll only see that I have causes for two.  
22 The third one was fairly recently reported and very  
23 sketchy, so I don't have full information on that. In  
24 one case, they selected the wrong seed activity to put  
25 into a tandem, and they gave an overdose in that case.



1           In another case, which we ended up with  
2           several medical events -- and I think this is the one  
3           that I don't have a lot of information on also. The  
4           licensee had different size sources from buckets, and  
5           they were not checking to make sure that the sources  
6           were compatible with the buckets, so the sources  
7           weren't going in the bucket and they were giving  
8           treatment to the wrong location.

9           In the prostate, we had a broken source.  
10          In this case, the source was identified. It was a mic  
11          applicator. The applicator was jamming. They  
12          recognized that one of the sources was broken. They  
13          lost more sources when they tried to remove some of  
14          the sources from the applicator. They did not end up  
15          with an I-125 exposure to the patient, because they  
16          did not give the broken source to the patient. So  
17          they avoided that error.

18          And in another case, we saw where the  
19          licensee ordered the activity of prostate sources in  
20          air kerma, and what the manufacturer delivered was in  
21          millicuries. And it wasn't until a day or two  
22          afterwards that the licensee recognized that they had  
23          used the wrong activity sources.

24          For HDR, we had four events. In one case,  
25          the licensee should have calculated the dose to two

1 centimeters. Instead, they did it to one centimeter,  
2 so the patient received half the dose they were  
3 supposed to get. There was another unspecified error.  
4 I'm not sure what happened there.

5 We did have two lung cases, one in which  
6 the catheter moved between the positioning and the  
7 actual delivery. In the other, there was not a cap at  
8 the end of the catheter, and so the source was not  
9 delivered to the location that it was supposed to be  
10 at. So we don't normally see a lot of lung cases, but  
11 we did see two this time.

12 Dr. Nag.

13 MEMBER NAG: Yes. The lung catheter  
14 moving, that is a -- that's something that's done by  
15 the patient. And from what I remember, if there is a  
16 movement that you -- an intentional act of the  
17 patient, that's not supposed to be a  
18 misadministration.

19 DR. HOWE: Patient movement is not  
20 necessarily misadministration. In this case, the tape  
21 became loosened. It wasn't the patient doing  
22 anything. It was that the tape was loose. And when  
23 they came out to observe, to remove the -- to take the  
24 catheter out, they realized the catheter was out  
25 further from the nose than it was supposed to be.

1           So patient movement in itself is not  
2 patient intervention. You have to be careful on that.

3           Yttrium-90 microspheres -- in this case we  
4 had a nuclear medicine physician who was delivering  
5 the dose, believed that the administration had gone  
6 in, and after the administration was over realized  
7 there was still liquid in the V-vial. Ended up 45  
8 percent of the dose was still in the V-vial. There  
9 was also leakage I believe around the catheter going  
10 into the patient, so there was some leakage at that  
11 point, too.

12           So we had multiple points with problems,  
13 but the patient only received probably about 50  
14 percent of the dose, somewhere between 60 and 50  
15 percent of the dose. And so there are difficulties in  
16 delivering these microspheres to the patient, and it  
17 is -- it's not a trivial exercise to get them in. And  
18 there is also beads that get stuck up in the stock  
19 cocks.

20           Now, we had other reportable events.  
21 These would have been reported under Part 30 or  
22 Part 21. And in this case, we had a cesium-131 source  
23 that was identified at delivery that there was -- they  
24 saw that the source was not in the container. The  
25 source had been damaged; there was contamination at

1 the licensee's facility.

2 I think this particular event points out  
3 the importance of doing your surveys when radioactive  
4 materials come in, and in this particular case the  
5 physicist didn't wear gloves, contaminated himself,  
6 and there was also an assistant technologist that also  
7 got some hand contamination. So in this particular  
8 case the licensee did not have good procedures on  
9 bringing incoming packages, and you never know when  
10 there's going to be a problem. So it's kind of a --  
11 yes, Jeff.

12 MEMBER WILLIAMSON: What form is the  
13 cesium-131?

14 DR. HOWE: It's in a sealed source for  
15 brachytherapy.

16 MEMBER WILLIAMSON: What chemical form?

17 DR. HOWE: I don't think I know that. But  
18 I do know that they had contamination, so it was in a  
19 readily spreadable form.

20 MEMBER NAG: I think the new cesium source  
21 for prostate implant.

22 DR. HOWE: Yes. And we had an I-131 in  
23 which we had the -- no written directive, but four  
24 millicuries was given to the patient. It ended up  
25 that that was what the patient should have gotten, but

1 the physician did not write a written directive. So  
2 this is kind of in a similar thing as to what Dr.  
3 Eggli looked at.

4 It's not a medical event, because we  
5 currently have a loophole in the regulations that if  
6 there should have been a written directive, but there  
7 was no written directive, and material was given that  
8 required a written directive, it's not a reportable  
9 event. And we'll be talking about that in my next  
10 talk.

11 We had a prostate therapy, administration  
12 with strands, and we've had a similar one at the same  
13 facility a couple of years ago, and it's not a medical  
14 event because the physician revised the written  
15 directive prior to completion. Since it's a permanent  
16 implant, they could revise the written directive any  
17 time, so he changed the written directive from 90  
18 seeds to 45 seeds.

19 But we also found out something new in  
20 this case. And the reason they were so few seeds that  
21 were implanted is because they were using strands.  
22 And when they identify one of the sources going into  
23 the bladder, they remove the entire strand. So  
24 instead of removing one or two seeds, if you weren't  
25 using a strand system, because that one or two seeds

1 got into the bladder, then the whole strand comes out,  
2 and so the total number of seeds that are being  
3 implanted is drastically reduced. And this was a case  
4 that we saw earlier.

5 And I'm not sure we were aware of the fact  
6 that that is one of the consequences for strand use,  
7 if they're not positioned properly. So I thought that  
8 was an important piece of information.

9 We also had an HDR equipment failure in  
10 which they tried to give an HDR procedure. The  
11 equipment indicated a failure. They were able to get  
12 the source back. They went to give another treatment.  
13 The treatment was given correctly, but when they went  
14 to get the source back they still had additional  
15 problems with error messages.

16 They finally called the manufacturer. The  
17 manufacturer corrected the problem, and then realized  
18 there was another problem. And they sent it back to  
19 the factory for further evaluation and found that  
20 there was a problem in the original manufacture of  
21 this particular device.

22 So I think one reason I'm presenting this  
23 kind of information is because we focus on reportable  
24 medical events. These are our precursor issues where  
25 the patient wasn't involved, but they have equally

1 important things for us to think about and keep in  
2 mind when we're looking at medical regulation and  
3 sealed sources and devices and other considerations.

4 So that concludes my presentation. Dr.  
5 Vetter?

6 CHAIRMAN MALMUD: Thank you, Dr. Howe.

7 Dr. Vetter?

8 MEMBER VETTER: Thank you. Excellent  
9 report. I think your report reflects -- or one of the  
10 problems that you have in collecting the data and  
11 analyzing it reflects the same problem that Dr.  
12 Eggli's subcommittee did, and that is that the NMED  
13 database doesn't always contain enough information to  
14 really get at what's going on.

15 And I don't know -- for instance, a number  
16 of these say corrective actions taken by licensee  
17 include modifying the procedures, or something of that  
18 sort. That doesn't tell us anything. It may be that  
19 what they did was very good and very appropriate, but  
20 we can't tell from the database.

21 I'm wondering -- I have two questions.  
22 The first one is, I'm wondering whether or not NRC  
23 can't do something to get that database cranked up in  
24 terms of the level of information that's in there that  
25 would help us to analyze what really is happening in

1 these instances. And I don't know that you can answer  
2 that, but that's a concern I have, because Dr. Eggli's  
3 subcommittee had the same problem. We just can't  
4 quite get to the information that we need.

5 DR. HOWE: Well, one of the things that  
6 I've had the NMED people do is develop a new report  
7 format, and you see it in your books. And if you'll  
8 notice at the bottom you have reference documents. If  
9 there's something that is of particular interest, we  
10 can go back and retrieve those reference documents,  
11 which may give us additional information.

12 For the agreement state reports, there is  
13 not a lot of information there. It's generally  
14 normally a sentence that says that this is a reported  
15 event. But we can also for things that are of great  
16 interest to the committee, or -- we could also go back  
17 to the licensee and try to get additional information.

18 And I think maybe one of the things that  
19 I'm doing is presenting you with an overview, kind of  
20 a quick summary. And if there are areas that you  
21 would like to pursue further, then we can try to get  
22 additional information, because we do have access.

23 MEMBER VETTER: That may be the answer to  
24 my second question as well, and that is that the root  
25 cause really isn't presented here. For instance, on



1 the item capsules, a capsule being left behind is not  
2 the root cause; that's the error. So what is it that  
3 they did or didn't do that caused them to miss the  
4 fact that they didn't give all the capsules to the  
5 patient?

6 And maybe it's in those supporting  
7 documents. I don't know.

8 Thank you.

9 DR. HOWE: I know one description of the  
10 I-131 was that they gave the patient the vial, and the  
11 patient tipped the vial up and took the pills, but yet  
12 one was still in the vial, so it must have stuck to  
13 the vial. But there also didn't appear to be any  
14 routine for them to check the vials afterwards to see  
15 if there was anything left in them.

16 MEMBER VETTER: Right. I mean, that was  
17 -- is more like the root cause. They need to fix  
18 their procedure, so that they can check. And after  
19 it's administered, make sure it has all been  
20 administered.

21 DR. HOWE: Dr. Nag?

22 MEMBER NAG: Yes. I have a feeling that  
23 what we see in the NMED report is that initial report.  
24 And, you know, after you have the initial report,  
25 after maybe 30 days or whatever -- whenever the

1 investigation is done, and then you have the full  
2 report. So what you're seeing is the initial report  
3 done by the licensee, which really didn't identify  
4 everything. But usually when the case is closed, you  
5 know, there is a full report. So is there any way of  
6 getting the final report rather than the initial  
7 report?

8 DR. HOWE: I think it also may be a little  
9 early on. The six-month one that -- there isn't  
10 enough time to complete the entire inspection. If  
11 it's a reactive inspection, to complete all of the  
12 written reports. So it may be a little early on this  
13 one, but we should be able to go back and get a final  
14 report from -- and that should be in the reference  
15 sections. So we can -- we can try to make sure that  
16 we do get final reports.

17 CHAIRMAN MALMUD: Thank you, Dr. Howe.

18 May we move on? If so, you're on again.

19 DR. HOWE: Now, the next presentation is  
20 going to be about potential changes to Part 35 or  
21 Part 32 that we'd like to bring before the ACMUI to  
22 get your recommendation on whether it would be a good  
23 potential change or not. This does not mean that we  
24 will have rulemaking anytime in the near future.

25 So I have a long laundry list of things to

1 go. Some of them are very simple, very short. Some  
2 of them are going to be a little bit more complicated.  
3 And we're not really looking for exact rule text, just  
4 to explain the issue and what we think might be a  
5 possible change. If it does go to rulemaking, then  
6 you will see these in more detail at some later point.

7 Okay. And what I've done is I've ordered  
8 these in terms of the regulation, so I've just gone  
9 pretty much in numerical sequence. There will be a  
10 little bit of out-of-sequence information if things  
11 are grouped together.

12 The first issue was essentially I think an  
13 oversight. When we revised Part 35 in April of 2005,  
14 there was not an attempt to go back and bring 32.72,  
15 which is the authorized nuclear pharmacist  
16 requirements for the commercial nuclear pharmacy, up  
17 to date with the authorized nuclear pharmacy  
18 requirements in Part 35. In this case, it's a  
19 notification issue.

20 Now, if you're board certified, you have  
21 to, in 35, present the attestation in addition to the  
22 board certification, and we need to revise 32.72 so  
23 that that attestation is also provided when the  
24 commercial nuclear pharmacy notifies the NRC that they  
25 have now put a pharmacist in.

1 CHAIRMAN MALMUD: Does anyone wish to make  
2 that a motion? Dr. Schwarz.

3 DR. SCHWARZ: I would move that it is  
4 recommended that the pharmacist present the  
5 attestation at the same time that they present their  
6 board certification to the Commission.

7 CHAIRMAN MALMUD: Thank you, Dr. Schwarz.  
8 Is there a second to the recommendation?

9 MEMBER LIETO: Second.

10 CHAIRMAN MALMUD: It has been seconded.  
11 All in -- any discussion?

12 (No response.)

13 All in favor?

14 (Chorus of ayes.)

15 Any opposed?

16 (No response.)

17 Any abstentions?

18 (No response.)

19 It carries unanimously. Oh. Dr.  
20 Williamson?

21 MEMBER WILLIAMSON: Sorry. I was not  
22 physically present to hear the motion.

23 CHAIRMAN MALMUD: Oh, you're abstaining?

24 MEMBER WILLIAMSON: Yes.

25 CHAIRMAN MALMUD: Thank you. For the

1 record, Dr. Williamson abstains because he was absent  
2 when the motion was discussed. Otherwise, there was  
3 unanimity.

4 Thank you.

5 Dr. Howe?

6 DR. HOWE: Okay. The next one is in the  
7 definitions of 35.2, and you'll find this is another  
8 theme in here. It's the greater than or equal to, and  
9 whether we have an equal sign. We have defined a  
10 medium dose rate after loader in relationship to  
11 12 gray, and the high dose rate, but in neither case  
12 do we have one of them equal to 12 gray. And so we're  
13 recommending that we add the equal to for the medium  
14 dose rate remote after loader.

15 CHAIRMAN MALMUD: Would someone care to  
16 make that a motion?

17 MEMBER WILLIAMSON: So moved.

18 CHAIRMAN MALMUD: We have a motion. Let's  
19 second the motion, if someone would.

20 MEMBER VETTER: Second.

21 CHAIRMAN MALMUD: Dr. Vetter seconds the  
22 motion. Now it's open for discussion. Dr. Nag.

23 MEMBER NAG: Sure. Just a practical  
24 problem. I know that the ICIU defines medium dose  
25 rate as greater than two, but less than or equal to 12

1 gray per hour. However, when you are doing high dose  
2 rate or medium dose rate brachytherapy, it's difficult  
3 to say exactly what the dose rate is, because the dose  
4 rate will depend on the distance you are prescribing.

5 So for all practical purposes, most dose  
6 rate for high dose rate show high, well beyond 12 gray  
7 per hour, so practically it doesn't matter. But for  
8 the medium dose rate, that can be very tricky,  
9 because, you know, you are trying to stay equal to or  
10 less than 12 gray per hour when if you prescribe it  
11 half -- it can be in the order of, you know, two or  
12 three times more or less.

13 So that's a practical problem there. But  
14 for the definition, I have no problem looking at  
15 greater than two and less than or equal to 12. But  
16 that is for definition's sake. But for practical  
17 point of view, I think it's very difficult.

18 CHAIRMAN MALMUD: Thank you, Dr. Nag. So  
19 you're in agreement with the motion, but explaining  
20 why you are concerned about it.

21 MEMBER NAG: Yes.

22 CHAIRMAN MALMUD: Thank you.

23 Mr. Bailey.

24 MEMBER BAILEY: I would like to offer just  
25 a friendly amendment that after the two gray,

1       parentheses 200 rads, that "per hour" be added.

2                   CHAIRMAN MALMUD:   Yes, I understand what  
3       you're saying.   For consistency --

4                   DR. HOWE:    Yes, that should have "per  
5       hour."

6                   CHAIRMAN MALMUD:   -- that the two words  
7       "per hour" be inserted.

8                   DR. HOWE:    That's a typo.

9                   CHAIRMAN MALMUD:    Dr. Howe indicates  
10       that's a typographical error. And will the motion and  
11       the seconder to the motion accept the typographical  
12       error correction?

13                   MEMBER WILLIAMSON:   Yes.

14                   MEMBER VETTER:   Yes.

15                   CHAIRMAN MALMUD:   Any further discussion  
16       of the issue?

17                   (No response.)

18                   All in favor?

19                   (Chorus of ayes.)

20                   Any opposed?

21                   (No response.)

22                   Any abstentions?

23                   (No response.)

24                   It carries unanimously.   Thank you, Dr.

25       Howe.   Move on.   You're two for two so far.

1 DR. HOWE: Okay. This is one of a  
2 clarification. In 35.12(d), which is emerging  
3 technologies, licensees are required to meet the  
4 requirements in 35.12(b) and (c), and (b) and (c) talk  
5 about submitting applications using a Form 313, and  
6 also providing -- and it goes into providing the  
7 training and experience in the site diagram, etcetera,  
8 in (b).

9 But when you go to (c), it just says you  
10 can also submit the information -- you can submit a  
11 letter. So it does not make it clear that you need to  
12 -- if you're applying for a new amendment to use an  
13 emergent technology that you need to submit  
14 essentially the same information whether you use a  
15 313(a) form or you use a letter format. And so this  
16 would be to clarify that the same information is  
17 needed regardless of the format that you use.

18 CHAIRMAN MALMUD: Is there a motion?

19 MEMBER EGGLI: So moved.

20 CHAIRMAN MALMUD: Is there a second to the  
21 motion?

22 MEMBER VETTER: Second.

23 CHAIRMAN MALMUD: The motion has been  
24 moved and seconded. Any further discussion?

25 MEMBER VETTER: Yes. I'm not real clear.



1 I mean, it seems like such a minor thing, but if you  
2 think it's necessary, then I would support it.

3 DR. HOWE: It just clarifies that the --  
4 the minimum information needed.

5 CHAIRMAN MALMUD: Dr. Miller.

6 DR. MILLER: If you will permit me, Mr.  
7 Chairman, I would like to ask a question of my staff.

8 (Laughter.)

9 CHAIRMAN MALMUD: Please do.

10 DR. MILLER: Donna-Beth, is this of the  
11 nature that we can't handle this through guidance,  
12 that it would require a regulation change?

13 DR. HOWE: It would, because if you're  
14 submitting a letter, then they will go back and say,  
15 "Well, I don't need to provide the information that is  
16 clearly delineated up in (b)." We can also talk about  
17 if we ever do get to rulemaking, it can be thoroughly  
18 vetted and discussed then, too.

19 DR. MILLER: Okay.

20 DR. HOWE: Ralph?

21 CHAIRMAN MALMUD: We have a motion, moved  
22 and seconded. All in favor?

23 (Chorus of ayes.)

24 Any opposed?

25 (No response.)

1 It's unanimous again, Dr. Howe.

2 DR. HOWE: No, I'm not sure it's  
3 unanimous. There are hands being raised.

4 CHAIRMAN MALMUD: I didn't see the hand.

5 DR. HOWE: Ralph has been raising his  
6 hand.

7 CHAIRMAN MALMUD: Ralph? All right.

8 MEMBER LIETO: I am still unclear as to  
9 what we're trying to fix here. And when you're -- is  
10 it the form of what -- that the information has to be  
11 submitted on? Or would --

12 DR. HOWE: No, it's the content of the  
13 information. When you look at 35.12(b), it says you  
14 use Form 313, and you provide the following  
15 information. And it makes it clear, some key pieces  
16 of information that are needed. When you go to  
17 35.12(c), it says that when you're submitting an  
18 application for an amendment or a renewal you can use  
19 a letter, and it doesn't really address the  
20 information that may be required for the emerging  
21 technology.

22 And so we're just making it clear that the  
23 information that is needed for the emerging technology  
24 is needed whether you submit a 313 or you submit, at  
25 time of renewal or amendment, a letter.

1 CHAIRMAN MALMUD: Does that answer your  
2 question, Mr. Lieto?

3 MEMBER LIETO: I guess I don't see what  
4 the difference is there, but I guess if -- I'm  
5 assuming that this has created a problem with the  
6 regions in terms of what's being submitted.

7 DR. HOWE: Yes, and developing the  
8 guidance.

9 MEMBER LIETO: All right.

10 DR. HOWE: And keep in mind that we are  
11 not making these rule changes at this point. If we  
12 get an opportunity, when they rise up to a level to go  
13 to rulemaking, you will see this more extensively.

14 CHAIRMAN MALMUD: Mr. Bailey, do you want  
15 to comment?

16 MEMBER BAILEY: Yes. Doesn't the same  
17 problem exist if you use the application form?

18 DR. HOWE: No, because you have the  
19 additional text in there that indicates the  
20 information that's provided.

21 MEMBER BAILEY: But --

22 DR. HOWE: But you don't have that text in  
23 (c) .

24 MEMBER BAILEY: But to me, it's just one  
25 of them saying "submit this form or submit the

1 letter." Neither one of those statements says "and  
2 submitting procedure dah, dah, dah, dah."

3 DR. HOWE: You include the training and  
4 experience up in (b), and you've got the site diagram  
5 and you've got the equipment I think up in (b) also.  
6 I don't have my regulations in front of me right now.

7 MEMBER BAILEY: That's what I'm looking  
8 at. (b) says file the original and one copy of 313  
9 and submitting procedures required, so and so.  
10 (c) says request an amendment, submitting an original  
11 and one copy of Form 313 or a letter. So it would  
12 seem that if the letter lacks the specificity of  
13 requiring those additional things, then so does the  
14 Form 313, because 313 and procedures are not the same  
15 as --

16 DR. HOWE: If you look at (b), it says an  
17 application for a license is made by filing the  
18 original and one copy of 313(a). That includes and  
19 it's that text --

20 MEMBER BAILEY: No.

21 DR. HOWE: -- that that includes that --

22 MEMBER BAILEY: No.

23 DR. HOWE: -- I'm trying to make sure is  
24 clear is also needed when you submit a letter.

25 MEMBER BAILEY: But I agree that if you

1 take (1) and (2), if you take (b), you've got both of  
2 them included. You've got procedures and you've got  
3 the form. But when you go to (c), it doesn't say the  
4 form and the procedures. It says the form or a  
5 letter. So both of them --

6 DR. HOWE: The problem is that the letter  
7 does not necessarily include the information that's in  
8 the text up in (b) (1) and (b) (2).

9 MEMBER WILLIAMSON: I believe Mr. Bailey  
10 is correct that I don't see that there's any  
11 implication that it -- if you want to do Part C, any  
12 of the requirements in Part B apply to Part C. There  
13 is no requirement that says you have to put all of  
14 that information in the Form 313. So I think you  
15 should take the whole thing back to your General  
16 Counsel and come up with a better fix.

17 DR. HOWE: You know, my point is a fix a  
18 needed. That may not be the best fix, but a fix is  
19 needed.

20 Dr. Malmud.

21 CHAIRMAN MALMUD: The motion has passed.

22 DR. HOWE: Did we have a record of how  
23 many were for and how many were against?

24 MEMBER WILLIAMSON: I retract my pass.

25 CHAIRMAN MALMUD: Ralph?

1                   MEMBER LIETO: I guess there wasn't really  
2                   any discussion on it. I mean, it was basically the  
3                   motion was made and then the vote, because my hand was  
4                   raised from the get-go. So, and I'm only the one that  
5                   had a -- you know, an opportunity to discuss this.  
6                   And I think ever since I started there has been more  
7                   objection.

8                   So I guess, how can you vote before you've  
9                   had the discussion? As a point of Roberts Rules or  
10                  whatever, the parliamentarian.

11                 CHAIRMAN MALMUD: Was it your motion,  
12                 Ralph?

13                 MEMBER EGGLI: It was actually my motion.

14                 CHAIRMAN MALMUD: Oh, it's that arm again.

15                 MEMBER EGGLI: Yes.

16                 (Laughter.)

17                 CHAIRMAN MALMUD: Dr. Eggli, it was your  
18                 motion. Do you care to withdraw the motion?

19                 MEMBER EGGLI: Given the discussion, I'll  
20                 withdraw it.

21                 CHAIRMAN MALMUD: Thank you. Who seconded  
22                 your motion?

23                 MEMBER EGGLI: I have no idea.

24                 MEMBER WILLIAMSON: I believe I did, and  
25                 I agree with --

1 (Laughter.)

2 DR. HOWE: So we'll table this one.

3 CHAIRMAN MALMUD: Yes. You may want to  
4 review that, and then bring it back after you've had  
5 a chance to review it.

6 DR. HOWE: Okay.

7 CHAIRMAN MALMUD: All right. Oh, Dr.  
8 Miller.

9 DR. MILLER: I guess I would like to maybe  
10 reconsider how the motion can get made. Donna-Beth  
11 has offered that this part needs some kind of fixing,  
12 such that we get the information that we need or by  
13 letter or on the form. Is that a fair assessment?

14 DR. HOWE: That's correct.

15 DR. MILLER: So could there perhaps be a  
16 motion of agreement from the committee that we need to  
17 go look at that and fix it?

18 CHAIRMAN MALMUD: May we have such a  
19 motion?

20 MEMBER BAILEY: So moved.

21 CHAIRMAN MALMUD: Mr. Bailey makes the  
22 motion. Is there a second to the motion?

23 MEMBER WILLIAMSON: Second.

24 CHAIRMAN MALMUD: Dr. Williamson seconds  
25 the motion. Any further discussion of this motion?

1 (No response.)

2 Hearing none, all in favor?

3 (Chorus of ayes.)

4 Any opposed?

5 (No response.)

6 Any abstentions?

7 (No response.)

8 It carries unanimously. Dr. Howe, you're  
9 three for three now.

10 (Laughter.)

11 DR. HOWE: Moving right along, it came to  
12 our attention that in the definition sections -- a  
13 member of the public is standing up. On this one. It  
14 came to our attention that in the definition sections  
15 we have a standard format for how to identify an  
16 authorized user, an authorized medical physicist, an  
17 authorized nuclear pharmacist. And in each case once  
18 you are board certified or you are listed on the  
19 license, you are automatically an authorized user,  
20 nuclear pharmacist, medical physicist, and can use the  
21 notification process to begin working before you have  
22 to submit information to the NRC.

23 And what we realized is that the RSO is a  
24 different animal. You cannot have an RSO work before  
25 you notify the NRC and get the RSO added to the



1 license. You can have a temporary RSO under the  
2 provisions of notification, and there are other  
3 requirements for this.

4 So what we're proposing is that we revise  
5 the definition to the RSO to really describe what --  
6 who is an RSO, and the RSO essentially is someone who  
7 meets the requirements of being grandfathered as an  
8 RSO, or meets the training and experience  
9 requirements, regardless of whether it's board  
10 certification or the alternate pathway, and is  
11 identified as an RSO on any of the instruments listed  
12 below. And that's who an RSO is.

13 So this definition is different because  
14 this is a case where the individual has to be approved  
15 by the NRC before they're put on a license, and you do  
16 not use the notification process for an RSO. But you  
17 can have a temporary RSO under 35.26, and so this is  
18 not negating that.

19 CHAIRMAN MALMUD: Dr. Williamson?

20 MEMBER WILLIAMSON: Is the major change to  
21 replace an "or" with an "and" so --

22 DR. HOWE: That's correct.

23 MEMBER WILLIAMSON: -- it's meeting all of  
24 these requirements and being identified on a license?

25 DR. HOWE: That's correct.

1 CHAIRMAN MALMUD: I believe we have a  
2 comment from a member of the public.

3 MS. FAIROBENT: Lynne Fairobent, AAPM.  
4 Donna-Beth, could you clarify for me, because it's not  
5 in this change, but when I read the complete slide, I  
6 have a question, because when we look at what is --  
7 follows text-wise under sub-item (2) it says  
8 identified as an RSO on first case, a specific medical  
9 use license issued by the Commission or the agreement  
10 state or a medical use permit issued by a Commissioner  
11 master material licensee.

12 Aren't we missing broad scope license?

13 DR. HOWE: No, because the broad scope  
14 license is a specific license issued by the NRC. It's  
15 either a limited specific or a broad scope, and we did  
16 not use the -- either one of those designations. So  
17 the original terminology in Part 1 covers both the  
18 broad scope and the limited specific.

19 I'm pulling up the definition now. "A  
20 specific medical use license issued." Now, if it was  
21 a limited specific, it would be a specific medical use  
22 license of limited scope. If it was a broad scope, it  
23 would be a specific medical use license of broad  
24 scope. And by not putting "of limited scope" or "of  
25 broad scope," then you mean all NRC medical use

1 licenses.

2 So you have captured your broad scope RSO  
3 in addition to your limited specific.

4 MS. FAIROBENT: Okay. I just -- in  
5 reading it today, it was not clear that broad scope  
6 was even covered in this definition. So I'm not so --  
7 do we define -- because I don't have my regs with us  
8 -- do we define a specific medical use license further  
9 in the definitions to both mean limited scope and  
10 broad scope? I just think there could be potential  
11 confusions. That's all.

12 DR. HOWE: No, we do not. But it is a  
13 term of art to have to write it all out if you're  
14 meeting limited or you write it all out if you meet  
15 broad scope.

16 MS. FAIROBENT: My only concern was that  
17 with 30 years working, since starting with NRC in '77,  
18 when I read this today "broad scope" did not appear in  
19 my mind at all to be included in this definition.

20 DR. HOWE: Okay. We can make that clear.

21 CHAIRMAN MALMUD: Lynne, is your concern  
22 satisfied?

23 MS. FAIROBENT: Yes.

24 CHAIRMAN MALMUD: Thank you. The answer  
25 was yes. Thank you.

1                   MEMBER BAILEY: May I? My concern is why  
2                   this definition is expanded over the definition we see  
3                   in other parts of the regulation, which in general  
4                   says that a radiation safety officer means an  
5                   individual with responsibility for the overall  
6                   radiation safety program at the facility.

7                   And I -- I'm not sure why that definition  
8                   needs to be broadened to be so specific for this part  
9                   of the regs as compared to other parts of the regs.  
10                  What has happened is what the radiation safety officer  
11                  is is defined as what document they are listed on,  
12                  rather than what their responsibilities are, what  
13                  their job is.

14                  DR. HOWE: I think it's more of an issue  
15                  of defining how the individual is a radiation safety  
16                  officer, not his task. And it's kind of a parallel  
17                  construction to who an authorized user is, and who is  
18                  a medical physicist.

19                  I toyed with the idea of defining the RSO  
20                  based on this task, and I'm not sure I wanted to go  
21                  there because I wasn't clear we could get all of the  
22                  tasks listed, and then someone would come up and say  
23                  it's not complete. But this identifies an individual,  
24                  so this is the person who carries this title as  
25                  opposed to saying this is what his tasks are.

1 CHAIRMAN MALMUD: Please.

2 MEMBER BAILEY: To me it is putting  
3 requirements in definitions, rather than describing  
4 what the individual is as is done in all of the other  
5 sections, which says they are responsible for the  
6 radiation safety program.

7 DR. HOWE: But this also --

8 MEMBER BAILEY: As opposed to putting it  
9 here, what the requirement is to be a radiation safety  
10 officer. A requirement in a definition to me is  
11 always a little funny.

12 DR. HOWE: I think one of the other things  
13 which I didn't mention just a few minutes ago is it  
14 clarifies who a radiation safety officer is, the  
15 individual, so that when you get to the question of a  
16 preceptor radiation safety officer you can identify  
17 the individual that meets those -- that is that. And  
18 it's the same as an authorized user.

19 We do not give the task of an authorized  
20 user. We define the requirements that are needed to  
21 be the authorized user in the definitions.

22 CHAIRMAN MALMUD: Dr. Nag.

23 MEMBER NAG: Yes. I guess one thing, that  
24 we are not setting ourselves up for a catch 22  
25 situation, because you are saying that the radiation

1 safety officer is someone who meets these  
2 requirements, and it already identifies your RSO in  
3 the license.

4 Now, to be on the license as the RSO you  
5 must first be an RSO. But then, I mean, it -- it's  
6 like a catch 22 situation to me. Maybe I am not  
7 getting things correctly. But, you know, you have to  
8 be an RSO or be identified to be an RSO. That's like  
9 a catch 22 situation.

10 CHAIRMAN MALMUD: Dr. Williamson.

11 MEMBER WILLIAMSON: Well, I actually think  
12 that the language manages to avoid the paradox of  
13 self-reference, but it's close. I would like to  
14 comment on what Dr. Bailey -- Mr. Bailey has just  
15 said. I mean, he has raised a fundamental concern  
16 about the entire structure of the definitions of these  
17 categories.

18 So my question to him is: how is the  
19 suggested state regulations structured along this  
20 line? And do they provide a different alternative?

21 DR. HOWE: They are identical.

22 MEMBER BAILEY: May I respond to that?

23 CHAIRMAN MALMUD: Please do.

24 MEMBER BAILEY: I'll have to admit total  
25 ignorance on how this specifically is done. But what

1 I would have bet is since the suggested state regs  
2 have to have the concurrence of NRC before we publish  
3 them, there would be a push to make them. Right now,  
4 RSO is defined as it is for well logging and  
5 irradiators, and so forth, talking about the  
6 individual responsible for the radiation safety  
7 program.

8 And I might add, to get around the problem  
9 of the preceptor, the RSO is named on each of these  
10 licenses. So it is an individual. It's not some  
11 mystical person who does or does not have these  
12 qualifications.

13 CHAIRMAN MALMUD: Dr. Williamson?

14 MEMBER WILLIAMSON: Yes. I would just,  
15 then, add as a final comment on the matter, I don't  
16 think it's completely inappropriate to define a group  
17 of persons in terms of their qualifications, you know,  
18 as opposed to their essential job. So I think, you  
19 know, one could define an architect not based on what  
20 an architect does but on the required educational and  
21 licensure credentials if one wanted to, and thereby  
22 define a pool of people.

23 CHAIRMAN MALMUD: Thank you.

24 I'm not certain I understood what the  
25 purpose of your statement, though, was. Are you

1 supportive or not supportive of --

2 MEMBER WILLIAMSON: I think in the end I  
3 am not supportive of Mr. Bailey's concern, and I am  
4 supporting the -- my comment was intended to support  
5 the way the regulations or definitions are structured  
6 currently in Part 35.

7 CHAIRMAN MALMUD: Thank you, Dr.  
8 Williamson, for clarifying that for me at least.

9 So, therefore, we have a motion to -- Dr.  
10 Vetter.

11 MEMBER VETTER: Just as the RSO  
12 representative, I just wanted to go on record as  
13 supporting the proposed change.

14 CHAIRMAN MALMUD: Thank you, Dr. Vetter.

15 And will you be willing to make the  
16 motion, therefore?

17 MEMBER VETTER: So move.

18 CHAIRMAN MALMUD: Dr. Vetter makes the  
19 motion. Is there a second to the motion?

20 MEMBER LIETO: Second.

21 CHAIRMAN MALMUD: It was seconded by Mr.  
22 Lieto.

23 (Laughter.)

24 Is there any further discussion?

25 (No response.)



1 If not, all in favor?

2 (Chorus of ayes.)

3 Any opposed?

4 (No response.)

5 Any abstentions?

6 (No response.)

7 We have two opposed? Thank you. It  
8 carries.

9 DR. HOWE: Okay. The next one is also  
10 concerning the radiation safety officer, and Dr. Zelac  
11 yesterday explained a change that we made to the  
12 criteria for a radiation safety officer that added  
13 (c)(2) into the preceptor attestation. And what we  
14 found is that we have a number of licensees who are  
15 new licensees, and they are new authorized users, or  
16 we have licensees that want to put a new medical  
17 physicist or a new RSO on a license.

18 And in order to come through the (c)(2)  
19 pathway, the person already has to be identified on  
20 the licensee's license. And so, therefore, if it's a  
21 new person, they aren't identified on the license. So  
22 the licensee cannot apply at one point to have the new  
23 person on the license and also become the RSO.

24 And so we're trying to look at, is there  
25 a way to solve this problem, and you have to keep in

1 mind what the preceptor RSO can attest to. He can't  
2 attest that the person is on the license, because  
3 they're not on the license yet. And it would only be  
4 on the licensee's license, so it couldn't be somebody  
5 coming over from another license.

6 So we're recommending that the attestation  
7 be identified, that (c)(2) be changed to something --  
8 and this is just a first approximation -- will be  
9 identified as an authorized user, authorized medical  
10 physicist, or a nuclear pharmacist on the licensee's  
11 license.

12 So that means you could have a new person  
13 coming in, you could have a person coming from another  
14 license. And then, we still have the part of the  
15 attestation that has experience with radiation safety  
16 aspects of similar types of use, byproduct material  
17 for which the individual has radiation safety officer  
18 responsibility. We could say "will have radiation  
19 safety officer responsibility." And the other  
20 attestations will stay the same.

21 CHAIRMAN MALMUD: Thank you, Dr. Howe.

22 Is there a motion to support this change?

23 MEMBER VETTER: So move.

24 CHAIRMAN MALMUD: Dr. Vetter makes the  
25 motion. Who seconds it?

1 MEMBER NAG: Second.

2 CHAIRMAN MALMUD: Dr. Nag seconds it. Any  
3 discussion?

4 (No response.)

5 Hearing no discussion, all in favor?

6 (Chorus of ayes.)

7 Any opposed?

8 (No response.)

9 Any abstentions?

10 (No response.)

11 It carries unanimously. Thank you.

12 Dr. Howe?

13 DR. HOWE: Okay, in 35.65 we essentially  
14 have authorization that any medical use licensee  
15 without providing additional information can possess  
16 calibration transmission and reference sources if each  
17 source is below a certain activity. Well, we have a  
18 manufacturer out there that wants to use an array of  
19 these sources, like 28 of them. Each one of them is  
20 right at the level of this authorization and we  
21 believe that this is not what was meant by this  
22 particular regulation, so we're recommending that we  
23 address the issue of aggregates. And that the  
24 automatic authorization, 35.65 should be for the  
25 activity level, whether it's an individual source or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       you have an aggregate of sources in one particular  
2       device or place and so that's the recommended change.  
3       And if it's not an aggregate -- if it goes beyond the  
4       aggregate then it would be listed on the license.

5               CHAIRMAN MALMUD:   Mr. Lieto.

6               MEMBER LIETO:    Thank you.   I have some  
7       real strong difficulties with this because this  
8       aggregate of 30 millicuries for sealed sources would  
9       create some significant problems with cobalt 57 flood  
10      sources.  They're in the range of 15 to 20 millicuries  
11      each and I know hospitals have multiples of this, not  
12      to include -- you know, also including your dose  
13      calibrator sources and so forth.  So I could see  
14      easily, you know, exceeding -- or this aggregate being  
15      a problem.

16              DR. HOWE:  In that particular case, we --  
17      I think the solution is to improve the wording of the  
18      aggregate because in this case we're talking about  
19      using all of the sources at one time, not having all  
20      of the sources at your facility but using them in a  
21      device, like this device that has 28 of these sources.

22              MEMBER LIETO:  I understand your concern  
23      with the single source but I think what you're trying  
24      to do is you're trying to look at one problem an  
25      solving that issue but the effect that you're going to

1 have on everybody, I think, is going to be to the  
2 negative and so I guess I would like to suggest that  
3 this -- that staff take this back and revisit this.

4 CHAIRMAN MALMUD: This is Malmud. I have  
5 a question for both Mr. Lieto and Dr. Howe. Would  
6 both parties be satisfied if the word "simultaneously"  
7 were inserted in the last sentence on that slide "when  
8 used simultaneously as an aggregate"? That would take  
9 care of the --

10 DR. HOWE: That would certainly -- that  
11 would be a good description of what we're trying to  
12 get to.

13 CHAIRMAN MALMUD: And that would also not  
14 create the problem that Mr. Lieto is referring to,  
15 since it would not use all the cobalt sources  
16 simultaneously.

17 MEMBER LIETO: I'm just trying to think if  
18 this would be a -- what problems this might create for  
19 like uniformity correction sources and which are  
20 larger activities.

21 DR. HOWE: And I'll remind you that --

22 MEMBER LIETO: But I guess that would be  
23 a licensing --

24 DR. HOWE: -- if we do go to rulemaking,  
25 you will see it again and you'll have plenty of time

1 to discuss and change wording.

2 CHAIRMAN MALMUD: Dr. Vetter wishes to  
3 make a motion.

4 MEMBER VETTER: I move that the committee  
5 support this change with the word "simultaneously"  
6 inserted as Dr. Malmud suggested, so "when used  
7 simultaneously as an aggregate.

8 CHAIRMAN MALMUD: I'll second the motion  
9 to bring it to discussion.

10 MEMBER NAG: One question.

11 CHAIRMAN MALMUD: Dr. Nag.

12 MEMBER NAG: If it is required that you do  
13 need to use several sources in aggregate, how could  
14 you do that under this rule? Do you have to ask for  
15 special permission or what?

16 DR. HOWE: You would include it in your  
17 application that you were going to use -- say in this  
18 example, you were going to use an array of 28 sources,  
19 and then we would list that on the license as a  
20 separate line element. We are not prohibiting you  
21 from using but we are considering that now to be one  
22 device or one source.

23 CHAIRMAN MALMUD: Thank you. Any further  
24 discussion of this item? All in favor? Any opposed?  
25 Any abstentions? It carries unanimous. We have one

1 abstention, otherwise carries unanimously. Thank you,  
2 Dr. Howe. We have a question from Mr. Essig.

3 DR. HOWE: Mr. Essig, yes.

4 MR. ESSIG: Just a point regarding the  
5 schedule. It's now 10:00 o'clock. We're on item --  
6 we've just completed Item Number 6 of 17. I would  
7 suggest that this discussion would take quite a bit  
8 longer than the time that we've allocated for it. We  
9 have already planned a committee conference call, a  
10 noticed conference call to discuss the bylaws. My  
11 suggestion would be that we, at some point, take  
12 either at the halfway point or now, take the rest of  
13 these and include them in the conference call so that  
14 we can stay reasonably close to the agenda because we  
15 do need to be out of there by noon. And I want to  
16 make sure that we have -- the committee has adequate  
17 time to focus on Mr. Lieto's presentation. Just a  
18 suggestion.

19 CHAIRMAN MALMUD: Those who would  
20 participate in the conference call would each have  
21 these printouts already in the book.

22 MEMBER VETTER: Yes.

23 CHAIRMAN MALMUD: So that would be an  
24 efficient way of dealing with it if that's acceptable  
25 to Dr. Howe.

1 DR. HOWE: I would recommend that we  
2 finish number 7 and that could be the cutoff point.

3 MEMBER VETTER: Okay.

4 MALE PARTICIPANT: I would recommend that  
5 we stop now so that we can have our break and get some  
6 coffee.

7 DR. HOWE: Well, we really have an extreme  
8 interest in this and if we have the ability to go  
9 forward with anything, this is the one we'd want to go  
10 forward with.

11 CHAIRMAN MALMUD: Let's finish with Item  
12 number 7 and then we'll take a coffee break.

13 MEMBER EGGLI: Dr. Malmud, as a technical  
14 request could we get these slides in a different  
15 format because in the format we have, we cannot see  
16 the yellow which represents the changes to review. So  
17 if we could get these slides sent to us in maybe a  
18 pure black and white format with the changes bolded or  
19 something so that in the format that we have we can  
20 actually see the changes?

21 DR. HOWE: Now I also want to point out  
22 the behind your slides, you have the more detailed  
23 description of the problem for each and the  
24 recommended change. So the slides are kind of -- but  
25 we can also give you new slides.



1                   MEMBER EGGLI: That's fine. On the lazy  
2 side to see it quickly, it's nice to have the slides.

3                   MEMBER LIETO: But it is a fair request  
4 and we can certainly accommodate that, but as Dr. Howe  
5 points out, there is the detail that follows the  
6 slide.

7                   CHAIRMAN MALMUD: Dr. Eggli, I will ask  
8 Mr. Saba to e-mail these as an attachment to the memo  
9 regarding the meeting in a format that would be the  
10 one that you suggested. And let's move on with item  
11 number 7 to completion, Dr. Howe.

12                  DR. HOWE: Item number 7 is another one of  
13 these less than or equal tos. When they revised the  
14 rule in 2002, they upped the days to 120 but they said  
15 less than 120. It didn't say less than or equal to.  
16 It ends up we have a standard license condition for  
17 all other licensees, gauges, industrial, research  
18 development, everybody else that we put less than or  
19 equal to 120 days for holding for waste into storage.  
20 We tried to get this in under an administrative change  
21 this year and OGC believed this was a significant  
22 change and will have to -- and could not be done  
23 during the administrative change. So the change  
24 appears trivial.

25                  CHAIRMAN MALMUD: Your recommendation

1 would make this consistent with the other regulations.

2 DR. HOWE: That's correct.

3 CHAIRMAN MALMUD: In the interest of  
4 consistency, do we have a motion?

5 MEMBER BAILEY: I move.

6 MEMBER VETTER: Second.

7 CHAIRMAN MALMUD: Mr. Bailey makes the  
8 motion, seconded by Dr. Vetter. Any further  
9 discussion?

10 MEMBER NAG: One question. Is there any  
11 radio isotope that has a half life of exactly 120  
12 days?

13 DR. HOWE: Selenium, yes, and it's a  
14 medical use one. We did check into that. Okay, then  
15 I complete my --

16 CHAIRMAN MALMUD: All in favor of the  
17 motion? Opposed, any abstentions? It carries  
18 unanimously, Dr. Howe.

19 DR. HOWE: Okay.

20 CHAIRMAN MALMUD: We will now take a break  
21 for coffee. Dr. Williamson (inaudible) We'll return  
22 in 10 minutes.

23 (Whereupon, a short recess was taken.)

24 CHAIRMAN MALMUD: We have a briefing  
25 presentation with first some introductory remarks by

1 Mr. Essig. Mr Essig?

2 MR. ESSIG: Thank you, Mr. Chairman. We  
3 are going to be in a few minutes walking through Mr.  
4 Lieto's slides and at that time solicit the views of  
5 the committee members as to what changes they would  
6 like to see since Ralph will be representing the  
7 committee as a whole. I wanted to give just a little  
8 context for this. On May 15<sup>th</sup>, starting at 1:00 p.m.  
9 there will be a Commission briefing on the status of  
10 the implementation of the Energy Policy Act of 2005.

11 It's a public meeting, again, May 15<sup>th</sup>.  
12 It's a Monday in the afternoon and there will be two  
13 panels at the meeting. The first panel will be  
14 talking about the -- basically three sections of the  
15 statute, Section 651E, which is the one that's of most  
16 interest to this committee because it focuses on the  
17 accelerator produced and the other by-product material  
18 that we now regulate, and then Section 656 which is  
19 titled "The Secure Transfer of Nuclear Materials, and  
20 then Section 652 which relates to fingerprinting and  
21 a criminal history record. Those latter two sections,  
22 I don't believe, are of particular interest to this  
23 committee. So Mr Lieto will focus on Section 651 of  
24 the -- 651E of the Act when it comes time for his  
25 presentation.

1                   So the Panel 1 will be NRC staff. They  
2 will do a presentation starting at 1:00 p.m. and then  
3 there will be questions and answers from the  
4 Commissioners and then there will be a break, and then  
5 Panel 2, which consists of stakeholders, as follows;  
6 the Organization of Agreement States, the Conference  
7 of Radiation Control Program Directors, and the  
8 Council on Radionuclides and Radiopharmaceuticals, Mr.  
9 Roy Brown whom we heard from yesterday and the --

10                   The process of getting Mr. Lieto to where  
11 he is now entailed our revisiting the question of the  
12 prior meeting in November where we had a public  
13 workshop and we -- for that particular occasion, the  
14 committee chose Ms. Sally Schwarz and Mr. Ralph Lieto  
15 to represent the committee. At this juncture we have  
16 only a spot for one and so when I conversed with DR.  
17 Malmud prior to this meeting trying to decide which of  
18 the two -- first of all, we agreed that it probably  
19 should be the two for continuity purposes. And if we  
20 couldn't support two, which it turns out we can't,  
21 then which one should it be and the broader issue  
22 that's before the committee, DR. Malmud had suggested  
23 for the broader issue probably we should go with Mr.  
24 Lieto. If it was narrowly focused more on PET, that  
25 we should go with Sally Schwarz. So that's kind of

1       how we got where we are.

2                   And so Ralph has 10 minutes and it will be  
3       -- no for the slides on the Commission meeting on the  
4       15<sup>th</sup> and that we must strictly adhere to. So if the  
5       committee feels that the volume of material that Ralph  
6       has put together in draft form cannot be presented by  
7       him in 10 minutes, you know, moving at a reasonable  
8       pace, then we need to provide comments on areas that  
9       can be trimmed because he probably has, just my quick  
10      read of it, there may be too much there for 10  
11      minutes, but we'll let you come to your own conclusion  
12      and offer any necessary changes.

13                  So with that, I'll turn back to the chair  
14      or to Ralph.

15                  MEMBER LIETO: Thank you. Can everybody  
16      hear me? As Tom pointed out, all this really kind of  
17      took place since the latter part of last week and so  
18      since then, I tried to put together some slides that  
19      would be presented to the Commission as Tom said,  
20      within the very narrow scope of time of 10 minutes.  
21      So basically, kind of figuring out that I probably  
22      would not have more than four slides and if you figure  
23      in the manner of presentation that's required for the  
24      format, maybe at max three bullets per slide to  
25      discuss.

1           And so a lot of this has been put together  
2           based on some discussions that I had with Tom and  
3           staff in our teleconference. A lot of it is also  
4           based on the summary report of comments on the  
5           proposed rule that Sally Schwarz put together and  
6           submitted to staff in, I believe, it as late January  
7           regarding the proposed rules that we had in terms of  
8           the pre-decisional information.

9           So you should have a copy of the slides  
10          and also that report that went to staff regarding the  
11          comments on the proposed rules. So that kind of gives  
12          you a background that I kind of based things on in  
13          putting this together. So you know, a lot of credit  
14          goes to Sally also in the information that's presented  
15          here. The first two slides will deal with the  
16          accelerator produced radioactive material comments  
17          that we had, basically, endorsing the proposed  
18          categorization of the particle accelerators and  
19          agreement not to regulate the medical therapy linacs  
20          in terms of the incidental radioactivity that they  
21          would produce. This, I think, will be a tremendous  
22          benefit and well-received by the medical community.

23          That there needs to be a high  
24          compatibility across state lines. This is really  
25          critical in the areas of mobile PET, the centralized

1 radio-pharmacies, and the training and experience  
2 requirements because these are the main factors that  
3 will be involved in crossing departmental lines.  
4 There was a question and it's still a little bit  
5 unclear as to the incidental radioactivity, where  
6 that's going to be regulated at. It's sort of been  
7 agreed that the incidental radioactivity that is  
8 produced in these accelerators that are not for  
9 radionuclide production how are they going to be  
10 addressed. They seem to kind of -- should they be  
11 addressed as an exempt format or are they going to  
12 kind of fall into an orphan type of radioactivity that  
13 needs to be addressed by the licensees.

14 Now, as far as, you know, comments, I  
15 guess I'm looking for constructive criticism, so if  
16 people think that something should not be on the  
17 slide, some wording should be changed, I'm -- as I  
18 said, most of this has been put together -- well,  
19 actually, this was put together over the weekend, so  
20 it kind of gives you some idea that I'm looking for  
21 some help on this.

22 So if there are things that you don't  
23 think belong in there, things that aren't on there  
24 that should be as major points, because I'm presenting  
25 this as the committee and so I've got to believe that

1       there's got to be some concerns or issues that need to  
2       be clarified or whatever. But --

3               CHAIRMAN MALMUD: DR. Vetter.

4               MEMBER LIETO: -- the cryptic nature of  
5       the wording is mainly because of the format.

6               MEMBER VETTER: I looked real hard at this  
7       and have one major suggestion, minor, actually,  
8       changing "radiopharmacies" to "nuclear pharmacies".

9               DR. SCHWARZ: I agree with that. I also  
10      have another comment. On the incidental  
11      radioactivity, if you're speaking about the medical  
12      linac, you need to state that because otherwise it  
13      infers that you are talking about the accelerators for  
14      production purposes and -- or it's not clear. I think  
15      they are regulating the activation products from  
16      causing exposure to the personnel.

17              MEMBER LIETO: You want me to just strike  
18      that bullet all together then?

19              DR. SCHWARZ: No, I think you just need to  
20      clarify that incidental radioactivity from the medical  
21      linac. Therapy --

22              CHAIRMAN MALMUD: DR. Williamson?

23              MEMBER WILLIAMSON: I thought that the way  
24      that the regulation was structured is that that was  
25      specifically excluded from this regulation. That



1 unless the accelerator were used for producing  
2 radionuclides, that any incidental radioactivity  
3 produced by virtue of operating it for some other  
4 purpose would not fall under the purview of this  
5 regulation; is that not correct?

6 MEMBER LIETO: So --

7 DR. SCHWARZ: No.

8 MEMBER WILLIAMSON: So this needs to apply  
9 to PET and other production facilities like if you  
10 produce three radionuclides and you're trying to  
11 extract one, how do you deal with the other two? I  
12 think that would be an appropriate question.

13 MEMBER LIETO: Should I keep the other  
14 comment there about orphan and exempt or not?

15 DR. SCHWARZ: Probably not.

16 CHAIRMAN MALMUD: The recommendation is  
17 that it not be left there. Another comment?

18 DR. CHANG: Can I just make a  
19 clarification?

20 CHAIRMAN MALMUD: Yes.

21 DR. CHANG: I guess within the norm  
22 rulemaking it is not our intention to regulate the  
23 medical linacs but it is to individuals who have  
24 linacs, it is a question who will be regulating.  
25 Without our structure, we do not think we have the

1 authority since those incidental material within the  
2 linacs machines, it's not really for medical  
3 commercial research purposes. You know, so therefore,  
4 we don't feel that we have that kind of authority to  
5 regulate that. So the point that we need to make  
6 clear is that even though NRC does not feel that we  
7 have the authority to regulate that, when the linacs  
8 is in use, the state, the special agreement state they  
9 are currently regulating such instrument and also the  
10 fact that once you decided to decommission your linacs  
11 once the life is spent, 30, 40 years from now, the  
12 waste that you'd be generating it will be however,  
13 considered radioactive material. You do need to  
14 dispose of appropriate such.

15 MEMBER LIETO: Would you like to then  
16 bring this up as a point of concern, then?

17 MEMBER VETTER: Personally, I would stay  
18 away from the linacs all together. We support not  
19 regulating the linacs, period.

20 DR. SCHWARZ: I agree with that. I do  
21 have a question though. Is there going to be  
22 regulation regarding the by-products from the  
23 production, then maybe that can then be switched in  
24 terms of -- I mean, do they know how they're going to  
25 regulate that? They do?

1 CHAIRMAN MALMUD: All right, Mr. Bailey?

2 MEMBER BAILEY: I think the regs that the  
3 handed out to us do address that issue, that the waste  
4 from those would be eligible to go not only to a low  
5 level site but to a RCRA site or whatever.

6 CHAIRMAN MALMUD: If I may, Ralph, I'd  
7 make a couple of suggestions. Number 1, I would say  
8 you're speaking for ACMUI. So I would just say in  
9 bullet number 1, "ACMUI endorses the proposed  
10 categorization of accelerators". Next line, "ACMUI  
11 supports not regulating linacs". I mean, that's two  
12 very clear statements.

13 The next line, state should have a small  
14 S on it. It's not a state. The Federal Government  
15 uses a capital S on state?

16 MR. ESSIG: Yes, we do.

17 CHAIRMAN MALMUD: All right, can't argue  
18 with the Federal Government, not successfully anyway.  
19 My English teacher would not approve but she's not  
20 here today. And the other points, you have. So you  
21 actually would have four bullets, wouldn't you, four  
22 bullets. The first one is, "ACMUI endorses the  
23 proposed categorization of accelerator". Second  
24 bullet, "ACMUI supports not regulating linacs". The  
25 third one is as stands and the fourth one as stands.

1 Mr. Essig?

2 MR. ESSIG: I would offer on this slide  
3 that the first bullet, if we're going to say the  
4 proposed categorization, you're speaking to the  
5 Commission. If it's not yet been proposed to them,  
6 then they won't know what you're meaning by proposed.  
7 Proposed by whom? And Lydia, the status is it has not  
8 yet gone to the Commission?

9 DR. CHANG: It's with the Commission.

10 MR. ESSIG: It's with the Commission,  
11 okay. So then proposed would be okay. All right. I  
12 stand corrected. And I would not use the acronym TX  
13 or the abbreviation.

14 MEMBER LIETO: Spell it out?

15 MR. ESSIG: Spell it out. And lastly, I  
16 would not raise an issue in the form of a question to  
17 the Commission. I would phrase it as an issue that  
18 remains open or that -- something but make it in the  
19 form of a statement rather than a question.

20 CHAIRMAN MALMUD: DR. Williamson?

21 MEMBER WILLIAMSON: Yeah, I guess just for  
22 my not being so familiar with this process, have we  
23 disposed of the third bullet, the incidental  
24 radioactivity or is that still a serious issue that  
25 needs to be questioned?

1 DR. SCHWARZ: Sally Schwarz. I think it  
2 should probably be deleted, I mean, because it's  
3 really not part of this legislation and when we're  
4 encouraging the states to -- or at least not this  
5 legislation to regulate that radioactivity.

6 CHAIRMAN MALMUD: So the recommendation is  
7 that we delete the third bullet which begins with  
8 "incidental radioactivity". All right, do you want to  
9 go to the next slide, Ralph?

10 MEMBER LIETO: There was a question.

11 CHAIRMAN MALMUD: Who? Oh, DR. Zelac.

12 DR. ZELAC: Ron Zelac, one suggestion for  
13 the first bullet, I think it might be unnecessarily  
14 limiting to the say "medical therapy linacs", because  
15 there clearly are other accelerators that are used for  
16 medical therapy purposes, not a lot, but some. Do you  
17 get what I'm saying? I would just replace the word  
18 "linacs" with "accelerators".

19 MEMBER SULEIMAN: I would say accelerators  
20 that are not used to produce radionuclides.

21 MEMBER LIETO: Wouldn't therapy  
22 accelerators be sufficiently clear?

23 MEMBER SULEIMAN: What about non-medical?

24 MEMBER NAG: Would that include things  
25 like cyclotron that is used for (indiscernible) and so

1 on?

2 MEMBER WILLIAMSON: Yes.

3 MEMBER NAG: Would that be included in  
4 your new (indiscernible) would cyclotron be in there?

5 MEMBER WILLIAMSON: Yes, good point.

6 CHAIRMAN MALMUD: The answer to your  
7 question, Dr. Nag, was yes from Drs. Vetter and  
8 Williamson. Dr. Miller?

9 DR. MILLER: Yes, I'd like to point out on  
10 the second bullet, this is so that the committee can  
11 fully arm Ralph when he's at the table, although  
12 Ralph's presentation will be limited to 10 minutes,  
13 the Commission can take whatever time they want to ask  
14 questions. And one of the things I'd like to point  
15 out is, and I'm not whatsoever taking a position on  
16 this, is that your second bullet is calling for a high  
17 level of compatibility across straight lines. Another  
18 panel member representing OAS or CRCPD is likely to  
19 say that they would like to have a low level of  
20 compatibility given the information that they've  
21 supplied to the Commission, so recognizing that  
22 there's going to be a diverse opinion or a diverse  
23 number of views at the table, I can see the Commission  
24 challenging both parties on the basis for their views.

25 So I think he would want to be prepared to

1 be able to address that. Mr. Bailey, I knew that this  
2 would activate a question on your part.

3 MEMBER BAILEY: Yeah, I --

4 CHAIRMAN MALMUD: Mr. Bailey.

5 MEMBER BAILEY: Thank you. The difference  
6 on high level of compatibility, I don't think the  
7 states will mind most of the things you're talking  
8 about. The things we're talking about, a low level of  
9 compatibility on are sort of nuances. One of the  
10 things that came up were the definitions of by-product  
11 material. And what we've discovered is that about 30  
12 -- maybe not 30, 28 of the states would have to go  
13 back and change their legislation if NRC maintained  
14 that there had to be a high level of compatibility on  
15 the definition of by-product material. The  
16 definition that we'd normally use is radioactive  
17 material which we feel adequately encompasses all four  
18 types of by-product material that we now have.

19 So that is the sticking point with the  
20 states on the lower level of compatibility. I don't  
21 think it has anything to do with the operation of a  
22 PET facility or the distribution of PET drugs or  
23 pharmaceuticals or whatever. So that's more  
24 background information to you and I would encourage  
25 you and I will talk to the people who are going to be

1 representing those two organizations to make sure that  
2 there's an understanding of when you talk about high  
3 level of compatibility, you're talking about  
4 operationally not the nuances that have got the  
5 agreement states sort of up in arms on definitions  
6 again.

7 CHAIRMAN MALMUD: DR. Williamson?

8 MEMBER WILLIAMSON: Well, I'm speculating  
9 that actually what the ACMUI position means is  
10 probably a lot of the issues raised by the CORAR  
11 representative yesterday having to do with basically  
12 a streamlined process for introducing drugs and  
13 devices to market.

14 CHAIRMAN MALMUD: Thank you. Mr Lieto,  
15 would you like to move on?

16 MEMBER LIETO: Yes. The next points were  
17 to address some of the -- and I'll take that question  
18 mark out of there -- come from some of the comments in  
19 the report that was submitted for ACMUI to staff and  
20 earlier in the year. It has to do with the importance  
21 of maintaining availability of radiopharmaceutical  
22 production. The accelerator production methods of  
23 radioisotopes are done largely in the United States,  
24 in terms of the PET facilities, as well as the non-PET  
25 pharmaceuticals, and that that the loss of the



1 availability of a linear accelerator for this purpose  
2 may entail the loss of availability of these  
3 radiopharmaceuticals either on a local scale or on a  
4 much larger scale.

5 And so it's very important that licensees  
6 and the -- both from the production side as well as on  
7 the receipt side do not lose the availability of these  
8 radiopharmaceuticals from those methodologies. One of  
9 the other issues that was brought up is that the  
10 proposed regulations address the concept of non-  
11 commercial distribution. And that there needs to be,  
12 I think, further clarification of that, what that  
13 entails and what that allows in the -- in the  
14 regulations as well as the implementation.

15 And the third point has to do, although I  
16 know yesterday Mr. Brown indicated that  
17 decommissioning financial assurance requirements were  
18 not an issue for accelerators, although our input  
19 during the report phase to the NRC staff is that this  
20 will be a new requirement and will require the  
21 licensees in terms of production especially putting  
22 forth financial assurance for the waste disposal of  
23 the activated components and both from the machine and  
24 building and the decommissioning of these  
25 accelerators.

1 DR. SCHWARZ: Sally Schwarz. There's one  
2 point on the decommissioning financial assurance, I  
3 know Roy Brown was mentioning that it really is no  
4 longer an issue because the 11 MEV machines Siemens  
5 produces is right at the level of neutron activation,  
6 so that they're really not a problem. But there are  
7 a number of centralized pharmacies and other older  
8 facilities that have other types of machines. We have  
9 to positive ion machines. They're old, 16 MEV  
10 machines and they will definitely require this type of  
11 funding assurance, and as well the larger the GE  
12 machine is a 16 MEV machine. It's a new negative ion  
13 machine.

14 There's an ABCO machine out there.  
15 There's also higher energy, I think it's 16 or 17 MEV  
16 but again, there is one segment of the production  
17 population that will be in a reasonable area, but  
18 there are others that will have to deal with the  
19 decommissioning funding.

20 MEMBER LIETO: I have a question that  
21 maybe Jeff and DR. Vetter, some of these newer like  
22 proton facilities and so forth, would those  
23 facilities, those seem to be sort of the newer cutting  
24 edge types of accelerators. Is this going to be an  
25 issue for them as they -- in the long term in terms of

1 decommissioning?

2 MEMBER WILLIAMSON: From what I  
3 understand, since they are medical treatment  
4 accelerators, they are exempt from this regulation.  
5 Is that correct? I'll ask the staff.

6 CHAIRMAN MALMUD: DR. Vetter?

7 MEMBER VETTER: That's my understanding,  
8 too, but I --

9 MEMBER LIETO: But not the  
10 decommissioning.

11 MEMBER VETTER: Sorry?

12 MEMBER LIETO: They wouldn't be exempt  
13 from the decommissioning, right, or am I wrong? I  
14 guess I'm -- because I'm under the impression that the  
15 decommissioning applies regardless. It's just the  
16 incidental radioactivity during the use.

17 DR. CHANG: If we're talking about the  
18 accelerator, that does not produce material. We are  
19 not regulating until the waste is produced. So  
20 therefore, in my opinion, I don't think the  
21 decommissioning financial assurance will apply because  
22 that's part of the licensing.

23 MEMBER SULEIMAN: So then the  
24 decommissioning financial assurance only applies to --

25 DR. CHANG: To the production accelerator.

1 MEMBER SULEIMAN: -- the production  
2 facilities where the beam is higher than 11 MEV.

3 DR. CHANG: Correct.

4 MEMBER SULEIMAN: So it's those  
5 accelerators that Sally was talking about.

6 MEMBER LIETO: So this would be a non-  
7 issue. I should strike this bullet.

8 MEMBER SULEIMAN: I think so, unless the  
9 older facilities think this is a major issue for them.

10 DR. SCHWARZ: It will be a major  
11 consideration for older facilities. It will amount in  
12 our facility to about a million dollars in financial  
13 assurance for our two positive ion machines.

14 MEMBER VETTER: Now that is an issue  
15 because not the -- they don't have to come up with a  
16 million dollars, but they have to go to a bank and  
17 they have to pay that bank, five or \$10,000.00 a year  
18 for nothing, for a letter that they send to the  
19 regulator that says, "Yeah, we'll make sure there's  
20 money there". So it's not a trivial issue. I mean,  
21 that's a lot of money for a nuclear medicine  
22 department to come up with.

23 MEMBER LIETO: So for medical production,  
24 facilities which are largely located at medical  
25 centers, universities, research -- medical research

1 centers, this is going to be an issue. Okay, so I'll  
2 leave it in.

3 DR. SCHWARZ: Yes, because we're not -- I  
4 think we're not the only old facility out there. I'm  
5 not sure how many old facilities are non-agreement  
6 states but I know that we are not the only one.

7 CHAIRMAN MALMUD: Thank you, Dr. Schwarz.  
8 Dr. Williamson.

9 MEMBER WILLIAMSON: I would like to make  
10 a general suggestion for this and other slides, that  
11 you try to cast this as a declarative sentence. Like  
12 maintaining availability of PET radionuclides is  
13 essential. Non-commercial flexibility to distribute  
14 non-commercially is essential to -- you know, make it  
15 sort of a little more clear why these points are  
16 important and I'd say try to even on the slides,  
17 convert them to declarative sentences and I'd say,  
18 "Decommissioning financial assurance places a burden  
19 on older PET licensees that does not serve public  
20 safety". Whatever, you know, that's an example, but  
21 there's a certain vagueness to your style of  
22 presentation which I, as an observer, not having  
23 delved deeply into this, find a little frustrating.  
24 It detracts from the effectiveness of your  
25 presentation.

1                   MEMBER LIETO: Jeff, I would never want to  
2 frustrate you and the cryptic nature was sort of  
3 intentional to try to, you know, get a flavor from the  
4 committee as a whole. So I appreciate that.

5                   DR. SCHWARZ: And I do have another  
6 comment along that line. Really PET is a new  
7 progressive field. And at this point, it's very  
8 vulnerable to regulation and you know, the increased  
9 cost to maintain PET is really -- we don't want to  
10 destroy this field. It has tremendous implications  
11 for diagnostic purposes and really, as far as nuclear  
12 medicine as a whole is concerned, it really is moving  
13 toward PET. So to put regulation on board or, you  
14 know, financial assurances on board that will prevent  
15 PET from moving forward is really a travesty.

16                   I mean, it's something already the  
17 Commissioners have acknowledged that they, themselves,  
18 have had relatives, you know, who've been involved  
19 with PET studies and the actual fabulous information  
20 that's available. You know we don't want to stifle  
21 research at this point, too. We also financially are  
22 in a difficult period with NIH funding, you know, for  
23 all of our research that's ongoing. Certainly it's  
24 not just PET. But you know, at the point of life,  
25 where all this money becomes the most important issue,

1       you know, science stops moving fiord and we don't want  
2       to stifle this field. I mean, it really is very  
3       critical and a very vulnerable point.

4               CHAIRMAN MALMUD: Ralph, if I may, I would  
5       suggest that you insert a slide here which essentially  
6       summarizes that which Sally has said and I would put  
7       it in the following way if you would agree. I'd make  
8       a declarative statement that PET radiopharmaceuticals  
9       are rapidly advancing. Medicine's understanding of  
10      the diagnosis and treatment of the three most  
11      prevalent diseases in the United States, the most  
12      prevalent causes of death in the United States; number  
13      1, cardiovascular disease, number 2, cancer, number 3,  
14      stroke. Those are from the public -- those data are  
15      available publicly as well as an understanding of  
16      brain disorders including Alzheimers, Parkinsons -- or  
17      Alzheimers and movement disorders. Those are --  
18      that's a factual statement and it's very often useful  
19      to remind the Commissioners of the role that PET is  
20      taking in each of these areas.

21              Oh, I'd also include psychiatric  
22      disorders, the brain, the two plus psychiatric  
23      disorders. And there's no family that isn't touched  
24      by one of these disorders or more than one.

25              MEMBER LIETO: I'll make those points and

1 I'll expand it under the first bullet.

2 CHAIRMAN MALMUD: I would make it a  
3 separate slide, a declarative slide as an introduction  
4 to the next slide. Then I would put this slide which  
5 says that we are concerned about maintaining  
6 availability of PET radiopharmaceuticals without --  
7 without creating dis-incentives.

8 MEMBER LIETO: Okay.

9 CHAIRMAN MALMUD: Then make your other two  
10 points on this slide. But I think that the  
11 introduction is critically important because the  
12 statistics are there and the rapid advances are being  
13 made and the understanding of certain diseases would  
14 not have occurred and will not occur without the use  
15 of PET pharmaceuticals, even the newer technologies,  
16 the functional MRI are really developing because of  
17 what preceded them with PET radiopharmaceuticals and  
18 brain research. So that -- brain and heart research,  
19 so I think that the statement is worthy of being made  
20 and reiterating. Dr. Williamson.

21 MEMBER WILLIAMSON: I think that is an  
22 excellent idea on the part of our Chairman. I would  
23 not -- just remind you, don't forget the point that  
24 Sally made about financial vulnerability and this is  
25 a young and growing research oriented field. I think



1 that provides then a whole structure for these points  
2 to make some sense.

3 MEMBER LIETO: Okay.

4 DR. SCHWARZ: Excuse me, one thing there,  
5 you're non-commercial distribution, you can refer  
6 again to research purposes, you know, because this is  
7 the non-commercial distribution involved in the  
8 research development of PET and as DR. Malmud  
9 mentioned, MRI is being used also in conjunction with  
10 PET as well as CT in conjunction with PET to do the  
11 fused imaging so that they're essentially a more  
12 precise science. It's really at its beginning point,  
13 clinically.

14 CHAIRMAN MALMUD: And if I may, the reason  
15 I made the point is that this is not pie in the sky  
16 distant future. This is now. These developments are  
17 occurring now and nothing should be done to slow down  
18 the momentum of the progress. Yet, we want to phrase  
19 things positively. So we first make a statement about  
20 what's happening currently. This is real, this is not  
21 a dream. And number 2, that in creating these  
22 regulations that we strive to maintain the  
23 availability of these pharmaceuticals, these  
24 radionuclides and radiopharmaceuticals without  
25 creating -- unintentionally creating disincentives to

1 the advancement of this research. Lydia.

2 DR. CHANG: Yes, DR. Lieto, I guess what  
3 a second bullet -- within the NAM proposal, we did try  
4 to attempt to allow non-commercial distribution of  
5 medical use facilities among themselves. I think  
6 that's very important to bring it to the Commission's  
7 attention, and if the ACMUI believe it's not gone  
8 further enough, then perhaps, you should highlight the  
9 non-commercial distribution should perhaps go to  
10 include the research and development type of activity  
11 as well.

12 CHAIRMAN MALMUD: DR. Miller.

13 DR. MILLER: I'd like to amplify on what  
14 Lydia said so that Ralph is fully armed to defend your  
15 honor. The Commission's probably -- you know, when he  
16 presents that slide the Commission in their Q and A  
17 may take him to please tells us ACMUI's view regarding  
18 is the proposed regulation sufficient or does it need  
19 to be modified to address the issues that you're  
20 bringing to us today? They had this before them and  
21 that's what their focus is going to be with regard to  
22 the proposed rule.

23 MEMBER LIETO: Because one of the points  
24 under the non-commercial distribution I was going to  
25 make is that this is really a new concept that's being

1 put forth in terms of availability of  
2 radiopharmaceuticals, so -- in terms of it being  
3 recognized in the regulations. So do we feel that it  
4 -- as it's proposed, is adequate or do we think that  
5 it needs to be broadened in its scope?

6 CHAIRMAN MALMUD: You may want to make a  
7 statement which says that a number of the advances  
8 have occurred because -- as a result of the non-  
9 commercial distribution of these products. It is our  
10 belief that the momentum of that activity needs to be  
11 maintained without creating obstacles to the non-  
12 commercial distribution.

13 DR. SCHWARZ: DR. Malmud, excuse me.

14 CHAIRMAN MALMUD: Yes, Dr. Howe.

15 DR. HOWE: Dr. Schwarz was first.

16 CHAIRMAN MALMUD: Oh, I'm sorry.

17 DR. SCHWARZ: And in combination with  
18 that, that's okay, hands. If you could mention within  
19 that sentence also the fact of research, you know,  
20 essentially providing materials for research and  
21 development.

22 CHAIRMAN MALMUD: So what you'd be saying  
23 is a statement, Ralph, that the non-commercial  
24 distribution of these products has been and continues  
25 to be essential for continued -- for research and what

1 was the other term you used?

2 DR. SCHWARZ: Development.

3 CHAIRMAN MALMUD: Research and  
4 development. Again, I'd reinforce the currency of  
5 it in this cutting edge research, because it's  
6 occurring now. It's not some -- you know, in the  
7 1980s we talked about the decade of the brain would be  
8 the 1990s. And it turned out that well, it wasn't  
9 quite the 1990s but it extended into the first decade  
10 of this century and in truth, it's happening. One-  
11 third of us, if we live long enough, will develop some  
12 form of Alzheimers before we die and that's a  
13 frightening prospect both for the economy of the  
14 country as well as for the personal suffering that's  
15 engendered by families.

16 And everyone is touched by it and advances  
17 and understanding of brain physiology are really  
18 largely coming from this type of research and now  
19 function MRI. Dr. Van Decker, I didn't mean to ignore  
20 the heart. I'm sorry.

21 MEMBER VAN DECKER: No, I was just going  
22 to make the side comment, DR. Malmud, is cardiology to  
23 blame or to be thanking for having people -- for  
24 allowing people to live long enough to come -- to have  
25 to come and deal with this stuff? If you'd like,

1 we'll put this on our plate also.

2 CHAIRMAN MALMUD: Well, I would imagine  
3 that depends whether or not with the blame comes  
4 funding. DR. Suleiman.

5 MEMBER SULEIMAN: There are three things.  
6 One, you may want to have a picture of a PET scan, I  
7 don't know. Number 2, I have some data that shows, in  
8 terms of research under RDRC you know, 20, 30 years  
9 ago, a very small percentage was PET and recently as  
10 much as -- much larger, 70, 80 percent, I don't  
11 remember the numbers, is PET research. And the third  
12 thing, you can provide some of the research  
13 applications have now worked their way into the  
14 clinical, you know, a lot of the neuro-receptor brain  
15 imaging studies. You're seeing a lot of  
16 cardiovascular, cancer, a lot of applications that  
17 have gone from research, whatever. And what you  
18 really can't say is that, you know, the community has  
19 finally got FDA to smooth things along to get PET GNPs  
20 and other things, and so now the NRC is coming in with  
21 this whole new set of regulations, so we're really  
22 concerned that things don't get bogged down, you know,  
23 because I think there's been a change of attitude the  
24 last few years regarding, you know, PET radionuclide  
25 manufacturing processes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN MALMUD: Thank you. Dr. Howe, I  
2 didn't mean to ignore you. I'm sorry.

3 DR. HOWE: Okay. When we were working on  
4 this as a working group, I guess my thought was that  
5 most of the non-commercial distribution would be from  
6 the medical centers, the big medical centers that  
7 would have the PET scanners, that they'd want to use  
8 internally or share with their contemporaries or even  
9 in a consortium. Is there something outside of the  
10 medical centers that you see? Would it be the  
11 universities? We don't necessarily need to address  
12 non-commercial distribution for other licensees,  
13 because we don't have prescriptive regulations for  
14 them. So we could accept it as a policy and move  
15 forward with it, but for the medical we had to put  
16 specific regulations in to allow non-commercial  
17 distribution because we had 32.72 which was  
18 pharmaceutical distribution and we figured there was  
19 only commercial.

20 Do you guys see something other than the  
21 medical centers for this?

22 CHAIRMAN MALMUD: DR. Vetter?

23 MEMBER VETTER: Well, it's conjecture but  
24 I think that's an excellent foresight because there  
25 could be -- you know, a medical center could go to a

1 university or a national laboratory and say, "We would  
2 like you to produce this brand new radioisotope,  
3 radionuclide, excuse me, that we might be able to use  
4 for a new medical procedure. So I think it's entirely  
5 possible that that could happen.

6 DR. HOWE: Okay.

7 CHAIRMAN MALMUD: Mr. Bailey.

8 MEMBER BAILEY: Is the concern about the  
9 non-commercial distribution because you would have to  
10 pay an extra fee or what, because I know in a lot of  
11 the agreement states, it's already in the same license  
12 fee so there's no real concern about whether you add  
13 the distribution --

14 DR. HOWE: The non-commercial distribution  
15 for us was a problem because the way a medical use  
16 licensee gets materials, it comes through 32.72 or it  
17 comes through some other manufacturer or distributor  
18 and then the commercial -- and then the pharmacist or  
19 physician can convert it into a radionuclide. So we  
20 believe this was a different mechanism for getting the  
21 material and we had to be able to authorize the  
22 medical use licensee to use the material and to share  
23 the material.

24 MEMBER BAILEY: Okay, just one comment on  
25 that; at some point with regard -- I've heard the

1 phrase research and development used several times  
2 here. But strictly speaking, you can't do research  
3 and development in the medical field because it's  
4 excluded by Part 30. So at some point the NRC needs  
5 to take a look at that and change research and  
6 development definition.

7 DR. HOWE: Well, research and development,  
8 as defined in Part 30 does not include the use on  
9 human beings but when you go into research and  
10 development in the medical arena, we cover that in  
11 Part 35 and that's covered in 35.6 which is research  
12 involving human subjects. So the idea was that you  
13 could not give an authorization separate from a  
14 medical use authorization to use materials on people.  
15 That's why the definition in 30 excludes human use.  
16 But that does not exclude research and development  
17 involving human subjects. It just means, you need  
18 either a Part 35 license or a Part 35 authorization so  
19 Eli Lilly would have a Part 35 authorization.

20 CHAIRMAN MALMUD: DR. Williamson?

21 MEMBER WILLIAMSON: Well, if I could  
22 follow up on Mr. Bailey's concern, I think the issue  
23 is that there may be non-human research that has to be  
24 -- clinical research that has to be conducted in a  
25 medical center on animal systems or perhaps even in



1 vitro studies. That is included now in a broad scope  
2 medical license.

3 DR. HOWE: That's in the Part 30  
4 authorization for those licensees.

5 MEMBER WILLIAMSON: But this is important  
6 for the development of PET radionuclides. It's not  
7 all clinical. There's a huge pre-clinical array of  
8 research applications that has to be recognized. I do  
9 think, and again, making this point, if you can be --  
10 when you say non-commercial distribution, if you can  
11 be specific what's wrong with the current wording, you  
12 know, or what the concerns are because they'll ask you  
13 what do you want us to do to fix it. They're going to  
14 -- so there has to be some specificity to this.

15 DR. SCHWARZ: At our institution we  
16 provide -- we produce radionuclides, PET radionuclides  
17 and distribute them across the United States. And  
18 it's not for human use. It's just for research to  
19 various hospitals and I mean, university settings  
20 typically. So just the concern that, you know, this  
21 -- excuse me, this type of distribution is allowed,  
22 that it wouldn't be problematic for those who receive  
23 it or for those of us who are shipping it.

24 MEMBER LIETO: So a declarative statement  
25 to the fact that non-commercial distribution of PET

1 and accelerator produced radioactive materials for  
2 human and non-human applications needs to be  
3 maintained in -- I won't say its current format but  
4 something to that effect, needs to be maintained.

5 DR. SCHWARZ: For research as well. I  
6 mean, you mentioned it is for research.

7 MEMBER LIETO: Yes.

8 CHAIRMAN MALMUD: Mr. Essig?

9 MR. ESSIG: The only comment I wanted to  
10 make on the declarative statements in the slides to  
11 speak to Dr. Williamson's recommendation, is that the  
12 Commission prefers not having a complete -- and I  
13 think that's the approach that you started. You have  
14 to have enough of a message, but it isn't something --  
15 what they don't enjoy hearing is where I have a bullet  
16 point on the slide that's a complete sentence and the  
17 presenter then merely reads it to the Commission,  
18 because they have reacted very negatively to that in  
19 the past saying, "Well, I can read, you don't need to  
20 sit there and read the point to me".

21 And so you have to have this balancing of  
22 a complete enough thought but yet, not something  
23 that's a -- you don't strive to have a complete  
24 sentence there. Or if you do end up with a complete  
25 sentence, such as the slide that DR. Malmud was

1 recommending, that you can show the slide but then  
2 merely summarize some of the elements of that, that  
3 additional slide.

4 CHAIRMAN MALMUD: You amplify it.

5 MR. ESSIG: You amplify, yes. You  
6 recognize that they can read it and then you just  
7 amplify some of the points that you want to make.

8 CHAIRMAN MALMUD: Ralph, it may be  
9 helpful, therefore, to maintain the bullet point of  
10 non-commercial distribution and to amplify it by  
11 saying, "For example, at the Mallinckrodt Institute at  
12 Washington University in St. Louis they produce these  
13 materials and ship them nationally currently for  
14 research purposes to other institutions. And it's  
15 essential that we maintain that availability without  
16 encumbering unnecessary expense or restrictions into  
17 the future. Sally, would that --

18 DR. SCHWARZ: I agree, that sounds good.

19 CHAIRMAN MALMUD: DR. Suleiman?

20 MEMBER SULEIMAN: I really need to clarify  
21 a couple of things. PET manufacturing was so in  
22 disarray in terms of how it was regulated that the --  
23 one of the laws the FDA Modernization Act of '97  
24 basically adopted U.S. Pharmacopeia standards until  
25 FDA came out with what they require of the PET

1 manufacturers. And so recently FDA has come out with  
2 guidance, spelling out and so the Pharmacopeia  
3 standards are going to go away two years after that  
4 guidance comes out.

5 FDA considered -- now, they're not so  
6 concerned -- we're not so concerned about the  
7 radiation safety issue as much as everything else.  
8 And they're very aware of the pharmacy issue and the  
9 compounding of drugs but up until the point that the  
10 product is released to the pharmacist or whatever, FDA  
11 considers it a PET production facility and the term I  
12 think we've used is "graded response", depending on if  
13 it's a large scale manufacturing facility. FDA really  
14 is concerned about manufacturing and production  
15 always. And so the problem with PET has always been  
16 it's such a small quantity that's being produced, you  
17 know. How can you apply broad GMP, you know,  
18 manufacturing standards to it.

19 So we've taken the -- we've come out with  
20 a proposed draft but at some point -- this is all in  
21 play, so I think you just need to be aware of it. I  
22 don't think the Commission needs to know that FDA has  
23 some changing guidelines on this thing, but it's being  
24 addressed. The feedback I've been getting, it's been  
25 well-received by the community but the intent really

1 is to look at every one of these facilities, some way,  
2 somehow in the near future. But that doesn't -- we're  
3 really not addressing the radiation safety issue. I  
4 think we actually say we defer to other authorities to  
5 address that along with the drug quality and purity  
6 issues.

7 CHAIRMAN MALMUD: DR. Sulieman, is it the  
8 issue that we're discussing now, for example, the  
9 products that are being produced at Washington  
10 University, those are not being produced to be  
11 administered to patients as pharmaceuticals,  
12 currently. They're being produced for research  
13 purposes. Is that not true, Dr. Schwarz?

14 DR. SCHWARZ: That's correct.

15 MEMBER SULEIMAN: But they're still being  
16 administered to subjects. Now, there's --

17 DR. SCHWARZ: No, no, they're being  
18 administered to animals.

19 MEMBER SULEIMAN: Oh, okay.

20 DR. SCHWARZ: These are not human use.

21 CHAIRMAN MALMUD: These are not for human  
22 use.

23 DR. SCHWARZ: No, that's what I'm saying,  
24 that this type of research involves production of  
25 isotopes that are not going into humans. We do do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 clinical research. That's a separate issue but the  
2 radioisotopes that we're talking about are not going  
3 into humans.

4 CHAIRMAN MALMUD: So that to try again to  
5 be helpful to you, Ralph, it might be useful to  
6 continue to use the term non-commercial distribution  
7 and then without having too much -- without having  
8 additional material on this slide, amplify that by  
9 saying, "Well, for example", I'll say it again, "At  
10 the Mallinckrodt Institute at Washington University in  
11 St. Louis PET products are produced for shipment to  
12 various other research and development centers  
13 throughout the United States where they are doing  
14 research including animal studies in the course of  
15 developing products which will eventually be used in  
16 humans but are not yet being used in humans". Will  
17 that summarize it well? Does that satisfy both DR.  
18 Sulieman's concern and Dr. Schwarz?

19 DR. SCHWARZ: DR. Malmud, I think too the  
20 PET -- rather than saying "PET products", the PET  
21 radionuclides would probably be --

22 CHAIRMAN MALMUD: PET radionuclides.

23 DR. SCHWARZ: -- be preferred.

24 CHAIRMAN MALMUD: The term PET  
25 radionuclides is preferred to PET products, otherwise

1 we'll be thinking of Hartz Mountain or something. Dr.  
2 Miller.

3 DR. MILLER: In the interest of continuing  
4 -- to look at the time and in the interest of  
5 continuing to advance us through his presentation, I  
6 just would like to follow up a little on what Mr.  
7 Essig said and maybe relieve a little anxiety of the  
8 committee, the bullets that Ralph will have on his  
9 slides, I mean, the way the Commission does business,  
10 it will help tee up the issue. What he says to those  
11 bullets is what's important and I remind everybody al  
12 Commission meetings are transcribed, so everything he  
13 says will become a matter of public record.

14 MEMBER LIETO: Everything here is.

15 DR. MILLER: Just as it is here, yes.

16 DR. SCHWARZ: Not to make you nervous,  
17 Ralph.

18 DR. MILLER: No, it's not to make him  
19 nervous but I think the important thing is if he talks  
20 to the bullets and gets these points out, then I think  
21 your views will be a matter of record.

22 MEMBER LIETO: Is everybody comfortable  
23 then with these bullets and then I guess I'll, for the  
24 sake of time, move onto the next one?

25 MEMBER SULEIMAN: You might also want to

1 write out what you're going to say and -- but not --  
2 have it different from what the bullets are.

3 MEMBER LIETO: Yeah, yeah, that's going to  
4 be part of the preparation, yeah. Now, this next  
5 slide addressed some concerns that were raised  
6 regarding this very aggressive implementation schedule  
7 for formulating the regulations in an 18-month period  
8 and that the time period that's available for  
9 licensees to submit new licenses and NRC regions to  
10 review these will effect almost every location that  
11 has mobile PET facilities because this will now be a  
12 new area of regulatory use by licensees so there will  
13 be a large number of license amendments that will be  
14 coming in to the regions.

15 And I have a sub-bullet on here which I  
16 think I'll strike and just include in the  
17 amplification that because of the non-agreement states  
18 that have not been regulated -- excuse me, non-  
19 agreement states with PET facilities that have not  
20 been regulated, there's going to have to be licensing  
21 guidance developed and that really needs to be  
22 available at the effective date of the rules so that  
23 the licensees can implement this.

24 The other bullet is that I think needs to  
25 be made -- the Commission needs to be made aware of is



1 that there's going to be a real paradigm shift at the  
2 inspection and enforcement levels especially in non-  
3 agreement states. The agreement states probably have  
4 seen this, in terms of the much higher hand and body  
5 doses from PET use. And I think routinely, for non-  
6 PET facilities, staying below the 10 percent dose  
7 limits has not been a difficulty, even in very large  
8 and busy nuclear medicine facilities. But with  
9 increased PET use that we're now seeing the above 10  
10 to 30 percent range in terms of doses to nuclear  
11 medicine personnel and research personnel, dealing  
12 with PET pharmaceuticals so -- and drugs.

13 So that was a point to make the Commission  
14 aware of. This is more a question for the committee  
15 in terms, is there other issues in terms of, you know,  
16 maybe unforeseen major conflicts with the proposed  
17 rules that anybody on the committee here thinks needs  
18 to be put into this last slide for the --

19 CHAIRMAN MALMUD: DR. Vetter?

20 MEMBER VETTER: Ralph, I'm not sure why  
21 you're bringing that second point to the Commission's  
22 attention. Why would they need to know about that?  
23 That's something, Inspection and Enforcement is aware  
24 of, I think, and it's important for them but I don't  
25 know, why would the Commission need to know that?

1           MEMBER LIETO: I think just in terms of --  
2           because something that is not routinely seen in the --  
3           by Inspection and Enforcement in the non-agreement  
4           states. In the agreement states where PET is being  
5           used, you know, this is pretty well recognized but  
6           they haven't been looking at this in their inspection  
7           and enforcement in the non-agreement states.

8           MEMBER VETTER: So what's the Commission  
9           going to do about it?

10          MEMBER LIETO: I think it's just a matter  
11          of letting them know that you're going to start seeing  
12          the bar in terms of doses to workers from these  
13          activities in terms -- that they're going to start  
14          regulating be higher.

15          CHAIRMAN MALMUD: Malmud. If I may, it  
16          might be best to focus more on the material that you  
17          presented earlier and not bring in this topic at all  
18          at this meeting, so that the emphasis which you  
19          developed so well in the first several slides can be  
20          maintained on the availability of PET radionuclides  
21          for the continued research and development with  
22          continued flow from both commercial and non-commercial  
23          producers to those organizations that are advancing  
24          the science.

25          MEMBER LIETO: I guess, does NRC -- I

1 guess I'd ask the NRC staff. Is this something that  
2 they think the Commission would want to be made aware  
3 of or not?

4 CHAIRMAN MALMUD: DR. Miller?

5 DR. MILLER: Yeah, just an observation.  
6 If you present that, the next question they're going  
7 to ask you is, then is the proposed regulation flawed  
8 or should there be some other feature added to it to  
9 address this concern, because the focus, the focus of  
10 the meeting is going to be on the Energy Policy Act  
11 and the specific focus here is going to be on an ARM  
12 regulation that we're proposing. So that's where  
13 they're going to go with that.

14 MEMBER LIETO: So I'll strike it.

15 DR. MILLER: Yeah, I mean, if you do  
16 believe as a committee that that's something that's  
17 flawed in the proposed rule that needs to be  
18 addressed, that's one thing. If not, it may be best  
19 to address it in some other form.

20 CHAIRMAN MALMUD: Thank you. Dr.  
21 Williamson?

22 MEMBER WILLIAMSON: If memory serves, I  
23 think the regulatory guide for the -- that talks about  
24 the ALARA program has been liberalized or made more  
25 flexible so I think one is not restricted to the 10

1 percent level for ALARA. Is that correct? Because  
2 obviously, 10 percent is not going to work in this  
3 field. That's the underlying concern I'm sure that  
4 Ralph has.

5 MEMBER LIETO: That is correct.

6 DR. SCHWARZ: I think one of the -- I  
7 think exactly, this is a problem with PET because it  
8 is a new field and as far as enforcement and  
9 inspection, this will be something that they will see  
10 that it's certainly different than traditional nuclear  
11 medicine. As far as the Commission, they probably  
12 don't particularly care about this. I mean, it's not  
13 that they don't care, but that they are more  
14 interested in licensing these facilities and the  
15 overall impact of that licensing phenomenon that has  
16 not happened before. That's probably a more important  
17 area for us to discuss.

18 MEMBER LIETO: Are there other issues  
19 then, that any of the committee would like --

20 CHAIRMAN MALMUD: DR. Williamson, were you  
21 going to raise another issue?

22 MEMBER WILLIAMSON: Well, I was thinking,  
23 perhaps what Ralph could say is that this isn't having  
24 -- you know, acknowledge that this is not in the  
25 regulation space but that if Inspection and

1 Enforcement, you know, proceeds with the same attitude  
2 and practices as in the non-PET arena, that could  
3 cause substantial difficulties, you know, in the  
4 regulated community that might you know, hinder the  
5 delivery of medical services or research without any  
6 concomitant gain in public safety, that it is so  
7 different that there's really going to be a learning  
8 curve here for NRC and they should be prepared.

9 CHAIRMAN MALMUD: DR. Vetter?

10 MEMBER VETTER: Personally, I do not think  
11 this belongs in the discussion with the Commissioners.  
12 I don't disagree with what Jeff's saying but I think  
13 that's a different subject all together and the NRC  
14 will learn about it and if there's problems, we'll  
15 deal with it at that time, but I don't think it  
16 belongs here.

17 MEMBER LIETO: Okay. Then I guess, not  
18 hearing any other issues are thoughts to be made then,  
19 on the accelerator?

20 CHAIRMAN MALMUD: I didn't hear the last  
21 part of what you said, Ralph, I'm sorry.

22 MEMBER LIETO: I'll strike that second  
23 bullet that's up there and I don't -- I don't see any  
24 other hands for any other issues to add to this slide  
25 in terms of other points to be made on the --

1 CHAIRMAN MALMUD: I think that the  
2 committee agrees with you.

3 DR. SCHWARZ: Ralph, maybe what you want  
4 to do is instead of having that license guidance in  
5 the indentation, make it a bullet point because that,  
6 I see, as a huge issue. We really do need guidance.  
7 Possibly this is available through agreement states,  
8 that they have guidance on licensing these facilities  
9 because it's not clear that this is going to be an  
10 easy thing to do, asking questions, I have been asking  
11 questions and certainly we need guidance and I'm  
12 hoping that that could be kind of a bigger focus.

13 MEMBER LIETO: All right, the next slide  
14 had to do with the Energy Policy Act regarding  
15 discrete sources. And radium has a very long medical  
16 history and so I was asked to address the discrete  
17 sources in terms of medical -- from a medical  
18 perspective and basically I was going to make the  
19 comment that Radium 226 discrete sources are obsolete  
20 for medical clinical use. This is cited in American  
21 College of Radiology Policy Statements and reaffirmed.  
22 I've got a copy of what the statement actually states  
23 and I can actually put that forth in terms of the  
24 expansion on that point.

25 And that to address a potential question

1 by the committee, are there any other expected  
2 naturally occurring radioactive materials discrete  
3 sources that present a similar hazard as Radium 226 on  
4 the horizon for medical or clinical use? That there  
5 are not any such sources in the foreseeable or  
6 probable future. So the next point would go to  
7 discrete --

8 CHAIRMAN MALMUD: Excuse me, I think Dr.  
9 Nag wanted to make a comment.

10 MEMBER NAG: Yeah. Although it's true  
11 that Radium 226 is obsolete, there are still some  
12 centers that may have old Radium 226, so you're not  
13 totally (indiscernible).

14 MEMBER LIETO: That's in my next slide.  
15 That the quantity is right now unknown what the  
16 quantity in terms of number and activity.

17 CHAIRMAN MALMUD: You need to use the  
18 microphone.

19 MEMBER LIETO: Oh, I'm sorry. In the next  
20 slide under discrete sources in general, the quantity  
21 of unknown radium sources is -- in terms of activity  
22 and number is unknown because these fall far below  
23 that IAEA Code of Conduct inventory thresholds and  
24 they fall also below the DOE disposal thresholds for  
25 disposal at these sites. There are a large number of

1 unwanted brachytherapy sources. These are sealed  
2 sources of -- including cesium, strontium-90 as well  
3 as other radium sources and that this problem may need  
4 some type of increased funding so that in the  
5 aggregate they might be collected under the DOE  
6 collection and disposal mechanism. And so that there  
7 is in terms of the medical side, in terms of both the  
8 discrete sources under the Energy Policy Act as well  
9 as an increasing number of unwanted sealed sources  
10 needing disposal but because of cost and it's  
11 sometimes just a lot easier to inventory and store  
12 these that licensees are having difficulty getting rid  
13 of them.

14 CHAIRMAN MALMUD: DR. --

15 MEMBER WILLIAMSON: Jeff Williamson here.  
16 I don't see -- this legislation or regulation has no  
17 impact on materials currently defined as by-product  
18 materials. So while this may be a problem, I think  
19 it's -- I wonder if it's not irrelevant to the topic  
20 at hand, and so the only issue is the additional cost  
21 burden imposed by new requirements possibly for the  
22 disposal of radium sources, right?

23 MEMBER NAG: Yeah, I had the same comment,  
24 that this second part has no relevance to the  
25 presentation for today.



1 CHAIRMAN MALMUD: DR. Vetter?

2 MEMBER VETTER: Yes. I agree with those  
3 last two comments entirely.

4 CHAIRMAN MALMUD: Mr. Bailey?

5 MEMBER BAILEY: I don't think the Energy  
6 Policy Act is going to effect either the availability  
7 or the cost of disposing of radium. So, it's sort of  
8 neutral. Right now you can't dispose of it but at one  
9 place and since they've got the corner on the market,  
10 they charge what they feel is appropriate. So whether  
11 or not this act is ever passed or not I don't think  
12 has any impact on the cost of radium disposal.

13 MEMBER LIETO: Well, I don't think it's  
14 intended to effect the cost. The comment is meant to  
15 address the fact that there are there sources out  
16 there. We don't know how many there are. Even if we  
17 find out how many there are and where they're at, they  
18 probably cannot get rid of them, okay, and that if you  
19 look at that as a discrete source in addition to from  
20 the medical perspective other unwanted sealed sources  
21 in the aggregate, this may be a larger issue that  
22 needs to be addressed in terms of getting these to be  
23 -- to fall into a mechanism for DOE disposal.

24 CHAIRMAN MALMUD: Ralph, the feeling that  
25 seems to be coming from members of the Committee is

1 that it may be better to spend the majority, if not  
2 all the time, in a discussion of the issues of PET  
3 radiopharmaceuticals because that is the most pressing  
4 issue at the moment and that to bring other issues  
5 into the Commission at this time would detract from  
6 what is obviously a major concern of all people in  
7 nuclear medicine at the moment regarding these new  
8 regulations.

9 MEMBER LIETO: Do you want me then to  
10 strike this second bullet and move the first one up to  
11 the other slide?

12 CHAIRMAN MALMUD: I would strike the  
13 subjects other than those related to PET  
14 radiopharmaceuticals completely and focus on that. I  
15 meant, this is our opportunity. This is our  
16 opportunity to make our presentation and least from  
17 the phone calls and the discussions that have been  
18 coming my way, everyone seems most concerned about PET  
19 radiopharmaceuticals, their availability, and interest  
20 in not creating regulations which would slow down  
21 research and development and which would create  
22 burdensome, expensive procedures which are unnecessary  
23 for their regulation. And those are the expressions  
24 that are coming to me

25 So the anxiety is that nothing occur which

1 interferes with this very productive area of research  
2 and development.

3 MEMBER LIETO: I don't think this would  
4 effect anything with the PET. I think it addresses a  
5 part of the Energy Policy Act that I've been asked to  
6 address and it does include these discrete sources for  
7 radium. So if I don't address it, there's no one else  
8 that's going to be addressing it and it's going to  
9 probably come up. That's why it's on there.

10 CHAIRMAN MALMUD: All right, well,  
11 obviously, Mr. Bailey has something to say.

12 MEMBER BAILEY: Yeah, I think you're right  
13 and I would ask, you know, NRC staff. I think one of  
14 the concerns, at least at the staff level at NRC is  
15 how many of these sources are there still out there?  
16 Are we going to have a problem regulating radium  
17 sources. While they feel they know radium use an  
18 agreement states NRC really didn't have a handle on  
19 it. So I think the first two bullets would be very  
20 informative for the Commission that --

21 CHAIRMAN MALMUD: The previous slide.

22 MEMBER BAILEY: Yeah, the previous slide,  
23 that, hey, this is an obsolete practice and you don't  
24 expect any other norm sources and maybe the first  
25 bullet here on the last slide that the inventory is

1 unknown.

2 CHAIRMAN MALMUD: Let's say that -- for  
3 the purpose of discussion let's say that this is going  
4 to come forward. What are we really recommending?  
5 We're stating number 1, that these sources are no  
6 longer clinically relevant. That they exist and that  
7 we don't know how many there are. So we would  
8 recommend, therefore that they be inventoried and an  
9 estimate be made of the cost of recovering them. Is  
10 that a fair summary?

11 MEMBER BAILEY: That's taking it on, yeah.

12 CHAIRMAN MALMUD: Tom?

13 MR. ESSIG: Or you could argue that the  
14 committee doesn't have a position at all because it's  
15 an old -- it's an outmoded technology and -- but I  
16 think Ralph is -- the reason that he has this on here  
17 is for completeness. If the committee was silent on  
18 radium, then the Commission would wonder if you just  
19 hadn't -- if you had inadvertently not -- you know,  
20 left it off the agenda or didn't understand that you  
21 were supposed to address the complete suite of  
22 radioactive material that's in the Energy Policy Act.  
23 So I think for completeness, it's not to dwell on it  
24 but just to recognize that it's small. It's not even  
25 on the committee's radar screen, is I think the point

1       you want to make. Not in those terms but in essence  
2       that the committee doesn't have any concern.

3               So you don't even have to necessarily  
4       formulate a recommendation would be my thought but  
5       just recognize --

6               CHAIRMAN MALMUD: The committee wants to  
7       alert the commission to the fact that Radium 226 is no  
8       longer used clinically. There are a number of  
9       discrete sources out there. We don't know what the  
10      inventory is. The Commission may be interested in  
11      this, period.

12              MR. ESSIG: And I think one other fine  
13      point there is that if you can say this, that you  
14      believe that the -- although the inventory is unknown,  
15      you believe most of the sources, the individual  
16      sources in the inventory to be less than IAEA Category  
17      2 or that most of them are in the Category 3 to 5  
18      range.

19              CHAIRMAN MALMUD: So then on one slide we  
20      say it's a matter of information. We'd like to inform  
21      the Commission that there are a number of obsolete  
22      Radium 226 discrete sources which are of unknown  
23      quantity but whose activity is below regulatory --

24              MR. ESSIG: The Commission is attuned to  
25      the Code of Conduct, so I would work that into your --

1 I'd say IAEA Code of Conduct less than -- you can  
2 either say less than Category 2 or you can say are in  
3 the Category 3 to 5 range.

4 CHAIRMAN MALMUD: All right, and not even  
5 suggest that they may want to inventory them, just to  
6 inform them that we're aware that they exist.

7 MR. ESSIG: Right, you don't even have to  
8 use the word "Inventory".

9 CHAIRMAN MALMUD: Okay, so it's just a one  
10 slide matter of information. So it's a -- it's almost  
11 like a sidebar note.

12 MR. ESSIG: Yes, yes, just for  
13 completeness.

14 CHAIRMAN MALMUD: We'd like to inform the  
15 Commission of the presence of a number of obsolete  
16 Radium 226 discrete sources, period. Now, it looks as  
17 if the majority of the presentation is going to be  
18 focused on the issue of PET pharmaceuticals. Ralph,  
19 do you have direct experience with PET  
20 pharmaceuticals? Are you a hands-on person with them?

21 MEMBER LIETO: Well, yeah, but not  
22 probably in the quantities and number that probably  
23 Sally is.

24 CHAIRMAN MALMUD: Is any of the  
25 institutions you're covering actually producing any --

1                   MEMBER LIETO:       No, we don't have  
2 production facilities, just use.

3                   CHAIRMAN MALMUD: It seems to me -- I'm a  
4 little embarrassed by this because I've created this  
5 situation but it seems to me if that's going to be the  
6 major focus, would we have more credibility having a  
7 representative to the NRC discussing this issue who's  
8 actually hands-on producing it and could answer  
9 questions that might come up which others of us might  
10 have knowledge of but be totally inexperienced with?

11                   What do you think about it, Ralph? Would  
12 you be more comfortable that way?

13                   MEMBER LIETO: I have no problem with  
14 that.

15                   CHAIRMAN MALMUD: Sally, would you be  
16 comfortable feeling with this?

17                   DR. SCHWARZ: (Nods head)

18                   CHAIRMAN MALMUD: Perhaps after this  
19 meeting, the two of you can get together and switch  
20 roles in this capacity, and I say it not because Ralph  
21 in particular or any of us could not address this  
22 issue from an intellectual standpoint, but there's a  
23 distinct advantage to having someone who's actually  
24 hands-on working with the subject which will be the  
25 major focus of discussion being there as the front

1 person for this subject.

2 I must apologize for having asked you to  
3 do all this work which you have done very well.

4 MEMBER LIETO: Paybacks are going to be a  
5 bummer, DR. Malmud.

6 CHAIRMAN MALMUD: I beg your pardon?

7 MEMBER LIETO: Paybacks will be a bummer.

8 (Laughter)

9 CHAIRMAN MALMUD: We'll have to figure out  
10 something that's affordable.

11 MR. ESSIG: And DR. Malmud, just to put  
12 you a little at ease, when we had a phone conversation  
13 to this effect, I believe that we thought it would be  
14 a broader issue --

15 CHAIRMAN MALMUD: Yes, we did.

16 MR. ESSIG: -- and it was very instructive  
17 to walk through these slides and get the committee's  
18 view so that we can see that it was really narrowed to  
19 the main concern being PET. So --

20 CHAIRMAN MALMUD: It wasn't that in the  
21 beginning.

22 MR. ESSIG: No.

23 CHAIRMAN MALMUD: Because I remember  
24 discussing it in the beginning and saying, "Well, you  
25 know, if it's going to be PET then we really should



1 ask Sally but it turns out that it really is PET. All  
2 right, I thank -- first of all, I humbly thank Ralph  
3 and secondly, I appreciate your willingness, Sally, to  
4 transition this with Ralph. Thank you.

5 MR. ESSIG: Is Sally available on the 15<sup>th</sup>  
6 of May?

7 DR. SCHWARZ: I will be available and I  
8 appreciate the offer actually to talk to the  
9 Commission. I really look forward to it.

10 CHAIRMAN MALMUD: DR. Miller.

11 DR. MILLER: To maybe set the committee  
12 further at ease, or to set you further at ease, if you  
13 remember yesterday in the presentation on the Energy  
14 Policy Act and our rulemaking, we said that there  
15 would be another public meeting at some future date in  
16 the near future. That could also be an opportunity,  
17 if the committee wants to, to have a representative or  
18 more than one or the whole committee if you want to  
19 come, available to make any comments that you want to  
20 make in that forum also.

21 So if something comes up in the Commission  
22 meeting that perhaps falls outside of the realm of  
23 what Sally has presenting, that's another opportunity  
24 we can take to tell the Commission, you know, we'll  
25 make sure that ACMUI addressed that at the public

1 meeting, if that's okay.

2 CHAIRMAN MALMUD: Thank you. Mr. Essig.

3 MR. ESSIG: And one more comment, if I may  
4 regarding the schedule.

5 CHAIRMAN MALMUD: I'm still pondering what  
6 the payback is going to be to Ralph.

7 MR. ESSIG: We have -- Item 17, which is  
8 our last item, we had allocated 45 minutes and in my  
9 off-line discussion with Mr. Saba, he informs me that  
10 he only needs 10 or 15 minutes and he doesn't have  
11 much more than that, which is a good thing.

12 MR. SABA: I have only a few items to  
13 discuss with you. The first item is to highlight all  
14 the items that I could put together, the actions and  
15 recommendations. The first action was the committee  
16 action on the by-laws. The by-laws, you suggested  
17 some of the -- thank you. You suggested some of the  
18 changes. The changes would be incorporated in the by-  
19 laws and be ready for the next meeting to be voted on.

20 The second action was on the Dr. --

21 MR. ESSIG: Mohammed, before we leave that  
22 item, we should decide before we leave today on a date  
23 for a telephone conference call to discuss the by-  
24 laws, plus the Part 35 rulemaking activities we didn't  
25 get to. So if people would have their calendars ready

1 before we adjourn. And I'm thinking that we ought to  
2 maybe set it for maybe sometime maybe a month hence,  
3 one month hence. We have to have maybe a couple week  
4 lead time to get the notice in the Federal Register  
5 but something on the order of a month from now is what  
6 I would be thinking to discuss the by-laws and the  
7 Part 35.

8 And we would -- and in response to Dr.  
9 Nag's suggestion, we would certainly allow enough time  
10 in the call to make sure that we can cover everything.

11 CHAIRMAN MALMUD: A two-hour conference  
12 call?

13 MR. ESSIG: As a minimum.

14 MEMBER NAG: I think while we are working  
15 on that, we should also work on our fall meeting when  
16 (indiscernible) because that's always a problem.

17 MR. ESSIG: Yes, and then we'll propose a  
18 couple of different dates say in October. Okay.

19 MR. SABA: Okay, the second action was on  
20 the risk -- it was decided Mr. Lieto would work with  
21 DR. Sharbiti (phonetic) to --

22 DR. SCHWARZ: Excuse me, could you use the  
23 microphone?

24 MR. SABA: Sorry, to guidelines in the  
25 risk regarding minor and pertinent women acting as

1 caregivers to hospitalize therapy patients. The third  
2 action was regarding training and experience in --  
3 authorized for an authorized user who is seeking sole  
4 status. We sent two letters to the ABR, one for the  
5 diagnostic ABR and one for the oncology section to  
6 address this and before we sent the letter, we give it  
7 to -- we sent it to DR. Malmud and DR. Diamond to be  
8 reviewed.

9 The third -- the fourth --

10 CHAIRMAN MALMUD: DR. Williamson has  
11 something to say about that.

12 MEMBER WILLIAMSON: If memory serves, the  
13 issue was not just the authorized user becoming an RSO  
14 but I think to point out to them that none of the  
15 diplomates of the American Board of Radiology and  
16 Radiation Oncology could use their -- prior to what is  
17 it July 1, 2007, could use their certificates to  
18 become an authorized anything. That they would all  
19 have to go through the alternative pathway and did the  
20 ABR understand the implications of the way they  
21 answered the NRC's questions.

22 MEMBER EGGLI: DR. Malmud, I would  
23 actually like to see the one for diagnostic radiology.  
24 I think David Diamond's primary interest was for  
25 radiation oncology and although diagnostic radiology

1 isn't represented on this committee, I'm as close as  
2 it comes being both a nuclear medicine physician and  
3 a diagnostic radiologist.

4 CHAIRMAN MALMUD: And we will share that  
5 with you. Mohammed, would you also copy DR. Eggli  
6 when you communicate with Dr. Diamond and with me.

7 MR. SABA: Sure.

8 CHAIRMAN MALMUD: Thank you. It shall be  
9 done.

10 MR. SABA: The next action was on the  
11 ACMUI review of medical inventory of Iodine -- I'm  
12 sorry, involving Iodine 131, DR. Eggli's presentation.  
13 The Stafford Center and I answered them with this  
14 regard. Is there anything else that should be done,  
15 something mentioned about Nuclear Medicine Society or  
16 --

17 CHAIRMAN MALMUD: I didn't hear the last  
18 several sentences.

19 MR. SABA: Oh, something else was said, I  
20 don't remember exactly.

21 MALE PARTICIPANT: That was, I believe an  
22 outreach to the certified technicians.

23 MEMBER EGGLI: Right, to the certification  
24 board which is the American Registry of Radiology  
25 Technologists for Nuclear Medicine and the

1 certification board for Nuclear Medicine wants AART(n)  
2 and the second one is NMTCB.

3 MR. SABA: Thank you. That there were a  
4 few actions on Dr. Howe's presentation on potential to  
5 10 CFR Part 35, potential changes. All of them -- not  
6 all, most of them have been approved by the committee.  
7 That's all I remember. And in terms of  
8 recommendations, there is only one I have no my list  
9 as regarding training and experience for microsphere  
10 for the use of microsphere.

11 CHAIRMAN MALMUD: Yes.

12 MR. SABA: For therapy, revised guideline  
13 to permit 35.390 physicians as authorized users for Y-  
14 90. If you don't have anything on this, I'll go to  
15 the next item which is the dates for the next meeting.

16 DR. ZELAC: Excuse me.

17 CHAIRMAN MALMUD: DR. Zelac.

18 DR. ZELAC: If I can make one change to  
19 one of the items you mentioned and mention another  
20 which I believe you skipped, concerning the regulatory  
21 information summary, there was a motion, my notes say,  
22 that was approved which provided six elements that  
23 ought to be included and that was not simply for  
24 pregnant women and minor caregivers but for any  
25 caregivers and that's the distinction I'm making.

1 CHAIRMAN MALMUD: DR. Zelac is correct.

2 DR. ZELAC: Secondly, we had the  
3 presentation from North American Scientific. I  
4 believe there was a formal motion, it was approved  
5 that it would be all right for a device of that type  
6 to file a 35.75 guidelines.

7 CHAIRMAN MALMUD: That is correct also.  
8 Thank you, DR. Zelac.

9 MR. SABA: Okay, for the next -- for the  
10 fall meeting, I have a few days; October 17<sup>th</sup>, October  
11 18<sup>th</sup>, that's one, 17<sup>th</sup> and 18<sup>th</sup>.

12 CHAIRMAN MALMUD: 17<sup>th</sup> and 18<sup>th</sup>, what days  
13 of the week is that?

14 MR. SABA: Tuesday and Wednesday.

15 DR. ESSIG: And Dr. Miller just informed  
16 me that he'll be in Vienna that week, so that probably  
17 won't work for him.

18 MR. SABA: Okay.

19 MR. ESSIG: And not Vienna, Virginia.

20 DR. MILLER: I'm the United States  
21 representative to IAEA's radiation safety committee so  
22 that's the -- we have a meeting every six months and  
23 that's the week that they've picked for the meeting.  
24 I know several good restaurants, yes.

25 MR. SABA: How about the 24<sup>th</sup> and 25<sup>th</sup>.

1 Are you going to be here?

2 DR. MILLER: Yes.

3 MR. SABA: Is that a good date, the 24<sup>th</sup>  
4 and 25<sup>th</sup>.

5 CHAIRMAN MALMUD: October 24<sup>th</sup> and 25<sup>th</sup>.  
6 Is there anyone on the committee for whom the dates of  
7 October 24<sup>th</sup> and 25<sup>th</sup> is not convenient? It looks as  
8 if you have a date. Excuse me.

9 MR. ESSIG: And we need to be mindful of  
10 an alternate date so that in the event that the chosen  
11 facility is not available on the 24<sup>th</sup> and 25<sup>th</sup> that we  
12 have a backup date. How does the week of the 9<sup>th</sup> of  
13 October.

14 CHAIRMAN MALMUD: 24<sup>th</sup> and 25<sup>th</sup> is okay for  
15 me.

16 MEMBER NAG: What about just  
17 (indiscernible) either later in the week, you know,  
18 24<sup>th</sup>, 25<sup>th</sup>, 26<sup>th</sup>, 27<sup>th</sup>?

19 MEMBER NAG: Normally when the facility is  
20 unavailable to us the other advisory committee  
21 typically has it for the week.

22 MEMBER NAG: Oh, for the whole week?

23 CHAIRMAN MALMUD: Yeah, for the whole  
24 week.

25 MR. ESSIG: October 10<sup>th</sup> and 11<sup>th</sup> would be



1 two weeks prior.

2 CHAIRMAN MALMUD: Yes. 10 and 11 okay?

3 MEMBER NAG: I don't know how many people  
4 are going but the radiation -- the European Radiation  
5 Oncology meeting is on those days.

6 CHAIRMAN MALMUD: The European meeting.  
7 You're attending that?

8 MEMBER NAG: Probably, yes, maybe.

9 CHAIRMAN MALMUD: So our first preference  
10 is October 24/25. And it looks as if we're squeezed  
11 with respect to other dates.

12 MR. ESSIG: Or the first week in October,  
13 is that --

14 CHAIRMAN MALMUD: The first week in  
15 October would be the 10<sup>th</sup> and 11<sup>th</sup>.

16 MR. ESSIG: No, that's the week we were  
17 just talking about. It would be the week of the 2<sup>nd</sup>.  
18 Yom Kippur is on a Monday so we would want to maybe  
19 have it later in the week if that week is -- I'm just  
20 looking for alternatives.

21 DR. MILLER: I don't like that first week.

22 CHAIRMAN MALMUD: No, the first week won't  
23 work.

24 DR. MILLER: One of the hardest things  
25 that we have is getting everybody to find a date that

1 works.

2 CHAIRMAN MALMUD: Well, the 24<sup>th</sup> and 25  
3 works.

4 DR. MILLER: Works, now the question then  
5 becomes --

6 CHAIRMAN MALMUD: Is the NRC building  
7 available.

8 DR. MILLER: Yeah, and why don't we look  
9 into it and see if it's available.

10 FEMALE PARTICIPANT: (Inaudible)

11 DR. MILLER: Yeah, what we find sometimes  
12 is that even though it's available sometimes things  
13 happen anyway and like this time, they decided to do  
14 construction on the room, that's why we couldn't get  
15 in there this week. They're doing some remodeling.

16 CHAIRMAN MALMUD: If the NRC facility is  
17 not available, would it be possible to use the Marriott  
18 across the street? Getting into this facility took 27  
19 minutes in line yesterday through security. For some  
20 reason, this morning was much more efficient, but I  
21 would prefer to remain off of this campus, if  
22 possible.

23 DR. MILLER: We could look into it. One  
24 of the dilemmas that we have being federal officials  
25 are we have to look for the cost effective way and if

1       there's something that's more economical, then we'll  
2       push our administrative groups to do that and --

3               CHAIRMAN MALMUD:   We understand.

4               DR. MILLER:   I know we had it there at one  
5       point in time.

6               MR. ESSIG:   And it was very pricey.

7               DR. MILLER:   And it's pricey yeah, and we  
8       have to look into it, but we could look into an  
9       alternative venue that's in that very near vicinity  
10      maybe that's not pricey and so you don't have to go  
11      through the long security lines.

12              I mean, it's a disadvantage for us, too,  
13      because a lot of times the staff or the Commissioner's  
14      staff like to come down and hear certain sessions and  
15      when we have to do it too far removed from the NRC  
16      building, that makes the commute a little bit more  
17      troublesome.   It also inhibits the same problem.   We  
18      want to make sure the venue is completely accessible  
19      to the members of the public.

20              MR. ESSIG:   And the other consideration,  
21      incentive for us to have it in our facility is that  
22      then we can video conference with our regions, which  
23      we had told them we would do whenever possible and  
24      when we meet here, we can't.

25              MALE PARTICIPANT:   When will you inform us

1 of this date?

2 MR. ESSIG: We'll go to work on that right  
3 away.

4 CHAIRMAN MALMUD: We'll keep the 24<sup>th</sup> and  
5 25<sup>th</sup> blocked out.

6 DR. MILLER: We should be able to get you  
7 an answer within a week.

8 MEMBER NAG: If it would help, I mean,  
9 (indiscernible) I'm willing to skip the European  
10 meeting.

11 DR. MILLER: Okay, and the week of the  
12 24<sup>th</sup> and 25<sup>th</sup>, are there any blackout dates that week  
13 or, you know, if the room is available later in the  
14 week versus early. We try to avoid Mondays and  
15 Fridays for you but --

16 CHAIRMAN MALMUD: Very good. Any other  
17 housekeeping items?

18 MEMBER NAG: The telephone conference  
19 call?

20 CHAIRMAN MALMUD: Yes, the telephone  
21 conference call, are there -- that we would propose  
22 within the next month or so, a month from today. So  
23 are there -- it has to be at least two weeks from now  
24 but --

25 MR. ESSIG: The 25<sup>th</sup> and the 26<sup>th</sup> of May?

1 CHAIRMAN MALMUD: Friday is not a good day  
2 for me for a conference call. Tuesday or Thursday  
3 would be better.

4 MR. ESSIG: Tuesday or Thursday so it  
5 would be the 23<sup>rd</sup> or the 25<sup>th</sup>?

6 CHAIRMAN MALMUD: 23<sup>rd</sup> or 25<sup>th</sup>, which one?

7 MR. ESSIG: How does that sound for --

8 MALE PARTICIPANT: Depending on the time  
9 of day.

10 CHAIRMAN MALMUD: It's the afternoon as a  
11 rule.

12 MR. ESSIG: Which is better for the  
13 committee, morning or afternoon?

14 CHAIRMAN MALMUD: Afternoon.

15 MEMBER NAG: Probably afternoon because  
16 the West Coast will be three hours behind so afternoon  
17 here would be morning over there for you.

18 CHAIRMAN MALMUD: 4:00 o'clock? The  
19 meeting may run long, so you would prefer, perhaps  
20 2:00 o'clock.

21 MR. ESSIG: 2:00 o'clock if we could, yes.

22 CHAIRMAN MALMUD: 2:00 o'clock Eastern  
23 whatever time it is then. It's still daylight. 2:00  
24 o'clock on what date?

25 MALE PARTICIPANT: Could we do 3:00 as a

1       compromise?

2                   CHAIRMAN MALMUD: 3:00 o'clock, what date?

3                   MALE PARTICIPANT: The 23<sup>rd</sup> or the 25<sup>th</sup>.

4                   MR. ESSIG: Does the 25<sup>th</sup> work better for  
5       you because everybody else was okay with either date?

6                   MALE PARTICIPANT: The 25<sup>th</sup> is really bad.

7                   MR. ESSIG: Really bad, okay.

8                   CHAIRMAN MALMUD: 5/23 at 2:30? Ralph,  
9       how is that for you?

10                  MEMBER LIETO: I'm at the Committee's  
11       pleasure.

12                  MALE PARTICIPANT: So how much time should  
13       I allocate for it, two hours?

14                  MR. ESSIG: I would say let's allocate  
15       three and maybe not have to use it all, from 2:30 to  
16       5:30.

17                  CHAIRMAN MALMUD: Two hours is long  
18       enough.

19                  MR. ESSIG: Or let's compromise and say  
20       2:30 to 5:00.

21                  CHAIRMAN MALMUD: Two and a half hours.

22                  MR. ESSIG: 2:30 to 5:00. So on the 23<sup>rd</sup>,  
23       2:30 to 5:00 Eastern time.

24                  CHAIRMAN MALMUD: So we have two meetings  
25       tentatively set up. One is a telephone conference

1 call May 23<sup>rd</sup> at 2:30 and the next is the tentative  
2 next scheduled meeting for October 24<sup>th</sup> and 25<sup>th</sup>,  
3 hopefully at the NRC headquarters, if not somewhere  
4 else in the Washington area.

5 MR. ESSIG: Yes.

6 CHAIRMAN MALMUD: Any other items?

7 MR. SABA: Just to remind you to give me  
8 the time sheets and travel expenses.

9 CHAIRMAN MALMUD: Will do. We are asked  
10 to turn in our time sheets. We shall. Any other  
11 items?

12 MEMBER BAILEY: That's it.

13 MR. ESSIG: Let me just, also in the way  
14 of housekeeping activity or bookkeeping activity, when  
15 a time sheet is turned in more than six weeks after  
16 the end of the pay period, we have to provide  
17 additional justification as to why it's late. Now, I  
18 realize in some instances it may not be the particular  
19 individual's fault and the fax maybe didn't come  
20 through or something, but that is a goal that we try  
21 to adhere to where we have it within six weeks of the  
22 close.

23 I mean, ideally, if you can give it the  
24 next pay period, it would be great, but we try to have  
25 it not more than six weeks because then I have to

1 write a memo to fairly high level.

2 CHAIRMAN MALMUD: Mr. Lieto?

3 MEMBER LIETO: Is there some way that we  
4 can --when we submit this is getting a confirmation  
5 that it's been received? Because I sent something in  
6 both via fax and hard copy mail and it didn't get  
7 processed. And I think the assumption is we faxed  
8 this in and it's getting handled and so forth. Is  
9 there some mechanism so that, you know, either via e-  
10 mail or some type of -- something that, you know, "Got  
11 your information", because if we don't get it, we can  
12 then assume you didn't get it and then follow up on  
13 it. But right now the assumption is no news is good  
14 news and I think that's not a very safe assumption.

15 DR. EGGLI; I have an even more  
16 interesting situation. Since we've gone to direct  
17 deposit, not a penny has been deposited in my checking  
18 account. However, I did get a W-2 and had the  
19 privilege of paying income tax on the money I did not  
20 receive.

21 MEMBER BAILEY: I've got one better, I got  
22 a W-2 and didn't receive any money, period.

23 MEMBER EGGLI: Well, that's exactly what  
24 happened to me, I got no money but I had to pay taxes  
25 on the money I didn't get.



1 CHAIRMAN MALMUD: Thank you all for the  
2 time, the effort and for being here and participating  
3 and we wish you a safe trip home and look forward to  
4 speaking to you on the conference call in May and  
5 seeing you well, and well-rested after the summer at  
6 the meeting in October. Thank you all.

7 (Whereupon, at 12:02 p.m., the above-  
8 entitled matter concluded.)  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

