

**Official Transcript of Proceedings**

**NUCLEAR REGULATORY COMMISSION**

Title: Advisory Committee on the Medical  
Uses of Isotopes: OPEN SESSION

Docket Number: (not applicable)

Location: Rockville, Maryland

Date: Tuesday, October 24, 2006

Work Order No.: NRC-1277

Pages 1-239

NEAL R. GROSS AND CO., INC.  
Court Reporters and Transcribers  
1323 Rhode Island Avenue, N.W.  
Washington, D.C. 20005  
(202) 234-4433

ORIGINAL

UNITED STATES OF AMERICA  
NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

OPEN MEETING

+ + + + +

Tuesday, October 24, 2006

+ + + + +

The meeting came to order at 10:30 a.m. in room  
T2B3 of Two White Flint North. Leon S. Malmud, M.D.,  
Chair, Presiding.

PRESENT:

Leon S. Malmud, Chairman  
Richard J. Vetter, Vice-Chair  
Edgar D. Bailey, Member  
William Van Decker, M.D., Member  
David Diamond, M.D., Member  
Douglas F. Eggli, M.D., Member  
Ralph P. Lieto, Member  
Subir Nag, M.D., Member  
Sally W. Schwarz, Ph.D., Member  
Orhan H. Suleiman, Ph.D., Member  
Jeffrey Williamson, Ph.D., Member

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

ALSO PRESENT:

Thomas H. Essig, Designated Federal Official

Charles L. Miller, NMSS/IMNS

Cindy Flannery, NRC

Angela R. McIntosh, NMSS/IMNS

John Szabo, Esq., OGC

Lydia Chang, NRC

Donna-Beth Howe, Ph.D., NRC

Duane White, NRC

Neelam Bhalla, NRC

James Firth, NRC

Ronald Zelac, Ph.D., NRC

Cindy Flannery, NRC

Ken Brown, M.D., ASNC

Paul Goldberg, NRC

William Ward, NRC

Mohammad Saba, NRC

# I N D E X

Opening Remarks, Thomas Essig, NRC . . . . .	4
Opening Remarks, Charles Miller, Ph.D., NRC . . . .	6
NARM Legislation Update, Lydia Change, NRC . . .	15
NARM Guidance, Donna-Beth Howe, Ph.D., NRC;	
Duane White, NRC . . . . .	26
Petitions for Rulemaking, Neelam Bhalla; James	
Firth, Ronald Zelac, Ph.D., NRC . . . . .	86
Staff Actions for Authorized Medical Physicist	
(AMP) and Radiation Safety Officer (RSO)	
Recognition, Ronald Zelac, Ph.D., NRC . . .	102
Status of Board Applications . . . . .	136
Cindy Flannery, NRC	
Attestation for RSO, Ken Brown, M.D. . . . .	156
Interim Inventory and National Sealed Source .	186
Tracking, Paul F. Goldberg,	
William R. Ward, NRC	
Status of Medical Events, Ralph Lieto, ACMUI;	
Donna-Beth Howe, Ph.D., NRC . . . . .	191
Patient Release, Cindy Flannery, NRC . . . . .	222
Administrative Closing/Action Item Review . . .	233
Adjourn	

## **NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



P-R-O-C-E-E-D-I-N-G-S

10:39 A.M.

CHAIR MALMUD: We will resume and call together our regular session. The session will begin with opening remarks and we have a very tight schedule today and therefore we will ask Mr. Essig to introduce Dr. Miller. And Mr. Essig has some opening remarks.

Tom?

MR. ESSIG: Thank you, Dr. Malmud. As Designated Federal Officer for this meeting, I am pleased to welcome you to Rockville for the public meeting of the ACMUI. My name is Thomas Essig. I am Deputy Director of the Division of Intergovernmental Liaison and Rulemaking and have been designated as a federal officer for this advisory committee in accordance with 10 CFR Part 7.11.

Present today as the alternate Designated Official, Federal Officer is Cynthia Flannery who is the team leader for Medical Radiation Safety within the Medical Safety and Event Assessment Branch of the Division of Materials Safety and State Agreements.

Both of the aforementioned divisions are part of the Office of Federal and State Materials and Environmental Management Programs which was established on October 1, 2006.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   This is an announced meeting of the  
2 Committee. It is being held in accordance with the  
3 rules and regulations of the Federal Advisory  
4 Committee Act and the Nuclear Regulatory Commission.

5                   The meeting was announced in the October  
6 3, 2006 edition of the Federal Register, Volume 71 at  
7 page 58443.

8                   The function of the Committee is to advise  
9 the staff on issues and questions that arise on the  
10 medical use of byproduct material. The Committee  
11 provides counsel to the staff, but does not determine  
12 or direct the actual decisions of the staff or the  
13 Commission. The NRC solicits the views of the  
14 Committee and values them very much.

15                  I request that whenever possible we try to  
16 reach consensus on the various issues that we will  
17 discuss today, but I value the minority or dissenting  
18 opinions. If you have any such opinions, please allow  
19 them to be read in the record.

20                  As part of the preparation for this  
21 meeting, I've reviewed the agenda for Members and  
22 employment interests and based on the very nature of  
23 the discussion we're going to have today. I've  
24 identified any items that would pose any conflict.  
25 Therefore, I see no need for an individual member of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the Committee to recuse themselves from the  
2 Committee's decision making activities. However, if  
3 during the course of our business, you determine that  
4 you have such a conflict, please state it for the  
5 record and recuse yourself from that particular aspect  
6 of the discussion.

7 At this point, I would like to introduce  
8 the Members that are here today: Dr. Leon Malmud,  
9 Chairman, Health Care Administrator; Dr. Richard  
10 Vetter, Vice Chairman, Radiation Safety Officer; Dr.  
11 James Welsh, Radiation Oncologist; Dr. Subir Nag,  
12 Radiation Oncologist; Dr. William Van Decker, Nuclear  
13 Cardiologist; Dr. Douglas Eggli, Nuclear Medicine  
14 Physician; Dr. Sally Schwarz, Nuclear Pharmacist; Dr.  
15 Jeffrey Williamson, Therapy Physicist; Mr. Ralph  
16 Lieto, Nuclear Medicine Physicist; Mr. Edgar Bailey,  
17 State Representative; and Dr. Orhan Suleiman of the  
18 Center for Drug Evaluation Research of the U.S. FDA.

19 I would note that although Dr. Welsh's  
20 appointment has received management approval, his  
21 security clearance is being processed at this time.  
22 Therefore, his appointment will not be official until  
23 the security processing is complete.

24 I would also know that the Patient  
25 Advocate Representative on the Committee is currently

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 vacant and nominations are under consideration.

2 Dr. Malmud, as Committee Chairperson, you  
3 will conduct today's meeting and following a  
4 discussion of each agenda item, you may at your option  
5 entertain comments or questions from members of the  
6 public who are participating with us today.

7 Dr. Malmud?

8 CHAIR MALMUD: Thank you, Mr. Essig.  
9 We'll move immediately to the next item on the agenda  
10 which is the opening remarks by Dr. Miller.

11 Dr. Miller?

12 DR. MILLER: Good morning. I'd like to  
13 welcome all the members of the public to the open  
14 session of the meeting.

15 What I wanted to cover today was the  
16 recent reorganization of NMSS and the Office of State  
17 and Tribal Programs and to walk you through what the  
18 new organization structure will be and who the players  
19 will be.

20 But before I do that, I just wanted to  
21 touch on a couple of things. First, I'd like to  
22 welcome Dr. Welsh to the Committee. I'm sure you'll  
23 find the discussions invigorating and enlightening and  
24 we look to you to help us in the radiation oncology  
25 area in providing advice.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   Secondly, I'd like to congratulate Dr.  
2                   Vetter on his appointment as Vice Chair. He's been  
3                   kind of informally helping in that capacity. Now we  
4                   have formalized the process, so with Dr. Malmud as  
5                   Chair and Dr. Vetter as Vice-Chair, and with the  
6                   participation of the full Committee Members, we feel  
7                   that the Committee is in very good hands.

8                   Thirdly, I'd just like to touch on the  
9                   fact that as Tom mentioned, the Patient Advocacy  
10                  position is currently vacant. We are very anxious to  
11                  fill that. It's very important for us to make sure  
12                  that the patients' concerns and the patients' views  
13                  heard at this forum, so we will be trying to fill that  
14                  position as quickly as possible.

15                 Finally, before I get into the  
16                 reorganization, I wanted to acknowledge that for those  
17                 of you who have known Tom for a long time, this will  
18                 be Tom's last meeting. Tom is retiring in early  
19                 November and so Tom is moving off to bigger and better  
20                 things. He's bought a home on the West Coast and is  
21                 going out to live in the Seattle area so he can be  
22                 near his children and grandchildren and I'm sure that  
23                 that's something that he's looked forward to for some  
24                 time. We're going to miss Tom. I've worked with Tom  
25                 for a long time and I'm going to miss the dedication

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that he's given, not only to this Committee, but his  
2 expertise in the health physics area.

3 With that, I'd like to go into the  
4 reorganization and kind of show you how things fit  
5 together. The Commission had made a decision as a  
6 result of a lot of future activities to reorganize the  
7 Agency and what they have done is they have divided  
8 the Office of Nuclear Reactor Regulation into two  
9 offices. That reorganization will take place on  
10 January 1st and it will include the Office of Nuclear  
11 Reactor Regulation which will focus on existing  
12 reactors and it will have a new office devoted to new  
13 reactors. The Agency is expecting to receive a number  
14 of orders for new reactors over the next several  
15 years, based upon the renewed interest in nuclear.

16 So to position ourselves for doing that,  
17 the Agency has decided to reorganization the reactor  
18 area. In addition, to support that and all the  
19 associated things that go along with the potential  
20 resurgence of the nuclear industry and to look at some  
21 additional challenges that we have is the Commission  
22 decided to reorganize the Office of NMSS and to  
23 combine portions of NMSS with the former Office of  
24 State and Tribal Programs and create a new office  
25 which is titled the Office of Federal and State

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Materials Environmental Management Programs which is  
2 a very long title that came about through Commission  
3 deliberation.

4 And I have been asked to lead that new  
5 office. Our office was stood up on October 1st, the  
6 beginning of the fiscal year and we are currently  
7 functioning. As a result of my new assignment, I will  
8 be transitioning off of my current position with  
9 regard to the Committee and I'd like to introduce  
10 Janet Schlueter who is going to be replacing me in  
11 that capacity. For those of you who don't know Janet,  
12 Janet has worked with the Agency for a number of years  
13 and she started out in the health physics and medical  
14 areas, so she's got a lot of experience in this area.  
15 Janet was the former Director of the Office of State  
16 and Tribal Programs and she will continue to have  
17 oversight for those activities in her current  
18 capacity.

19 George Pangburn will serve as my Deputy.  
20 George is currently the Director of the Division for  
21 Nuclear Materials in our Region 1 office and George is  
22 going to be transitioning down here to headquarters  
23 over the next month or so.

24 The chart that I've got up on the screen  
25 also shows the full complement of my office and I will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 talk in more detail about the division for which  
2 ACMUI's these activities will be included, but I'd  
3 like to first touch on the other divisions that we  
4 have which are the Division of Intergovernmental  
5 Liaison and Rulemaking which will be led by Dennis  
6 Rathbin. Dennis has served many years either in the  
7 Chairman's Office or as the Director of Congressional  
8 Affairs in his former capacity, so he brings a lot of  
9 intergovernmental experience. We've put the  
10 rulemaking function in that group so as it pertains to  
11 these activities, any changes to Part 35 will be  
12 promulgated through that division's activities.

13 Also, the Division of Waste Management  
14 Environmental Protection pretty much came intact from  
15 NMSS. That division will focus on decommissioning  
16 activities, environmental reviews and will also focus  
17 on some of the waste issues that are other than high  
18 level waste. And in addition, Uranium Recovery has  
19 been added to that and I'll briefly mention with the  
20 price of uranium skyrocketing, there's a lot of  
21 renewed interest in possibly techniques to recover  
22 uranium for uses in the nuclear fuel cycle.

23 Janet -- and I'd like to focus really on  
24 Janet's division -- Janet is assisted by Scott Moore.  
25 Scott will be Janet's deputy in this organization and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 many of you know Scott from his rulemaking activities.

2 In addition, if you look over to the left  
3 side of the chart, you'll see the Medical Safety and  
4 Event Safety Branch. That's going to be the group led  
5 by Sandy Wastler. Sandy is sitting over here at the  
6 side. Many of you know her. And for those of you who  
7 are regulars at this meeting, you'll see that the  
8 players that you're familiar working with will all be  
9 part of Sandy's branch. In addition, Sandy will be  
10 replacing Tom as our Designated Federal Official for  
11 the future activities of this forum.

12 The State Agreements and Industrial Safety  
13 Branch is currently -- Patricia Rathbin is acting as  
14 Branch Chief. We are in the process of selecting a  
15 permanent person for that. They're going to focus on  
16 the agreement state activity, so there will be a lot  
17 of synergy as well as the materials safety activity  
18 which has been formerly in the division that I led,  
19 IMNS. So there will be a lot of interchange between  
20 the State Agreements group and the Medical Safety  
21 group as it relates to interaction between agreement  
22 state activities in the medical area and the federal  
23 activities in the area and there are a lot of issues  
24 that I know that come before the Committee, especially  
25 in things like the training area that have a synergy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 between agreement state activities and federal  
2 activities.

3 On the right is the Source Safety and  
4 Security Branch led by Tim Harris. Tim has got  
5 responsibility for this and source securities will  
6 overly with the medical activities. I mean we've done  
7 a lot since 9/11 to try to increase the security of  
8 sources and the medical area is no exception. And so  
9 we have to make sure that the three branches work in  
10 concern under Janet's leadership.

11 And I guess with that, that's about all I  
12 wanted to say on that. I'll quickly flip through the  
13 other divisions, as I mentioned. Tom has been serving  
14 as Dennis' deputy in these few weeks prior to his  
15 retirement. We have an Intergovernmental Liaison  
16 Branch which will focus on liaison with other federal  
17 entities and with our tribal functions that we have.  
18 As many of you know, the Indian tribes in the United  
19 States are considered sovereign nations so we have  
20 activities with them as another government entity.  
21 The rulemaking activities will pretty much stay intact  
22 as they have been with Rulemaking Branch A and  
23 Rulemaking Branch B. The one thing I'll mention is  
24 that Charlotte Abrams, who was the chief of the  
25 rulemaking, what was Section A and now Branch A, has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 moved over to our Office of International Programs as  
2 part of these activities to kind of broaden her  
3 horizons. So we're in the process of trying to pick  
4 a chief for that group, but the rulemaking branches  
5 will be focused on activities that I know that will  
6 interface with this group over the next several years.

7 That's about all I wanted to say, Dr.  
8 Malmud. I'll entertain any questions if anyone has  
9 any at this time.

10 CHAIR MALMUD: Thank you, Dr. Miller. If  
11 I may, on behalf of the Committee, we would like to  
12 express our thanks and our best wishes to Tom for his  
13 service with us and for his guidance with us and to  
14 both of you simultaneously, to Tom with best of luck  
15 in his retirement from government service here and his  
16 relocation to Seattle and for you with regard to your  
17 additional responsibilities. It's been a very  
18 collegial experience working with the two of you. We  
19 have enjoyed it and we have been able to accomplish  
20 some things that could only be accomplished with the  
21 collaboration of the NRC and this Committee. We wish  
22 you both the very best in the future.

23 (Applause.)

24 DR. MILLER: I'd just like to say on my  
25 behalf, I've really enjoyed working with the Committee

1 and again, I'm not going to be a stranger. The  
2 Committee's activities will be within the authorities  
3 that I have in my office, so I don't plan on being a  
4 stranger. I plan on coming down and observing some of  
5 the Committee's activities from time to time on  
6 special topics. So I'm sure I'll be seeing all of you  
7 and I appreciate the willingness of the Committee to  
8 work collegially with Tom and I on many of these  
9 activities. I think sometimes we've had good debates.  
10 I think debates are healthy. I think they're  
11 productive and without diverse views. I don't think  
12 that a lot of the problems that we solve can get  
13 solved and I very much value the diverse views  
14 presented by the various members of the Committee. So  
15 I wish you well. I wish the Committee a good future  
16 and I hope that we continue to resolve the issues that  
17 come before us that come under the Committee's  
18 purview.

19 And with that, I will transition my  
20 activities to Janet so that she can fill the chair  
21 that I've filled for the last number of years and I  
22 wish you all a good meeting. Thank you.

23 CHAIR MALMUD: Thank you again, and  
24 welcome to Janet. And if I may I'll move right agenda  
25 with the agenda and introduce Lydia Chang who will

1 present the subject of NARM legislation update.

2 MS. CHANG: Today, I just want to give you  
3 a quick status report of the NARM rulemaking. We are  
4 still working on common resolutions, so we do not have  
5 a lot of decisions made at this time. So my status is  
6 basically on what we have done since the last meeting  
7 in April.

8 Since last ACMUI meeting in April, we have  
9 issued a SECY paper 06-0069 for the proposed rule. We  
10 did make the SECY paper publically available as soon  
11 as possible. The Committee also has briefed the  
12 Commission back on May 15th, along with some  
13 stakeholders from the medical community and the OAS  
14 and CRCPD.

15 On June 28th, the Commission did issue a  
16 final SRM and with the SRM, the Commission did direct  
17 the staff to be flexible in working with the states,  
18 especially with OAS and CRCPD regarding the  
19 compatibility designation of health and safety for  
20 byproduct material definition. The Commission also  
21 approved the staff's proposal for implementation  
22 strategy.

23 In addition, the Commission has directed  
24 the staff to include some kind of exemption to antique  
25 collector facilities and repair shops for time pieces

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 including radium. So as a result, the Commission  
2 direction we revised the proposal to include the  
3 repeat activity of 10 time pieces within a year.

4 On July 28th, we finally published a  
5 proposed rule in the Federal Register and I've  
6 included a citation here for your convenience. On  
7 August 22nd, we also held a public meeting in Las  
8 Vegas. We had quite a few medical communities show in  
9 support of the proposed rule and we really appreciated  
10 that. They also gave us some comments to improve our  
11 proposed rule. The proposed rule was published for a  
12 45-day comment period which ended on September 11.

13 We have received a total of -- actual  
14 around -- right now it's 29. We just received another  
15 comment letter last week from NEI, so right now we  
16 have a total of 39 comment letters received on the  
17 rulemaking. Most of the comment letters were received  
18 prior to the September 11 due date. There were two or  
19 three that were submitted post that and we are  
20 considering those comments as well.

21 Fourteen of those 29 comments were  
22 comments submitted by the states and I have listed all  
23 of them here. Four comment letters were from federal  
24 agencies including EPA, Air Force, Navy and Veterans  
25 Affairs. The remaining letters are from citizens. We

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 had seven letters from citizen groups, eight from  
2 professional organizations including the Health  
3 Physicists Society, the ASTRA, the ASNC, the CORAR,  
4 the SMM, AAPM, ACR, NEI, National Watch and Clock  
5 Museums, quite a few people. We also have comments  
6 from two universities and four industry groups.

7 In addition to those 39 comment letters,  
8 we also received a letter for extension requests from  
9 the Nuclear Information and Resource Service and  
10 Sierra Club, requesting us to extend the comment  
11 period until the end of October. Since we have been  
12 making most of the draft proposed rule available for  
13 the public and we have included all the background  
14 documentation within our website, NRC decided we would  
15 deny the request. So on September 21st, NRC sent a  
16 denial letter to the Nuclear Information and Resource  
17 Service and Sierra Club, denying their request of an  
18 extension.

19 In addition, this rulemaking has such  
20 tight statutory deadlines. We really cannot afford to  
21 grant any extensions at this time.

22 I just want to kind of quickly summarize  
23 the type of comments that we have received. Of  
24 course, compatibility designation is a huge one from  
25 the agreement states and also from the organizations

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 from agreement states.

2 The health and safety identification is  
3 really an adequacy determination to ensure a central  
4 objective of the program elements are adapted. Many  
5 of the agreement states are concerned that we might  
6 require change of their definition within their  
7 statute and also in their regulation. And in their  
8 mind, it is really no benefit at all and would be very  
9 time consuming and resource intensive, so they  
10 recommend to NRC to really clarify the intention of  
11 the health and safety identification and how that  
12 would be implemented within the impact review. And  
13 NRC under the direction of the Commission, we are  
14 going to be as flexible as possible in the  
15 implementation stage in defining -- I guess in  
16 implementing the definition of byproduct material.

17 As far as for the definition of discrete  
18 source, we got quite a few comments. Most of the  
19 comments are related to the term physical boundary  
20 that we were -- that was included in the definition of  
21 discrete source. So we are evaluating that.

22 We also had one commenter indicated that  
23 whether the material is going to be used for  
24 radiological purpose or not should be irrelevant in  
25 defining the definition of discrete source. So we are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)



1 having some working group discussion regarding the  
2 definition of discrete source and try to streamline  
3 the definition to be as simple as possible and as less  
4 ambiguous as possible. Right now, we don't have a  
5 final decision yet. It's under discussion.

6 As far as the regulation, items containing  
7 radium, 226, we have a lot of different comments from  
8 one extreme to the other. Very broad opinions. A lot  
9 of the agreement states indicated that they have not  
10 found any health issues with radium items and then we  
11 also have some individuals that indicated that most of  
12 the collectors do not know what they are dealing with  
13 and there are huge health and risk significance that  
14 they may not be aware of.

15 Of course, a lot of people indicated that  
16 they doubted that there are any consolidated source  
17 information the NRC could use and come up with  
18 technical basis in supporting changes to our proposed  
19 regulation. We also have quite a few commenters  
20 recommended that we should do a very systematic study  
21 and evaluation to come up with a regulatory framework.

22 So as a result that we actually did  
23 request our research folks to do a more detailed  
24 analysis. We submitted a user need memo back in July  
25 to our research people. In turn, they also have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       tasked the Oak Ridge Institute of Science and  
2       Education to help us in at least gathering the  
3       information with radium-containing items and also to  
4       do some kind of dose modeling to see what kind of  
5       dose, public dose that might have. Right now, that  
6       work is still ongoing. We did discuss the priorities  
7       on what they can support, so right now they will be  
8       focusing on supporting technical basis for any kind of  
9       exemptions such as exemptions of one microcurie of  
10      radium, time pieces and also repair items, no more  
11      than 10 radium time pieces per year, as the Commission  
12      has directed us to do.

13               So based on their technical study, we  
14      might need to revisit what we have proposed within  
15      those two areas and as far as the more broader  
16      materials such as antiquities and other material that  
17      contains radium sources, they may not be able to come  
18      up a whole lot of technical information to support any  
19      changes. So it may look like that we must proceed  
20      with the general license because it's a really a happy  
21      medium to at least let people continue to use the  
22      material, but still have some minimum control of  
23      leakage and disposal.

24               There were also a lot of comment letters  
25      related to contaminated sites. Most of them are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 related to old radium sites, especially for Navy and  
2 Air Force type of facilities. Office of Facilities  
3 supported Air Force operations in the past. There  
4 were some discussions on whether we are going to come  
5 up with de minimus, whether previously clean up sites,  
6 whether or not NRC is going to accept that or not.  
7 How are we going to be working with EPA. EPA is  
8 already involved in cleaning up those sites for base  
9 closure. So there are a lot of questions regarding  
10 how we're going to be interacting with the other  
11 agencies and what type of authority NRC has. Right  
12 now, we're still working on responding to those  
13 comments.

14 There are a couple of questions regarding  
15 clarification of licensing practices. They indicated  
16 whether one license was needed for some activities or  
17 multiple licenses would be needed; whether how that's  
18 different with agreement states. So it's just a  
19 little reminder, a clarification on NRC licensing  
20 practice that's needed.

21 There's quite a few comment letters  
22 related to specific values for ALI and DAC for  
23 nitrogen-13 and oxygen-15. As you know, being a  
24 proposed rule, we did a quick and dirty calculation  
25 and was including the proposed rule, even though we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 did not propose to change the regulation, but there  
2 were overwhelming numbers of the medical community  
3 that would like us to include a specific value, even  
4 though the value, it's only maybe one to two magnitude  
5 larger than the value in the Part 20.

6 We did ask Research to work with Oak Ridge  
7 National Lab to come up with the number, with a  
8 specific number that's consistent with Part 20  
9 methodology and also consistent with the common  
10 practice in those calculations. So we should have the  
11 final report from Oak Ridge National Lab through our  
12 research folks, hopefully by this week.

13 It is highly likely that we will include  
14 specific value within the final rule and based on what  
15 I heard from our research folks, the number is still  
16 very, very consistent to what the comment letter has  
17 submitted and also our preliminary calculation within  
18 the proposed rule.

19 There were a few comment letters regarding  
20 the grandfathering of Authorized Use or Authorized  
21 Nuclear Pharmacists, Radiation Safety Officers,  
22 Authorized Medical Physicists. Some of them just want  
23 us to clarify what does that include and also wants ut  
24 to include some cyclotron operators and engineers  
25 within the grandfathering clause, not just in Part 35,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 but also in Part 30. There are also some comment  
2 letters that indicated that we should resolve the  
3 issue related to Radiation Safety Officer and  
4 Authorized Medical Physicists within this rulemaking  
5 instead of the other effort and I believe Ron has  
6 already drafted the Commission paper in that area.

7 There are one or two comment letters  
8 regarding clarification on noncommercial distribution,  
9 whether the noncommercial distribution within Part 35  
10 that we have included within the proposed rule that  
11 should also be included in Part 30. And also the  
12 terminology of consortium, what do we mean by  
13 consortium within a medical institution, for  
14 noncommercial distribution.

15 There are also some discussions on  
16 decommissioning of accelerators. There was a mixed  
17 bag of comments on accelerators. There were some  
18 comments related to nonproduction accelerators and  
19 what kind of decommissioning requirements are needed.  
20 Based on our preliminary discussion within the working  
21 group, NRC is not going to be regulating nonproduction  
22 accelerators. Therefore, replacing components or  
23 replacing the accelerators, it's not under NRC  
24 jurisdiction as far as we are concerned.

25 For protection accelerators, I guess there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 are some discussions on -- I guess on the activated  
2 material, what needs to be included and what does not  
3 need to be included, depending on the energy level.  
4 There are quite a few commenters that were concerned  
5 with financial assurance for decommissioning,  
6 especially for cyclotron since I guess the shielding,  
7 the buildings, that could potentially be activated and  
8 decommissioning costs based on the comment letter  
9 indicated that could be very, very huge. So there  
10 were some comments indicated that perhaps NRC should  
11 exempt financial assurance for accelerators that's  
12 less than 16.5 MEVs since they don't believe the  
13 activated material is going to be a huge concern.

14 There were also some concerns regarding  
15 fee categories. A couple comment letters indicated  
16 that they don't believe we need to separate fee  
17 category for accelerator production, no, production  
18 facilities using accelerators. Right now, we are  
19 still having discussions with our fee group and also  
20 within the working group in that aspect.

21 The last thing, waiver termination and  
22 transition plan. There were quite a few comments  
23 indicating that we should maintain the waiver until  
24 the medical and scientific communities are ready to  
25 implement the rulemaking instead of using the phased

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 approach to terminate the waiver early.

2 As I say, we are still working on comment  
3 resolutions and we don't have the final response to  
4 all those comments. We are still working on it.

5 Our next step is to continue to address  
6 public comments, revising the regulatory requirements.  
7 Right now, I think we probably will be revising the  
8 definition of discrete source and depending on results  
9 from research and their contractor, we may need to  
10 revisit some of the regulatory framework for rating  
11 sources.

12 I think besides that, there are just some  
13 minor adjustments and clarification that's needed.  
14 Once we have the comment resolution, then we'll start  
15 drafting the Federal Register for the final rule and  
16 then sending the draft proposed, draft final rule to  
17 the states for review, also drafting the Commission  
18 paper and then initiating the office concurrence  
19 process.

20 Right now, our goal is to submit the  
21 Commission paper and the rulemaking package to the EDO  
22 by December 22nd and once the EDO signs off, then we  
23 will have Commission paper and we will release to the  
24 public once that's signed. That's all I have for  
25 today.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 CHAIR MALMUD: Thank you. Are there any  
2 questions for Ms. Chang?

3 Dr. Schwarz?

4 MEMBER SCHWARZ: Sally Schwarz. I'm just  
5 curious about when you think you'll be sending the  
6 draft to the states and at the same time will you be  
7 sending it to the ACMUI?

8 MS. CHANG: Yes. Right now, I'm hoping to  
9 be able to send it in early November, early to mid-  
10 November.

11 MEMBER SCHWARZ: Will it also go out to  
12 ACMUI at that time?

13 MS. CHANG: Yes, it will.

14 MEMBER SCHWARZ: Okay.

15 CHAIR MALMUD: Any other questions? If  
16 not, thank you for the presentation. Thank you.

17 If we may, we'll move on to the next item  
18 on the agenda which is the NARM guidance. The  
19 presenter will be Dr. Howe, with Duane White from the  
20 NRC. And the speakers will provide the Committee with  
21 updates on the NARM guidance.

22 (Pause.)

23 MS. WASTLER: Donna-Beth, why don't you go  
24 ahead and get started. They have the slides in their  
25 book, while they try to fix the technical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 difficulties, PowerPoint.

2 MS. HOWE: Actually, it's a Corel  
3 presentation. Most people aren't used to using it.  
4 I only have three slides.

5 (Laughter.)

6 Basically, on the first slide, for the  
7 changes, I'm going to be talking about the changes to  
8 Volume 9 which are the -- which is the guidance for  
9 submitting an application for medical use license and  
10 the first slide shows changes that really aren't part  
11 of the NARM rulemaking, but we believe that this was  
12 the time to make some of these generic changes. So  
13 the generic and general changes quickly are really  
14 simplistic things. We're adding some MSI units.  
15 We're updating the agreement state map. Probably the  
16 most important one is that we're beginning to add  
17 information about sensitive information. And so we've  
18 modified the section about sensitive information and  
19 we've sent people to the website to get the most  
20 recent guidance on submitting sensitive information to  
21 the NRC.

22 As part of our increased awareness of  
23 providing sensitive information to the public and not  
24 having it out to the public, we're also revising the  
25 format of our sample licenses so that they contain the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 same information, but they don't really look like real  
2 licenses so it would be more difficult for someone to  
3 use our sample licenses to produce forged licenses.  
4 So you'll see some changes to the licenses. A lot of  
5 the watermarks, standard wording and other things are  
6 removed, but the information that's in the license  
7 will remain the same.

8 Now we also have made some very minor  
9 changes to Part 35 since the 2005 T&E rule. The new  
10 Volume 9, Revision 2 will remove all references to  
11 subpart J. We've also revised the RSO guidance and  
12 attestation to incorporate the minor changes that we  
13 made back in the fall -- the winter of 2006, with  
14 regard to the pathway for authorized users, authorized  
15 nuclear pharmacists, authorized medical Physicists to  
16 become recognized as Radiation Safety Officers.

17 Now we'll get to the body of the changes  
18 that were made to reflect the new NARM rule. One of  
19 the things we really did a surgical type of change to  
20 Volume 9, so we just went in and looked at the NARM  
21 rule itself and the minimum changes that we could make  
22 to bring this guidance up into conformance with the  
23 new rule.

24 So you will see references to the Energy  
25 Policy Act, an explanation that the Energy Policy Act

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 now increase our jurisdiction over accelerator-  
2 produced radioactive materials and also Radium 226,  
3 discrete sources. And there will be reminders  
4 throughout the document that these are now part of  
5 byproduct material.

6 We've -- I've also got a discussion in a  
7 number of places about the effects of the multiple  
8 waiver determination because this waiver determination  
9 will be spread out from when the rule becomes final in  
10 2007 through August of 2009.

11 Now we also in the rule grandfathered  
12 medical Physicists, nuclear pharmacists, physicians,  
13 podiatrists, dentists and RSOs who only work with  
14 accelerator-produced materials. So you'll see  
15 information in the new reg that will explain the  
16 grandfathering provisions for these individuals.  
17 You'll also see that we have now made it clear the  
18 documentation that's needed to meet the grandfathering  
19 conditions and in that case, they just have to  
20 document that they use this material under the waiver  
21 and it is for the same uses that they are going to be  
22 asking to be put on a license for.

23 The Radium 226 clarification. In this  
24 case, we don't believe Radium 226 is being used for  
25 medical use. There could be somebody out there doing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 it. We have not forbidden it in the new regulation.  
2 So we've essentially put a disclaimer that we will be  
3 adding Radium 226 in the guidance even though we don't  
4 think anybody is using it for medical uses and we will  
5 address how we believe it fits into our regulatory  
6 scheme.

7 Radium 226 was used in past years for  
8 manual brachytherapy. If someone came in and said  
9 that they were going to use Radium 226 for manual  
10 brachytherapy, we would just apply the 35.400  
11 requirements to them. If someone comes in and says  
12 they're going to use unsealed Radium 226, we're  
13 thinking right now we're going to put that over in  
14 35.1000 because we don't believe that was a use that  
15 was -- we don't believe it was being used for that  
16 purpose before and that's something we would certainly  
17 want to have additional information on before we  
18 authorized it.

19 I've added a new subsection to talk about  
20 discrete sources or Radium 226 other than sealed  
21 sources. We also recognize that there may be sources,  
22 sealed sources for Radium 226 out there that -- and  
23 I'll cover it later in some of the technical issues  
24 that are not -- don't have sealed source and device  
25 registrations or the sources that they have are so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 small that they really can't tell who the manufacturer  
2 was or what the model number is. So we've addressed  
3 that in some of our technical issues.

4 For PET radionuclide production  
5 clarification, I have a few paragraphs in the  
6 introductory part of the new reg that essentially  
7 indicates that Volume 9 does not authorize the  
8 production of radioactive materials using an  
9 accelerator and that if you are intending to have  
10 those activities, then you need to go over to Volume  
11 21 which Duane will talk to you about later for  
12 guidance on how to submit an application for that  
13 process.

14 I have a description there of what we  
15 consider a consortium and I have also a discussion  
16 that if you are a medical licensee and you have  
17 accelerator -- are going to be producing accelerators,  
18 you're going to go to Volume 21 for the production  
19 part. If you're going to use the isotopes internally,  
20 you don't need an additional license. If you're going  
21 to also be involved in the commercial distribution,  
22 then you need a commercial distribution license and  
23 you need to go over to Volume 13 for that authority.  
24 So I've provided references to other NUREGs to where  
25 people can find information on the licenses that they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 would need, depending on the activities they're  
2 getting into.

3 I've addressed the fact that the facility  
4 diagrams, if you're going to have an accelerator and  
5 you're going to deliver products directly to 35.100  
6 and .200 rooms, you need to include these transfer  
7 tubes or direct transfer delivery lines. And on  
8 technical issues, we recognize that there's a higher  
9 potential for increased doses to workers in the public  
10 because of the increased energy and so we're expecting  
11 that licensees may have additional discussion or  
12 information about shielding in the area of these  
13 higher activity, higher energy level sources.

14 In technical issue, I indicated that we  
15 think that there may be some devices out there that  
16 don't have SSDs. If that's the case, we're going to  
17 handle those on essentially a case by case issue and  
18 have the applicant essentially go to the regions and  
19 ask for guidance on what to do in those cases. We're  
20 not going to prohibit it, we're just not going to be  
21 able to get the same kind of information we normally  
22 get.

23 Jeff?

24 MEMBER WILLIAMSON: Are you going to  
25 expect individual accelerators and synthesis modules

1 to have SS --

2 MS. HOWE: No, we are not regulating the  
3 accelerators and so we will not be listing model  
4 numbers or manufacturers for accelerators. And  
5 synthesis kits are under either production or under  
6 commercial nuclear pharmacy processes and we are not  
7 looking for models or additional information on that.  
8 We would be looking for shielding, if you were doing  
9 it, because you do need more shielding than you would  
10 use for normal preparation of radioactive drugs.

11 MEMBER WILLIAMSON: So what sorts of  
12 devices are you thinking of including in the registry?

13 MS. HOWE: We're thinking maybe there's  
14 some old radium devices out there that are being used  
15 for some purpose.

16 MEMBER WILLIAMSON: You're not thinking of  
17 accelerator-produced -- other than the typical sources  
18 that we already use in radiation oncology, sealed  
19 sources.

20 MS. HOWE: No, I think for the -- we would  
21 expect the palladium sources to have manufacturers and  
22 models. There might be some Cobalt-57 sources that a  
23 licensee might have that might be fairly old. Most of  
24 the half lives are fairly short, so that's not going  
25 to be a factor here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Ed?

2 MEMBER BAILEY: I think in looking at the  
3 NARM sources, there was Idaho Nuclear that was doing  
4 it, but I think most of the modern accelerator sources  
5 have been brought in under the SS&D system.

6 I would be surprised to see if there's any  
7 medical radium sources that have an SS&D, because 20  
8 or 30 years ago we tried to do that and nobody was  
9 really manufacturing them at that time and they were  
10 just using the old ones.

11 Most of the agreement states do not have  
12 anybody who still uses that modality of treatment,  
13 although some facilities may have them in storage.

14 MS. HOWE: I think that's the point. The  
15 older sources that -- we believe right now most  
16 sources are coming through the agreement states if  
17 they are NARM material, but for the older sources that  
18 you may not have the information available, is to  
19 cover them. We didn't want to exclude them. We don't  
20 think there are very many there.

21 Let's see, we wanted to make it clear that  
22 in the guidance, if things have SS&Ds, seal source and  
23 device registration certificates, there's normally a  
24 discussion about leak testing and we wanted to make it  
25 clear that if there were any of these old devices out

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1       there, that you could not tie to an SS&D, that the  
2       license conditions for leak testing would still apply  
3       to them. So to make sure there was not a gap.

4               The old Volume 9 did not address alpha  
5       emitters because they weren't believed to be very many  
6       of the old type of byproduct material that would be  
7       alpha emitters, but with the advent and the addition  
8       of accelerator-produced materials we are going to see  
9       more alpha emitters being used for medical use, so  
10      we've provided a quality factor. We've discussed the  
11      difficulties associated with alpha counting. We've  
12      advised people that it's probably better to use unit  
13      dosages or volumetric measurements compared with the  
14      manufacturers' activity values because we have seen  
15      quite a few problems on the betas and we expect it to  
16      be even worse for the alphas as far as people  
17      measuring things in their standard type of dose  
18      calibrators or other instrumentation and coming up  
19      with accurate numbers. So we think the manufacturer  
20      is probably a better source for that information.

21              Let's see. We're reminding people that  
22      their facility diagrams may change because now they  
23      may have additional areas that they used NARM material  
24      in that they didn't use byproduct and these are now  
25      parts, these are now areas that we regulate.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 I think in the Radiation Safety Program  
2 changes what you'll see is a constant reminder that  
3 within NRC's new authority under the Energy Policy  
4 Act, that areas that you may not have included in your  
5 NRC license before are now under NRC regulation to  
6 remind you that your procedures that you may not have  
7 been evaluated on for your NARM, the NARM material now  
8 comes under those and will be part of the NRC  
9 inspection. So it's more of a reminder to people that  
10 we have this additional jurisdiction and those  
11 materials that you used to use that were outside of  
12 our purview are now part of our purview. And so it's  
13 nothing more than really a reminder.

14 Sally?

15 MEMBER SCHWARZ: You talked about facility  
16 diagrams and lines running to 100 and 200 areas, would  
17 need to include those transport systems, but what  
18 about lines -- I mean you want them to other -- the  
19 PET areas that are not necessarily current 100, 200  
20 areas, right?

21 MS. HOWE: Right now, there's essentially  
22 -- there's an exemption for the broad-scope licensees  
23 to even identify changes to 100 and 200 areas and  
24 we're saying that in the new rule that if you do  
25 change to a 100, 200 area because of moving an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1        accelerator around in that particular area or moving  
2        a transfer tube in that particular area, we want to  
3        know about that. And then licensees before could just  
4        notify us if they made these changes and we're saying  
5        no, you need an amendment now just for the very  
6        special case of being associated with movement of the  
7        accelerator that's used for producing these materials  
8        or the transfer line, because we think that's a  
9        different radiation safety hazard than normally seen  
10       in 100 and 200.

11                    MEMBER SCHWARZ: So now that's requiring  
12       amendment?

13                    MS. HOWE: Yes.

14                    CHAIR MALMUD: Mr. Lieto?

15                    MEMBER LIETO: You're saying that this  
16       requirements an amendment even for broad scope  
17       licensees?

18                    MS. HOWE: Yes. It's a change to your  
19       facility diagram and that's not exempted for broad  
20       scopes.

21                    MEMBER LIETO: But you're allowed to make  
22       changes to 100 and 200 areas under a broad scope.

23                    MS. HOWE: A broad scope license can still  
24       make changes to 100 and 200 areas if it doesn't  
25       involve actively moving an accelerator around in those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 areas or moving a transfer tube from an accelerator  
2 that's directly piping radioactive material to the 100  
3 and 200, so it's a very, very limited change in the  
4 rule and it's only associated with those cases where  
5 you're sending a pipe for oxygen directly from the  
6 accelerator up to the 200 room because we think those  
7 are radiation safety changes we'd like to see.

8 Yes, Dick?

9 VICE-CHAIR VETTER: Is that clearly  
10 spelled out in this rulemaking? Because I don't think  
11 most broad scope licensees would recognize that.

12 MS. HOWE: I believe it is. It's under  
13 the broad scope exemptions. It says that that's not  
14 included in the exemption and it's also in the  
15 notification process.

16 Ed?

17 MEMBER BAILEY: That reminded me of a  
18 question. Does that mean that you are de facto  
19 accepting existing accelerator shielding and transfer  
20 lines?

21 MS. HOWE: Under the waiver, licensees or  
22 nonlicensees are allowed to continue to do what they  
23 were doing under the waiver, once the rule becomes  
24 effective. They can continue those operations until  
25 they have received final NRC licensing action on an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 amendment or a new license. We don't believe  
2 everybody is going to need an amendment. We've  
3 written licenses in very general terms for like 100  
4 and 200 uses. If you're just using -- if you're also  
5 using PET materials, the authorization currently  
6 stands as any 100, 200 material, that's covered by any  
7 -- any radioactive material covered by Part 35.100,  
8 .200, well, PET would fall under those categories.

9 But there are some other cases that you  
10 would need to provide information. So if your  
11 facility diagram changes, you need to provide that.  
12 That could be an amendment. There would be new areas  
13 of use.

14 MEMBER BAILEY: We repeatedly used PET,  
15 but there could be other accelerator-produced  
16 materials. And those are lumped in when we're using  
17 the phraseology PET?

18 MS. HOWE: Those are lumped in. If we  
19 have written the authorization in a very general term,  
20 then they are included. For the 400 users we do  
21 require that you list the manufacturers and model  
22 numbers. And so for the palladium sources, that  
23 wouldn't require an amendment to list those.

24 CHAIR MALMUD: Mr. Lieto?

25 MEMBER LIETO: Yes, just a caveat here in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 terms of licensing actions. In the agreement states,  
2 you're probably correct, there's not going to be a lot  
3 of changes, but there are in NRC states, a lot of  
4 mobile, PET-only registrants I'll call them because  
5 they're not licensed right now that exist. And they  
6 go to multiple sites and I think you should be a  
7 little careful here because I think you're going to  
8 see a fair number at least in the NRC regulated states  
9 licensing actions regarding these PET operations. And  
10 some of them are just on the mobile trailers  
11 themselves, but we're seeing at least in the State of  
12 Michigan an increasing number of areas being  
13 constructed where patients are going to be injected  
14 and in what we'll call the prep areas before they go  
15 to imaging on the trailer.

16 So there's going to be these use areas, if  
17 you will, that will require updating of specific  
18 licenses in NRC states, so I would be kind of -- just  
19 a caveat that there may be more actions going on at  
20 your regional level than you may realize.

21 MR. JAMES: And I think one of the things  
22 that we built into the regulation to recognize that we  
23 don't know exactly how many licensing actions we're  
24 going to have, is that we tied the ability to continue  
25 to use materials in the manner that you're using them

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 under the waiver to NRC's final licensing action,  
2 provided you got your amendment requests or your new  
3 license within the time frame, so that if NRC is  
4 inundated with a lot of requests for licensing  
5 applications that it takes its time to get through,  
6 people can continue to do what they're doing until we  
7 take our final licensing action. And that gives us an  
8 opportunity to go back and negotiate and talk to  
9 licensees and new applicants about what they're doing.  
10 So we've built that in case we have a tremendous flood  
11 because we didn't want anybody left out.

12 CHAIR MALMUD: Mr. Lieto?

13 MEMBER LIETO: Just a follow-up question.  
14 I would also encourage you to in your discussions with  
15 the regions because some of them have time metrics for  
16 licensing actions, that you give them more latitude in  
17 that metric or possibly suspending it during this  
18 transition time, just simply to be sure that we don't  
19 have some things fall through the cracks.

20 MS. HOWE: And we do have an additional  
21 concern that in the past we could essentially withdraw  
22 a request, therefore clear the boards, and in this  
23 case people are still using materials, so we're not  
24 going to have that flexibility.

25 CHAIR MALMUD: Dr. Schwarz?

1                   MEMBER SCHWARZ: And in that same line of  
2 thought in terms of time lines, with older facilities,  
3 specifically university facilities that don't move  
4 quickly, thinking in terms of the long-range time line  
5 for that group of people because certainly it's very  
6 difficult for universities to come into compliance  
7 quickly and the longer the time frame allowed is  
8 better and that way if one of your largest facilities  
9 is the older facilities, it seems like looking at that  
10 full length of time for each of these licenses to come  
11 into compliance might be a good thing, so that you're  
12 looking at the worst case as the end of it, you know?

13                   MS. HOWE: The one I will stress is that  
14 when the waiver is terminated for each licensee, that  
15 licensee is required by regulation to meet all the  
16 requirements in the regulation. What they are being  
17 given relief from is the fact that they may not have  
18 a license that authorizes the use of the material and  
19 so we've given them additional time to apply for an  
20 amendment or apply for a license, but we expect them  
21 to apply Part 20, the reporting requirements, the  
22 record-keeping requirements, as soon as the rule is  
23 effective for that particular licensee and there will  
24 be different time periods that the rule will come into  
25 effect for the federal and tribal groups that will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1       come into effect 60 days after the NARM rule is  
2       published in final form. For other licensees, it may  
3       be later.

4                   If you don't have any other questions,  
5       Orhan?

6                   MEMBER SULEIMAN:       I    just    want  
7       clarification. You're going to license the facility  
8       for the positron nuclides that will be produced, but  
9       your stance on the actual accelerator or cyclotron is  
10      what?

11                   MS. HOWE: We will issue a license for the  
12      production of radioactive materials using an  
13      accelerator. We will not license the accelerator  
14      itself. We will license activities associated with  
15      the radioactive material produced by the accelerator.  
16      In other words, if you have maintenance on the  
17      accelerator and you have to deal with a contaminated  
18      part, we will license that. But we will not license  
19      the accelerator's operation, turning the buttons on,  
20      adjusting the knobs. That's not our purview. Our  
21      purview starts at the production of the radioactive  
22      material.

23                   MEMBER SULEIMAN: I know you don't want to  
24      hear this and I think to ignore -- I'm just speaking  
25      from a common sense perspective, to ignore the source

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       itself and somehow not keep track of it when source  
2       itself may be more hazardous from a radiation point of  
3       view than the products that they're going to be  
4       producing, that you now do have legal jurisdiction  
5       over is -- may be strictly legal, but I think from an  
6       operational radiation safety point of view, causes me  
7       some concern.

8               I would reconsider that or rethink that or  
9       --

10              MS. HOWE:     We are restricted by the  
11       authority that was given to us by Congress in the  
12       Energy Policy Act and we were not given authority over  
13       the accelerator.   We were only given the authority  
14       over the radioactive materials.   So we don't believe  
15       we have an option, nor do we want to go there.

16              CHAIR MALMUD:   Dr. Williamson?

17              MEMBER WILLIAMSON:     So a university  
18       hospital or medical school would be licensed under  
19       Part 30?

20              MS. HOWE:     If the university or the  
21       hospital were producing radioactive materials, it  
22       would be licensed under Part 30.

23              MEMBER WILLIAMSON:   Only if they intended  
24       to use it for what purpose, any purpose, like if they  
25       were doing physics experiments, would it be licensed?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MS. HOWE: If they have an accelerator  
2 that's being used for physics experiments in which the  
3 beam is being used to do things, but not produce  
4 radioactive materials for commercial, medical or  
5 research purposes, then that accelerator will -- that  
6 accelerator and the radioactive materials produced by  
7 that accelerator, the incidental radioactive materials  
8 will not be licensed by NRC.

9 So your linear accelerators in which the  
10 therapy is being delivered by the beam and not by  
11 activation products inside the person will not be  
12 regulated by NRC. If there were a neutron accelerator  
13 that produced activation products and the activation  
14 products we used were the therapy implement, we would  
15 get into that.

16 MEMBER WILLIAMSON: And so for a broad-  
17 scope licensee there would be the latitude to add any  
18 FDA or radioactive drug committee approved  
19 radiopharmaceutical or would everything have to be  
20 done by individual license amendment? Would you  
21 prescribe limits on --

22 MS. HOWE: I think Duane will get into  
23 this, but we've kind of drawn a boundary around the  
24 radioactive material production and that will be under  
25 a Part 30 license and then as that material moves into

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 a different use, it may come under the Part 35 use as  
2 taking that radionuclide and converting it into a  
3 radiopharmaceutical. That's already authorized under  
4 Part 35. It may go into a commercial nuclear pharmacy  
5 whose purpose is to distribute, commercially  
6 distribute radiopharmaceuticals. And so it would go  
7 from this license into the 3272 license and the same  
8 thing with the manufacturers.

9 MEMBER WILLIAMSON: So I guess I'm asking  
10 because I haven't had to ever deal with Part 30  
11 before, in Part 35 there are well-defined ways of  
12 specifying the limits as to what radioactive source  
13 products and radiopharmaceuticals could be used in the  
14 medical use environment. How is the range and levels  
15 of activity that a university or hospital may produce  
16 defined?

17 MS. HOWE: It's not.

18 MEMBER WILLIAMSON: It's not.

19 MS. HOWE: The regulatory --

20 MEMBER WILLIAMSON: It's anything they  
21 want.

22 MS. HOWE: The regulatory requirements for  
23 a Part 30 license are in 30.32 and they are very broad  
24 statements. You can use -- you can have licensed  
25 material for things that are in the regulations. You

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 have to have people with training and experience to  
2 use it in a safe manner to protect the public health  
3 and safety. Very broad-brushed statements. So there  
4 aren't --

5 MS. HOWE: So a license, a Part 30 license  
6 doesn't resemble Part 35 in that it has strictly  
7 specified possession limits?

8 MS. HOWE: Our licenses do have specified  
9 possession limits, but those limits are essentially  
10 given to us by the licensee, what is it they expect to  
11 do? One reason they do put limits on them is for  
12 financial assurance and decommissioning purposes and  
13 we've now in the security of materials, we've sent out  
14 a number of orders because people had very general  
15 global statements and they come back and said yeah, I  
16 have the general global statement, but I don't really  
17 hold radionuclides up to those levels at all and so  
18 they're backing away from using the general statement.

19 Ed?

20 MEMBER BAILEY: I think how the states  
21 have handled that in the past is that they'll go ahead  
22 and say any radioactive material in any amount as  
23 activation products in the accelerator are shielding  
24 or structures, rather than trying to necessarily put  
25 you may have up to five microcuries of this or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       whatever.

2                   MS. HOWE:     Yes, for the activation  
3       products I think we will have -- Duane can talk more  
4       clearly to that because it will be in the guidance  
5       that he's developing, but we'll do it in very general  
6       brush strokes on small activities.

7                   MEMBER WILLIAMSON: I guess, can I express  
8       my concern is that I think for a busy and large  
9       academic medical center, a noncommercial manufacturer  
10      of these things. You need something analogous to a  
11      broad-scope Part 30 license that allows the Radiation  
12      Safety Committee to have juridical authority over  
13      developing new radionuclides -- producing new  
14      radionuclides if that's what they need to do instead  
15      of constructing a bureaucracy that requires submission  
16      of many license amendments that do not contribute  
17      materially to patient safety.

18                  CHAIR MALMUD: Mr. Bailey?

19                  MEMBER BAILEY: I think that, in essence,  
20      what you're saying is exactly what happens. In the  
21      states, we tend to authorize radioactive material with  
22      atomic numbers 3 to 83 and then in some cases 84 to  
23      106 or 107 or whatever, particularly for those cases  
24      where you have an accelerator because you don't know -  
25      - they're not going to be producing this one thing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 today and decide tomorrow they're going to produce  
2 this and you get them in a Catch-22 because  
3 accidentally also, oh yes, we produce this.

4 So I think that's the way it's been  
5 handled, whether or not it will be called a broad  
6 license is another situation and it's dependent upon  
7 more conditions than just what materials you're  
8 authorized for.

9 MS. HOWE: Orhan?

10 CHAIR MALMUD: Dr. Suleiman?

11 MEMBER SULEIMAN: I'm going to ask you  
12 again. We've worked -- I've worked with lawyers at  
13 FDA as well and sometimes some say how do you want us  
14 to interpret the law for you? Some of us say this is  
15 how it's going to be. I would really urge you to  
16 maybe go back and ask your lawyers. I think you've  
17 got a little bit of regulation that's going to be  
18 worse than no regulation or too much regulation. But  
19 -- it's neither going to protect the public safety,  
20 you know, if you ignore the source of the radiation  
21 from the cyclotron and worry about the materials. I'm  
22 not saying go ahead and regulate the machine, you  
23 know, prescriptively in a very ridiculous manner, but  
24 somehow there's got to a more logical way to just sort  
25 of say we need to know how many cyclotrons or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1        accelerator machines you have that are going to  
2        produce, have the potential to produce. So yeah, you  
3        don't use it one day to produce nuclides and another  
4        day for some other function. You may have some -- you  
5        forget what the status is right now, but anticipate  
6        what's going to happen in a couple of years. So  
7        reconsider that.

8                    MS. HOWE: We do have our lawyers involved  
9        in everything we do from the ground floor up, so  
10       they're actively involved.

11                   CHAIR MALMUD: Dr. Vetter?

12                   VICE-CHAIR VETTER: Just in response to  
13       your concern, I think that is handled by the states.  
14       I mean states -- you can't just build an accelerator  
15       and start using it. You have to register with the  
16       state. You have to file the shielding plan with the  
17       state. So -- and then you have to demonstrate that  
18       your personnel are protected. So I think your concern  
19       is currently taken care of by the state.

20                   MS. HOWE: Not all states regulate  
21       accelerators or radioactive material.

22                   MEMBER SULEIMAN: In a nonagreement state.

23                   MS. HOWE: We have a state that doesn't  
24       regulate anything.

25                   (Laughter.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 MEMBER BAILEY: But do they have an  
2 accelerator?

3 MS. HOWE: They may not.

4 MEMBER SULEIMAN: Actually, I had meant to  
5 precede my statement by in a nonagreement state that  
6 does -- because all states are not equal. So you  
7 leave that option, that possibility open.

8 CHAIR MALMUD: Mr. Lieto?

9 MEMBER LIETO: Just a follow-up question  
10 which wasn't clear on this guidance document. This is  
11 the guidance document that you and Mr. White are going  
12 to be discussing, so it tends to be what's perceived  
13 by the regulated community as the devil in the  
14 details.

15 Is the guidance document intended to be  
16 out prior? Well, let me ask this. Is there going to  
17 be a draft form of this that's going to be discussed  
18 before the rule becomes finalized? Are you just  
19 coming out with the guidance document in its form and  
20 that's it?

21 MS. HOWE: The intent is to publish the  
22 guidance documents and we're only revising three of  
23 them at this point and that is the medical use Volume  
24 9, well, we're not revising three, we're revising two.  
25 And the commercial nuclear pharmacy which is Volume 13

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and we're developing an entirely new document for the  
2 production of radioactive materials with accelerator  
3 and that will be Volume 21 which Duane will speak to.

4 Our plan is to put these documents out as  
5 draft for public comment when the rule goes out, but  
6 we have to bring the documents up into compliance with  
7 any changes we make from the proposed rule to the  
8 rules, so we can't put them out before that, but we  
9 intend to put them out for public comment.

10 And then the idea is that in that 60-day  
11 period in which you go from publishing the rule to its  
12 effective date, that's the comment period and then  
13 we'll try to get the guides out as final after that.

14 MEMBER LIETO: Dr. Schwarz?

15 MEMBER SCHWARZ: I was wondering since you  
16 will make the regulation available to the states and  
17 to the ACMUI at that same time will you make the  
18 guidance available? Can you?

19 MS. HOWE: I think I will let Torrie  
20 Taylor, who is the project manager for the guidance  
21 speak to that?

22 MS. TAYLOR: Yes, for the record, I'm  
23 Torrie Taylor in the new FSME, rulemaking A, we'll go  
24 to the lowest level, I can remember that. The current  
25 schedule is the document will be published for public

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 comment as Donna-Beth said when the rule is released  
2 by the Commission to be available to the public. We  
3 hadn't, with the schedule, we didn't really factor in  
4 a time for it to go out earlier than that because of  
5 what she's indicated. There may be some changes with  
6 the final rule that we have to incorporate into the  
7 final draft documents before we can put them for  
8 public comment.

9 We have state representatives involved  
10 with the guidance that are bringing in the state  
11 perspective on that, but --

12 CHAIR MALMUD: Thank you. Another  
13 comment?

14 MEMBER SCHWARZ: I just wondered would  
15 that be available when you're sending out the draft  
16 rule?

17 MS. TAYLOR: To the states in November?

18 MEMBER SCHWARZ: The states. Can that be  
19 available to go out?

20 MS. TAYLOR: At this point, we haven't  
21 planned it because I don't know that they'll actually  
22 even be finalized in a good draft yet at that point.  
23 We can talk off-line with our management to see if  
24 there's something we can work out into that, but it's  
25 not factored into the schedule at this point.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER SCHWARZ: It would be helpful if it  
2 could be.

3 CHAIR MALMUD: Thank you, if we may, Dr.  
4 Howe, may we move on to Mr. White's presentation?

5 Thank you, Dr. Howe.

6 Mr. White?

7 MR. WHITE: This is good morning, almost  
8 good afternoon. Dr. Howe mentioned a lot of the  
9 generic changes and items that will be changed in  
10 Volume 13 and Volume 21. From the major changes for  
11 Volume 13, basically the biggest thing I guess would  
12 be adding PET radiopharmacies so that is a new item  
13 for the NRC. So we're still staying with the initial  
14 structure as far as the radiation safety, but we are  
15 bringing more attention to now we have higher emitting  
16 radionuclides, higher energy radionuclides, so we  
17 expect more shielding and instrumentation changes.  
18 And so we will cover that as a recommendation in the  
19 guidance.

20 As Dr. Howe mentioned, we have decided,  
21 the writing team decided to have a separate production  
22 license and that everything that the accelerator  
23 produces is going to only -- everything -- once the  
24 accelerator is turned on and material goes to the  
25 target, that will be the production license and then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 from there, once it is transferred to let's say in  
2 PET, in essence, to a chemical synthesis unit or to  
3 the other side that now will become the radiopharmacy,  
4 so we decided that instead of trying to have a  
5 radiopharmacy or research for broad scope that you  
6 would have this -- have a production license that  
7 would stand alone and would only license the  
8 activities of actually, basically the activation  
9 products and just the primary material that is  
10 produced.

11 And that is what Volume 21 talks about.  
12 Basically, Volume 21 just gets into, for example, how  
13 we have the individuals that perform maintenance and  
14 repair on the accelerator, so we will put a little bit  
15 more emphasis on safety aspects of that and general  
16 training. Again, in 10 CFR Part 30, we do not have  
17 specific training requirements, so it's not like 35  
18 where you say you have to 200 hours of training. But  
19 it is going to be based on your general experience and  
20 in training that will be looked at by the license  
21 reviewers.

22 CHAIR MALMUD: Dr. Vetter?

23 VICE-CHAIR VETTER: May I interrupt and  
24 please ask a question about that?

25 The bullet says, let's see, wrong slide up

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there now, but the bullet on that item that you just  
2 discussed says "ensure applicants realize that  
3 individuals that perform maintenance and repair on the  
4 accelerator should be licensed as authorized users."  
5 So two questions. One is individual don't get  
6 licensed, they're listed on a license. And second,  
7 authorized users refer to -- in our case, it's  
8 physicians who are using the material. So if you  
9 could clarify that. I'm not sure what you mean there.

10 MR. WHITE: Generally, when we look at  
11 authorized user we're not looking at the -- to the  
12 level of a physician, let's say. Generally, a small  
13 category, authorized user where this is a person who  
14 is -- has all the experiences required to handle  
15 material and in this case, it's a specialized case in  
16 that maintenance of the cyclotron say is -- they're  
17 pretty much the professionals on how to do that. In  
18 this case, they would be considered authorized users  
19 because you couldn't say a nuclear pharmacist  
20 necessarily had more.

21 VICE-CHAIR VETTER: Well, it's one thing  
22 in the regulations to describe the kind of training  
23 that an individual might need to perform a certain  
24 task, but it's a totally different thing to require  
25 that people meet some test and then we have to send

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the name in or the licensee has to send a name in to  
2 the regulator, the regulator has to approve that they  
3 be listed on the license. And I would submit that an  
4 expectation that these people have certain training  
5 requirements is quite reasonable. But to require them  
6 the licensee to go through a process, I'm not sure  
7 what that would add to safety, a process to add them  
8 to the license, I'm not sure what that would add to  
9 the safety here.

10 MR. WHITE: Well, when looking at, let's  
11 say, for example, PET operations as Dr. Williamson  
12 mentioned, the accelerator, in general, just going in  
13 and now you're dealing with higher activity, so it's  
14 not a standard -- it's not a standard radiation safety  
15 practice. So the training could vary. So you should  
16 have some experience just out of a one-year of  
17 experience or what have you. So a nuclear pharmacist  
18 couldn't do the same job as a -- if you understand.  
19 So because of the potential for problems, as far as  
20 safety issues, it's recommended that the individual  
21 who performs maintenance also is listed so that we can  
22 make sure, ensure that individual has the proper  
23 amount of experience in working around accelerator,  
24 working with those higher energy-emitting  
25 radionuclides. So that was the thinking there, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 states do that currently and that was another --

2 MS. HOWE: If I could clarify, this is Dr.  
3 Howe, this will be a Part 30 license and we have  
4 authorized users for all licenses. You, as an ACMUI  
5 are used to seeing an authorized user being a  
6 physician. But in other licenses we have authorized  
7 users that could be gauge users. They could be  
8 radiographers. They could be well loggers. And so  
9 this is just the generic term for an authorized user  
10 and that is the person that handles the radioactive  
11 material, essentially by themselves and we recognize  
12 that this individual does meet radiation safety  
13 training experience and we have not put specific  
14 training guidance on them, so they need to meet the  
15 requirements for Part 30. I hope that clarifies a  
16 little bit.

17 CHAIR MALMUD: Dr. Williamson?

18 MEMBER WILLIAMSON: This seems irrational.  
19 You say you don't license accelerators, yet you're  
20 going to declare accelerator repairmen and engineers  
21 to be authorized users. It makes no sense. Why can't  
22 they simply be covered under Part 20 which would  
23 require anyone who works with controlled radioactive  
24 byproduct material, I guess as you've more broadly  
25 defined it, to be under the appropriate jurisdiction

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 of the Radiation Safety Officer and to have the  
2 radiation safety training needed to do their job. It  
3 doesn't seem to me to make sense to license or  
4 authorize them for some specific activity.

5 MR. WHITE: And the word license might be  
6 taken in the wrong -- I mean, we're not expecting them  
7 to take a certified test and say okay, you know, like  
8 a technician would do, let's say. We're just saying  
9 that these individuals are the individuals who  
10 basically supervise this type of work.

11 MEMBER WILLIAMSON: But you know, you  
12 wouldn't -- you don't declare, for example, a radium  
13 or source curator to be an authorized personage. I  
14 still don't understand why, if you're not regulating  
15 the linear accelerator or cyclotron itself, why you  
16 have to make a special category of authorized  
17 personnel to do maintenance of the accelerator. That  
18 seems not rational at all.

19 MR. WHITE: And what we're looking at,  
20 we're not looking at the maintenance of the  
21 accelerator, but we're looking at the fact that  
22 they're handling radioactive material during their  
23 maintenance and repair of the accelerator.

24 CHAIR MALMUD: Dr. Schwarz was next.

25 MEMBER SCHWARZ: One problem I want to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 point out to you in terms of this process, you're  
2 talking about authorized users. You're talking about  
3 a specific license. We have cyclotrons and we have  
4 people from the companies come in to service our  
5 machines. We, as the licensee, are not managing the  
6 people that come in to repair our cyclotrons. I  
7 understand the training criteria will need to be met,  
8 but it won't be necessarily defined by the licensee  
9 because we don't have any say over who comes in to  
10 service our machines. These people are employees of  
11 the company who we buy the machines from and so -- I  
12 mean I think that you need to think about this  
13 presentation of training requirements differently than  
14 the word "authorized user" which is associated with  
15 license which really won't be under our control.

16 I understand that we need -- I mean the  
17 individuals may need to adhere to training  
18 requirements, but you're kind of looking at this -- we  
19 do also have people internally who work on our  
20 machines as well, so that's a different story and  
21 those are possibly, in our case, cyclotron operator  
22 who is trained and so there can be criteria that are  
23 met. But again, as Dr. Vetter pointed out, authorized  
24 user probably needs to be, the word needs to be  
25 changed. Again, training criteria for these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 individuals, but again, this will -- it needs to be  
2 kind of presented differently, I think.

3 CHAIR MALMUD: Mr. Bailey?

4 MEMBER BAILEY: Duane, I'm thinking that  
5 there's a little bit of confusion about what goes on  
6 with regard to an accelerator in a production  
7 facility. As has been suggested, yes, there will be  
8 people, there will be training set up. They have to  
9 meet certain requirements and I would differ a little  
10 bit with Sally on how we would handle that in that  
11 those people coming in typically are working under the  
12 facilities license as far as safety and radiation  
13 exposure and so forth are concerned.

14 I would compare what happens typically at  
15 an accelerator with what happens at a large  
16 irradiator. There are lots of people who come in and  
17 do various jobs at a large irradiator, for instance.  
18 Not all of those people are named on the license, but  
19 they all have to meet certain training requirements  
20 and they're under the radiation safety program of that  
21 particular facility when they come into the facility  
22 to work.

23 So to me, when you say authorized user,  
24 that equates to a named individual being on there as  
25 opposed to a category of people. Just as we don't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 name all of the janitorial staff that go in some  
2 place, likewise, we would not name all of the  
3 electricians or whatever that might come in to work on  
4 the accelerator.

5 MEMBER SCHWARZ: But you do actually have  
6 training requirements that those people would have to  
7 meet in order to come in?

8 MEMBER BAILEY: Typically, in my  
9 experience, the way they're set up is the company or  
10 university, whatever, provides -- here is the training  
11 program we're going to have for these people. And  
12 often, it's a very small program.

13 If you have in-house people, yes, they  
14 would be people that would be designated by the  
15 Radiation Safety Committee to work in that area or to  
16 enter restricted areas.

17 Generalized training for people who work  
18 in restricted areas.

19 CHAIR MALMUD: I think next was Dr. Eggli.

20 DR. EGGLI: I wanted to sort of emphasize  
21 the point that Sally was making which was largely my  
22 point, but if I have a cyclotron that's manufactured  
23 in Tennessee and we have a problem with the cyclotron  
24 and the company in Tennessee has to send in the field  
25 service engineer, I have no way to document the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 training of that individual who comes in from the  
2 vendor who happens to have a headquarters in  
3 Tennessee. It may be a senior engineer, perfectly  
4 well trained, but again, I don't think you can hold  
5 the licensee responsible for vendor training of their  
6 people in the safety practices. And I think  
7 particularly with cyclotrons, these aren't going to be  
8 local field service engineers. It's not going to be  
9 the guy that lives 20 miles down the road who comes  
10 into my site every week to repair my gamma cameras.  
11 He's probably going to fly in from somewhere way out  
12 of state and come in to look at the cyclotron. I'm  
13 going to have no way of verifying that guy's  
14 credentials.

15 I think that in this case if the  
16 credentials of the individual for safety training have  
17 to be verified, it's going to have to be the vendor of  
18 the system that verifies those credentials and we may  
19 have to ask that vendor to provide us a certificate  
20 that their people are trained that we can put in our  
21 files, but I don't think we can be responsible for  
22 their training.

23 CHAIR MALMUD: If I may, under the slide  
24 Volume 21, the second bullet, would this wording be  
25 acceptable and practical, "ensure applicants realize

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that individuals that perform maintenance and repair  
2 on the accelerator should have received radiation  
3 safety training certified by their employer."  
4 Employer may be the manufacturer of the equipment.  
5 The employer may be the institution in which the  
6 accelerator is located, but someone has to assume  
7 responsibility that that individual has been certified  
8 and trained.

9 Is that wording acceptable? And does it  
10 meet the requirements clinically of those of you who  
11 already have accelerators on board.

12 I'll repeat it: "ensure applicants  
13 realize that individuals that perform maintenance and  
14 repair on the accelerator should have received  
15 radiation safety training certified by their  
16 employer.".

17 Dr. Howe?

18 MS. HOWE: Dr. Malmud, I'd like to clarify  
19 that NRC also has another category of licenses which  
20 are service providers and those service providers are  
21 the people that go in and do radiation type of things  
22 on equipment and we license them and we look at their  
23 training and experience. So we already have a  
24 mechanism for covering people that are dealing with  
25 the radiation safety parts in the radioactive

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 materials that are coming in as a repair maintenance,  
2 but we aren't really involved in maintaining the  
3 accelerator.

4 What Duane is trying to get to is people  
5 that change out the targets or have to go in and do  
6 things where they're in a radioactive environment and  
7 making sure that those people are trained to the  
8 materials that they're using and handling them and  
9 maintaining safe radiation safety. And a lot of times  
10 those individuals work alone, work after hours and are  
11 not under anyone else's supervision. So the idea was  
12 to ensure that they can work alone.

13 CHAIR MALMUD: May I ask a question, Dr.  
14 Howe, and that is let's say that the person is sent by  
15 the manufacturer. That would have been the  
16 manufacturer's responsibility to make certain that  
17 that person is competent to do the task before that  
18 person.

19 MS. HOWE: Absolutely.

20 CHAIR MALMUD: If the person is based at  
21 the home base of the university that's operating the  
22 accelerator, then it's the university's responsibility  
23 to have assured that training.

24 So in either case it would be the employer  
25 with respect both to the radiation safety for those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 who work around the instrument as well as for the  
2 individual himself or herself.

3 Now there already are regs -- the NRC  
4 already has regs for such employees.

5 MS. HOWE: That's correct.

6 CHAIR MALMUD: So is this sentence not  
7 consistent with what the NRC already has?

8 MS. HOWE: I wasn't really addressing the  
9 sentence. I just wanted the Committee to be aware  
10 that we have another type of license.

11 CHAIR MALMUD: Right.

12 MS. HOWE: That is out there.

13 CHAIR MALMUD: Mr. Lieto?

14 MR. LIETO: I'd like to answer your  
15 question in that your statement is very consistent  
16 with other license types. Specifically, the examples  
17 of blood irradiators. They allow -- it says that the  
18 -- that any servicing addressing the radiation safety  
19 or safety operation has to be done by the vendor. And  
20 there's no requirement that has -- that we have to  
21 license or have any type of amendment to a license  
22 that lists the service people working on these blood  
23 irradiators.

24 Also, your statement, Dr. Malmud and what  
25 Sally has presented, is consistent with what is going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 on right now with nuclear medicine operations. Why is  
2 there a requirement for these people dealing with  
3 radioactive sources from an accelerator different than  
4 a technologist milking a one to two curie generator?  
5 It's just not consistent. And we have, I think,  
6 years, decades of experience with handling these large  
7 amounts with -- by technologists and individuals not  
8 named on the license, okay, that use much larger or as  
9 large sources as are going to be involved with these  
10 accelerators, but are not required to be named on the  
11 license. And so you're really setting up a whole  
12 licensing mechanism that really is not necessary.  
13 Okay.

14 The onus is on the licensee regarding the  
15 safety and so if that -- are individuals that are  
16 being brought in from the outside, the statement that  
17 Dr. Malmud made would answer that issue of  
18 documenting. They have acceptable training regarding  
19 the radiation safety operation of working around this  
20 machine.

21 I would think universities and broad-scope  
22 licensees would be very hard pressed to come up with  
23 a mechanism to looking at the credentials of every  
24 individual that comes in and works on their  
25 accelerators?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MS. HOWE: I agree.

2 CHAIR MALMUD: Dr. Nag.

3 DR. NAG: I think the same thing for HDR,  
4 I mean we have the HDR on our license. This is a  
5 similar issue.

6 MS. HOWE: Dr. Nag, the HDR repair person  
7 that comes in is licensed by the manufacturer and has  
8 a licensee that are in an agreement state or NRC state  
9 to handle radioactive materials at temporary job  
10 sites. And so that's the mechanism for licensing  
11 those people that are in the mobile service sector.

12 CHAIR MALMUD: If I may, once again, is  
13 the wording that I suggested acceptable and does it  
14 satisfy the user's needs, the public needs, the  
15 patient needs?

16 MS. SCHLUETER: I think that we would like  
17 to take your language under advisement as we regroup  
18 internally because I'm not sure that we're clear on  
19 the intent of that bullet. I'm concerned about the  
20 words "licensed" and "AU" before all this discussion  
21 began. I'm even more so now. And so I'm reluctant to  
22 place the staff in the position of agreeing to your  
23 suggestion until we have had time to go back.

24 CHAIR MALMUD: All right. Would you like  
25 me to repeat the wording I suggested or is it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 acceptable -- or is it in the minutes already?

2 It's in the transcript.

3 MS. SCHLUETER: We have it. I would like  
4 to -- I will go back to the staff in response to your  
5 earlier comments, Sally, to see what opportunity we  
6 can build into our guidance development process to  
7 allow the ACMUI an opportunity to review those volumes  
8 before they go public.

9 MEMBER SCHWARZ: That would be tremendous.

10 CHAIR MALMUD: Dr. Williamson?

11 DR. WILLIAMSON: Well, I think that  
12 actually Dr. Suleiman's point has just reared its head  
13 here. I think there's a difference between the  
14 accelerator repair man and the HDR repair man because  
15 the nuclotron and barium are licensed under Part 33.  
16 I'm sure to distribute these radioactive sources and  
17 these devices. So they have a license on which their  
18 repair men can appear as authorized personages to do  
19 whatever they have to do. But since you don't  
20 regulate the linear accelerator, I see your dilemma  
21 that you're trying to impose upon the end user, the  
22 hospital or university, the responsibility for  
23 licensing these individuals that they have -- or  
24 authorizing these individuals they have no control of.  
25 This seems to be part of the problem.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 I would also say that it seems like  
2 maintenance is the wrong word. I think perhaps it  
3 might be more proper to call the individual who  
4 removes the targets an operator.

5 MR. WHITE: Only my concern with operator  
6 we have is we don't want to confuse it with the  
7 operation of the accelerator. That's the reason we  
8 didn't use the word operator.

9 MEMBER SCHWARZ: Excuse me, but the  
10 persons who do operate those accelerators do change  
11 the targets and work on the machines.

12 DR. WILLIAMSON: And this isn't  
13 maintenance, this is a routine usage of the device.  
14 That's what it's intended to do.

15 MR. WHITE: And I understand that.  
16 Because we do not regulate the operation of the  
17 accelerator, those people would be still -- be looked  
18 at as far their maintenance roles, but the word  
19 operation, we were just trying to avoid the actual use  
20 of the word, basically. But those people would still  
21 be included, as I do know that those operators might  
22 at some time do some maintenance.

23 MEMBER SCHWARZ: Often.

24 MR. WHITE: Right, and it's usually basic  
25 maintenance. Then usually you have a field service

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 engineer who would do more advance maintenance.

2 CHAIR MALMUD: Dr. Suleiman?

3 DR. SULEIMAN: Again, I'm just trying to  
4 clarify in my mind. So if an operator gets  
5 accidentally exposed to the radiation coming off of  
6 the source and as a reportable medical event, would  
7 that be reported to the NRC or not?

8 MR. WHITE: Yes, one thing to still note  
9 is that the NRC still does look at dose. So we're  
10 still looking at nonlicensed activities as well as  
11 licensed activities. So the radiation safety --

12 DR. SULEIMAN: Is Part 20.

13 MR. WHITE: Right.

14 DR. SULEIMAN: Kicks in.

15 MR. WHITE: Right. So if a person gets  
16 overdosed, whether it's from the accelerator operation  
17 or from doing maintenance, that still will be seen and  
18 that still needs to be reported and it still needs to  
19 have the proper radiation safety in place to ensure  
20 that it doesn't happen.

21 DR. SULEIMAN: Again, I'm strongly  
22 suggesting that there's going to be unanticipated  
23 consequences down the line that I can't necessarily  
24 predict, but I see that this thing is illogical and in  
25 terms of assuring the safety of the operators of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 people at the facility, you take a step and carefully  
2 look at this before you promulgate the reg. Then it's  
3 going to be more difficult, obviously to change  
4 things. But your charge is to protect the health and  
5 safety and I think you should consider that and figure  
6 out how to work that into some sort of meaningful,  
7 useful regulation.

8 CHAIR MALMUD: Mr. Bailey, did you have a  
9 comment?

10 MEMBER BAILEY: Yes. I have to respond to  
11 that. It's an improvement over when they used to go  
12 in and not look at NARM at all, when it was used in  
13 the same office. So it's a step forward in that  
14 regard.

15 But what I raised my hand for initially  
16 was that we've been talking basically about production  
17 facilities. But if you look at research facilities  
18 where you have multiple people that may use the  
19 machine and they may be coming in for a week or two  
20 weeks or whatever, I think this really poses a problem  
21 for research accelerators and in particular at  
22 universities and so forth.

23 CHAIR MALMUD: May I, Mr. Bailey? When  
24 you say it presents a problem, what's the nature of  
25 the problem that it presents?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER BAILEY: Well, if your wording is  
2 not accepted --

3 CHAIR MALMUD: Okay.

4 MEMBER BAILEY: You do have a lot of  
5 people coming as researchers who actually go into the  
6 target areas. They exchange samples. They take  
7 specimens in. They take the material out. If they're  
8 producing material. They're actively involved in  
9 working with the accelerator. They may not physically  
10 be operating the accelerator, but if they're doing  
11 experiments, they definitely in the target area where  
12 generally the higher doses are going to be -- or the  
13 larger amount of radioactive materials can be  
14 accumulated.

15 CHAIR MALMUD: And your point is that they  
16 should have received some training?

17 MEMBER BAILEY: They should have received  
18 some training, but not be named as authorized users.

19 CHAIR MALMUD: May I ask how those  
20 individuals currently require, Dr. Howe or Mr. White,  
21 are those individuals currently required to have  
22 training?

23 A researcher who goes to a cyclotron -- to  
24 an accelerator and is doing research there for a  
25 period of several weeks and handling the isotopes that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 are produced?

2 MS. HOWE: Let me handle that in a  
3 different manner, because at this particular point,  
4 the cyclotrons are under the waiver and the material  
5 being produced by cyclotrons are under the waiver.

6 Let's take a look at a university that  
7 brings someone into the laboratory where currently  
8 regulated NRC materials are being used. The structure  
9 that we have now is that that person comes in and  
10 works under an authorized user. And only if the  
11 facility wants to make them an authorized user, do  
12 they go through the process of being -- their training  
13 and experience being reviewed, so that they can  
14 operate independently. And I think that would be the  
15 same thing that we would be doing in a production  
16 facility. In other words, we would have an authorized  
17 user. And in this case, I'm using a very broad term  
18 of the word authorized user. I'm not talking about a  
19 physician, a pharmacist or those. I'm talking about  
20 a Part 30 that we recognize as the individual that can  
21 handle the radioactive material, can use it and can be  
22 responsible for the other people that work under his  
23 supervision.

24 So we would handle it the same way. And  
25 if you were a broad-scope licensee, then you would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 given a little bit more flexibility.

2 CHAIR MALMUD: Thank you for clarifying  
3 that.

4 Dr. Schwarz, you had a comment?

5 MEMBER SCHWARZ: Right, at George  
6 Washington University Hospital we do have the  
7 situation that you're just describing. We have many  
8 people who come in who are licensed to work, who are  
9 not authorized on our license, students, post-docs,  
10 visiting scientists, who essentially do work under  
11 authorized individuals who then supervise. They again  
12 must comply with the radiation safety guidelines of  
13 our institution and they are receiving radiation  
14 exposure under our license. But they are under the  
15 direction of an authorized individual, not a  
16 physician. This would be an authorized individual.  
17 But again, this authorized user has implications for  
18 medical licenses. So the wording is just not a good  
19 choice.

20 MS. HOWE: And that was one of the things  
21 we were trying to make clear. This is a Part 30  
22 license. This is not a Part 35 license at all.

23 It may be in a facility that also has a 35  
24 license, but the production itself is a Part 30  
25 activity and not a 35 activity.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1           Its use and the materials that are coming  
2 out of it, then will flow into a different license for  
3 its use in patients or human research subjects.

4           CHAIR MALMUD: If I may, for the sake of  
5 time, Ms. Schlueter has indicated that this proposed  
6 rewording will be reviewed and will come back to us.  
7 Am I correct? It will be reviewed and come back to  
8 us?

9           MEMBER NAG: Yes. I'd like to make a  
10 motion that --

11          CHAIR MALMUD: Please do.

12          MEMBER NAG: -- in agreeing to what you  
13 said. The motion would be that the NRC official will  
14 reword this and ensure everything realized that the  
15 individual that handles the accelerator should have  
16 received training by their employer and the NRC  
17 official will revisit this and bring it back to ACMUI.

18          CHAIR MALMUD: Dr. Nag has made a motion.  
19 Is there a second to the motion?

20          Dr. Schwarz seconds the motion. Any  
21 further discussion of Dr. Nag's motion?

22          All in favor? Any opposed? Any  
23 abstentions? Carries unanimously and it's now in the  
24 hands of NRC staff.

25          Will we be notified of your review by

1 mail, by email or will it wait until the next meeting?

2 MS. WASTLER: This is Sandra Wastler.  
3 We'll have to get back to you on that. I think we  
4 have to look at, have a discussion among the staff and  
5 look at what our options are and then we will let you  
6 know at least how we're going to get back to you and  
7 when by email, but we will let you know. Hopefully,  
8 we won't let it go to the next meeting.

9 CHAIR MALMUD: Thank you.

10 MS. SCHLUETER: I don't think it can  
11 because of the --

12 MS. WASTLER: No, it can't because of the  
13 time line.

14 MS. SCHLUETER: We're obligated to issue  
15 the guidance.

16 CHAIR MALMUD: Thank you. Mr. White, I  
17 think we interrupted your presentation.

18 MR. WHITE: And I kind of jumped a little  
19 bit because Dr. Howe mentioned a lot of -- but that  
20 was basically my presentation as far as the general  
21 comments. Again, looking at -- one thing I did not  
22 mention for facility layouts, we will ask for Volume  
23 21, production volume. We would be asking for  
24 diagrams of delivery lines and seeing how -- or what  
25 mode of transportation from accelerator to the other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 processes, manufacturing, how would you get the  
2 material from the accelerator to the -- I guess you'd  
3 say the manufacturing area or distribution area.

4 So we will be including that and as a  
5 general, we have asked that the applicant provide  
6 information on the accelerator, but this is not a tie-  
7 down condition as we don't regulate it. But just to -  
8 - so that the reviewer understands all that would be  
9 produced, as far as give the idea of activation  
10 products, gives you an idea of making sure the proper  
11 shielding and everything is in place, so we do ask  
12 that that is provided, but it's not going to be a tie-  
13 down condition in the license.

14 CHAIR MALMUD: Thank you. Dr. Schwarz.

15 MEMBER SCHWARZ: In regard to the first  
16 bullet where you say include accelerator-produced  
17 activation products, to list -- the list of  
18 radioactive materials, will you be requiring something  
19 such as Ed suggested that a range of potentials or  
20 what are you looking for?

21 MR. WHITE: Right now, what we plan, what  
22 the thought is is that we would have a 1 through 83  
23 request. You can request it. We prefer that you  
24 provide a general list, but yes, there is -- you can  
25 request a 1 through 83 permission and you just have to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 give the maximum activity produced by any one of those  
2 isotopes and then you have to give a maximum total  
3 quantity activity as far as what you think would be.

4 And then any isotopes that go above that,  
5 that threshold, let's say, would need to be listed out  
6 separately. But as we do that, currently, the way NRC  
7 words, once that is put in place there's automatically  
8 assumes right now that some type of financial  
9 assurance will be needed as you do that. If you do  
10 the 1 through 83.

11 MEMBER SCHWARZ: Some type of additional  
12 financial assurance?

13 MR. WHITE: Well, not additional, but  
14 just, in general, so you wouldn't be excluded from  
15 financial assurance. So you would have to provide  
16 financial assurance and that would be based on what  
17 the license reviewer decides.

18 MEMBER SCHWARZ: Certainly within our  
19 license we have significant decommissioning assurance  
20 already, so I'm just concerned too, how you want this  
21 defined.

22 MR. WHITE: That would -- well, again, the  
23 production and say for example, <sup>BroadScope</sup> ~~brochscope~~ license,  
24 it would all fall in. As far as saying additional, it  
25 wouldn't be --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MS. HOWE: I think, in part, there's a  
2 balance. We can put 3 through 83 and we can give you  
3 megacurie quantities for each isotope and a total very  
4 large activity for everything. That will throw you  
5 into serious financial assurance. And so most  
6 licensees won't pick that option. They'll pick  
7 something that is what they can work well within  
8 without having to do amendments, but also takes them  
9 down into something that's more realistic as to what  
10 they're handling.

11 So I think it's kind of like a broad-scope  
12 medical where you'll see small activities for the 3  
13 through 83 and then you'll see a line item for the  
14 technetium or the molybdenum the I-131 or those  
15 isotopes that you really know you're going to have  
16 high numbers for. And we expect the same thing for  
17 the production. In other words, the activation or the  
18 things that they may be playing with, may be in the 3  
19 through 83, but if they're really in production and  
20 they're putting out large quantities of palladium or  
21 fluorine 18 or oxygen, we expect those to -- they'll  
22 be listed as line items, so that they don't trigger  
23 into financial assurance and heavy decommissioning  
24 things.

25 CHAIR MALMUD: So if I may then, under

1 that first bullet, Mr. White, you really are  
2 suggesting that the topic would be include accelerator  
3 produced activation products and anticipated  
4 quantities to a list of radioactive materials?  
5 Anticipated maximum quantities or anticipate  
6 quantities?

7 MR. WHITE: Yes.

8 CHAIR MALMUD: And that would allow for  
9 the institution, individual, to weigh the -- what they  
10 expect to produce versus what it's going to cost them  
11 by way of assurance to produce it?

12 MR. WHITE: That's correct.

13 CHAIR MALMUD: Okay.

14 MR. WHITE: And I do want to note that the  
15 slides here are not the exact wording that's in the  
16 guidance. These are just slides just to get a general  
17 point across, but that's not going to be the exact  
18 words, but I'm glad that you're providing input.

19 CHAIR MALMUD: Thank you. Mr. Bailey?

20 MEMBER BAILEY: Yes. I think -- in  
21 looking at financial security for these accelerators  
22 and in particular the PET accelerators and so forth,  
23 you're really going to be looking at the accidentally-  
24 induced radioactivity as the decommissioning costs  
25 because most of the production items you -- no matter

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       how many curies you have there, they're not going to  
2       be there long. So it's almost immaterial how much  
3       they produce in terms of radioactive material that's  
4       not activation products.

5               MS. HOWE: And the point here is to just  
6       clearly show that what you are producing is such short  
7       half life that it's not going to trigger anything, but  
8       the 3 through 83 has a lot of long half life isotopes  
9       in it and so if you put big numbers on there, then  
10      it's going to look like you're making lots of long  
11      life isotopes. So it's kind of a clarification type  
12      of thing so that when people are looking at the  
13      licensee and say clearly this is not an issue for  
14      financial assurance.

15             CHAIR MALMUD: Thank you, Mr. White. Does  
16      that complete your presentation?

17             MR. WHITE: Yes, it does.

18             CHAIR MALMUD: Thank you very much. It  
19      stimulated some great productive discussion, thank  
20      you.

21             (Laughter.)

22             CHAIR MALMUD: If we may, we'll move on --  
23      it's 12:30 and I imagine that it's your  
24      gastrointestinal tract that will help you make the  
25      decision as to whether or not you want to go on to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 next item or go on to the next item after lunch?

2 The -- I hear a groundswell of suggestions  
3 that this will be done after lunch and if I may, we'll  
4 move for lunch now.

5 What time should we rejoin? Dr. Vetter  
6 suggests 1:15. Everyone looks in favor of 1:15, 1:15  
7 promptly. Thank you all.

8 (Whereupon, at 12:33 p.m., the meeting was  
9 recessed, to reconvene at 1:15 p.m.)

1 CHAIR MALMUD: Well, good afternoon,  
2 everyone. It's now 1:17 and we have a presentation  
3 regarding petitions for rulemaking. The presenters  
4 will be Neelam Bhalla, James Firth, and Ron Zelac, I  
5 assume in the order that you are listed. First is  
6 Neelam Bhalla.

7 MS. BHALLA: Thank you. I hope everybody  
8 had a good lunch and we can get started. I am going  
9 to give a status of Peter Crane's petition for  
10 rulemaking. What he is petitioning is he wants us to  
11 do a partial revocation of the patient release  
12 criteria rule.

13 What he is asking us to amend is the  
14 regulations related to patient release criteria to not  
15 allow patients to be released from isolation with more  
16 than the equivalent of 30 millicuries of radioactive

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 iodine in their bodies. This rule, as you all know,  
2 was promulgated in 1997 and then retained in 2002  
3 major revision to Part 35.

4 We have here labeled it as PRM 35-18 and  
5 just go quick stats on this. This petition is dated  
6 September 2, 2005. We noticed it in the Federal  
7 Register of December 2005 with a 75-day comment  
8 period. The comment period ended March 6th. Then  
9 resolution of this petition is anticipated by the end  
10 of December of this year.

11 For the comments we received 48 comments.  
12 Fourteen comments are in support of the petition and  
13 these were mostly from the patients. However, there  
14 was one medical physicist who is in support of this  
15 petition. Thirty-one commenters opposed this petition  
16 and these commenters included physicians, medical  
17 physicists, RSOs, and professional organizations.

18 Then there was one commenter supported for  
19 reasons other than those raised by the petitioners.  
20 In particular, the commenter raised waste issue. Then  
21 there were two comments from the petitioner himself.

22 Professional organizations that commented  
23 were ASTRO, AAPM, AB&P, American Thyroid Association,  
24 the EndrocrinlSociety, ACR, SNM, National Association  
25 of Nuclear Pharmacists, American Pharmacists

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Association, and CODAR which is also to do with  
2 radiopharmacists and radionuclides.

3 In his petition Mr. Crane also made  
4 assertions that this 1997 rulemaking was a sham and  
5 that it was tainted by collusion between the NRC staff  
6 and a petitioner. Not this one but a petitioner.

7 Then he said the petition asserts that a  
8 former member of the NRC's Advisory Committee on the  
9 Medical Use of Isotopes, ACMUI, submitted a petition  
10 for rulemaking in 1991 requesting the patient release  
11 criteria rule at the NRC staff's request and that the  
12 NRC did not follow its rules on disclosure of  
13 assistance.

14 I am going to petitioner's sort of  
15 technical concerns. He's saying those two family  
16 members -- his concern is those two people during  
17 patient transport, contamination, and those concerns  
18 due to vomiting, hyperthyroid patients are not able to  
19 fully comprehend or remember instructions.

20 And he talks a little bit about NRC has  
21 allowed for reduction of exposure to hospital  
22 employees at the expense of elevated exposure to  
23 family members, and particularly children. Again, he  
24 reiterates children are more radiation sensitive than  
25 adults and deserve more protection than less.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 I'm going to skip over this slide 8 in the  
2 interest of time. Basically it's what the rule is  
3 based on TEDE of 5 mrem or 500 mrem and that written  
4 instructions are needed if the TEDE is likely to  
5 exceed 100 mrem. Then there are rules on guidance if  
6 breast feeding and TEDE is likely to exceed 100 mrem.  
7 I am sure you are all familiar with this.

8 Now, prior to 1997 measured dose rate from  
9 patient criteria for release was that it should be  
10 less than 5 mrem per hour at a distance of 1 meter, or  
11 that the activity in the patient or human research  
12 subject is less than 30 millicuries. This is what the  
13 petitioner wants us to go back to.

14 I just want to give you an update on the  
15 status. There is a working group reviewing the  
16 petition. What we are reviewing is since the rule  
17 came about in 1997, from 1997 to present time we are  
18 looking into what the implementation experience is and  
19 we are looking at that for both NRC as to what our  
20 inspection experience has been, and the licensees.  
21 The licensees have had considerable, almost nine years  
22 of experience.

23 In that regard licensees have in some  
24 cases measured exposures. They have published papers  
25 so we are looking at all those papers to see what kind

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 of exposure the family members have received from  
2 these type of patients. We are gathering data on  
3 that.

4 Then we are also looking at the current  
5 ICRP and NCRP recommendations. We are also going back  
6 to the statements of consideration when the rule was  
7 promulgated in 1997 and then again in 2002. Then at  
8 the end we want to make a recommendation to our  
9 petition review board as to if there is any need to  
10 amend the current regulations. That is where we are  
11 on this.

12 CHAIR MALMUD: Thank you.

13 MS. BHALLA: I'm done and this way I'm  
14 saving time for what we lost earlier.

15 CHAIR MALMUD: Thank you very much for a  
16 straightforward and concise presentation. This does  
17 not require any action on behalf of the Committee.  
18 This is for information only?

19 MS. BHALLA: That is correct.

20 CHAIR MALMUD: Does anyone have a comment  
21 to make? Dr. Williams?

22 MEMBER WILLIAMSON: Well, yeah. My  
23 reaction to this is the NRC staff is taking Mr.  
24 Crane's petition very seriously which, from my  
25 perspective, seems most unfortunate because I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the 35-75 patient release rule is a very beneficial  
2 rule. I wonder if this group ought to not go on  
3 record supporting the existing rule and insisting that  
4 we be included in the review of any effort to modify  
5 the rule.

6 CHAIR MALMUD: Dr. Eggli.

7 MEMBER EGGLI: As a practicing nuclear  
8 medicine physician who has literally treated hundreds  
9 of patients under this current release rule, this has  
10 been a real benefit to the practice of medicine.  
11 Isolating people in the hospital who are not sick is  
12 a waste of precious healthcare resources. Many  
13 patients, in fact, don't want to be in the hospital.

14 The issue of people forgetting  
15 instructions, we give everybody written instructions  
16 and the class of patients that he suggested are going  
17 to forget their instructions are hyperthyroids who are  
18 going to be treated with less than 30 millicuries most  
19 of the time anyway.

20 This would be a giant step backwards for  
21 the delivery of quality healthcare in the United  
22 States if we were to go backwards to the previous  
23 rule. As a practicing nuclear medicine physician who  
24 does this work every day I am very opposed to going  
25 backwards.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 CHAIR MALMUD: Dr. Welsh.

2 DR. WELSH: As Dr. Eggli has pointed out,  
3 there are some positive advantages to the current  
4 setup. The petitioner points out one concern,  
5 contamination and dose concerns due to vomiting. I'm  
6 not personally aware of any episode since the  
7 regulation changed where this was a problem. Is there  
8 any instance where this has been a problem?  
9 Otherwise, why would he mention that? It's a  
10 theoretical concern but I have never heard it in  
11 practice.

12 CHAIR MALMUD: Dr. Eggli.

13 MEMBER EGGLI: There's a drug called  
14 Zofran which is an excellent anti-nausea. Anytime I  
15 treat a patient who may be at risk for nausea for  
16 vomiting, I pretreat them with oral Zofran. It's a  
17 very powerful central anti-nausea drug and it  
18 literally has been a magic bullet and changed the  
19 experience of radioactive iodine patients. In patient  
20 doses up to several hundred millicuries I can  
21 completely block nausea with Zofran.

22 CHAIR MALMUD: We've had in the last 30  
23 some years one patient vomit after getting I-131 in a  
24 dose less than 30 millicuries in the patient's car.  
25 It happened off the hospital campus. The patient's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 husband called. We told them to come back to the  
2 hospital property where the radiation safety office  
3 met their car, cleaned it out, decontaminated them and  
4 the automobile off of hospital property, actually on  
5 a back street.

6 There was no way of knowing in advance  
7 this patient was going to vomit. She had no complaint  
8 of nausea. She just vomited. We now give each  
9 patient a plastic bag in the event that they do vomit  
10 instructing them if they do that they should return  
11 the bag to us and we'll dispose of it for them. It is  
12 a rare occurrence. With respect to the patient's  
13 behavior regarding children, we generally advise  
14 patients who have children to separate themselves from  
15 the children if the children are young since young  
16 children can't keep a six-foot distance in a  
17 disciplined fashion.

18 Usually the mother, because of the  
19 frequency of hyperthyroidism among women, isolates  
20 herself from the children for a period of several days  
21 by moving out of the house or having the children move  
22 out of the house to that of a relative. There is an  
23 enormous sense of responsibility on the part of a  
24 parent toward his or her children. The advantages of  
25 treating patients on an outpatient basis far exceed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 the theoretical liability.

2 MS. WASTLER: Dr. Malmud, Sandra Wastler.  
3 I just wanted to clarify something based on Dr.  
4 Williamson's comment. Just to remind the Committee  
5 members and Dr. Welsh who knew that a petition for  
6 rulemaking is what we are dealing with here. We take  
7 all requests and petitions seriously.

8 We will look at them and the process is  
9 when somebody sends in a letter requesting, you know,  
10 petitions us for some technical medical rationale for  
11 making a change to the regulations, we take this and  
12 will accept a petition outright. We will publish it  
13 as for comment. It is all part of our consideration.

14 At this point in time this petition we  
15 have not made a decision that we are going to make any  
16 changes to the regulation. Should we make that  
17 decision, that would be the time during the normal  
18 rulemaking process when the ACMUI would get involved.  
19 I just wanted to remind you of that. This is early  
20 stage. A decision hasn't been made by the agency as  
21 to whether we are even going to do anything with the  
22 petition that has been requested. Just a reminder.

23 CHAIR MALMUD: Thank you. We realize the  
24 responsibilities of the NRC with respect to the  
25 petitioner and we are supportive of the current

1 rulemaking and supportive of your efforts.

2 Dr. Eggli.

3 MEMBER EGGLI: Just one last comment to  
4 help you think about the process. Again, the most  
5 prolonged exposure to young children are going to come  
6 from patients under 30 millicuries because they still  
7 have a thyroid gland. The thyroid cancer patient that  
8 we are treating with 100 or 150 on an outpatient basis  
9 have clearance halftimes of less than 18 hours on the  
10 average.

11 Some as short as 12 hours for a clearance  
12 halftime of the bulk of the radio iodine dose. Again,  
13 some of the arguments made in favor of returning to  
14 the old rule only apply to patients who would not be  
15 affected by the old rule. I would like you to  
16 consider that in your consideration of whether to  
17 engage in rulemaking on this.

18 CHAIR MALMUD: Thank you. Once again, we  
19 thank Ms. Bhalla -- is it Ms. or Dr.? I'm sorry.

20 MS. BHALLA: It's Ms. Bhalla.

21 CHAIR MALMUD: -- Ms. Bhalla for the  
22 presentation and keeping us informed. We will move on  
23 to the presentation of Mr. Firth, if we may, because  
24 we are under a significant time constraint. If you  
25 feel that there's an issue that requires further

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 discussion, we can call a telephone conference call  
2 with 15 days notice and deal with it then. Otherwise,  
3 we run the risk of not completing our agenda for  
4 today.

5 Thank you for your patience, Dr. Suleiman.

6 MEMBER SULEIMAN: My question would have  
7 been less time than your --

8 CHAIR MALMUD: But it would have generated  
9 another comment from someone else.

10 Mr. Firth.

11 Mr. Suleiman, while we are waiting for the  
12 images you can make your comment.

13 MEMBER SULEIMAN: I was just wondering if  
14 anybody knew off the top of their head what the dose  
15 rate would be for a 30 millicuries.

16 MEMBER EGGLI: It's going to be under the  
17 old 5 RMR limit.

18 CHAIR MALMUD: That's how it was derived.  
19 That's how it was derived. It was derived from the 5  
20 RMR.

21 MEMBER EGGLI: At one meter.

22 MR. FIRTH: Okay. Good afternoon. I'm  
23 going to quickly run through the highlights of  
24 petitions for rulemaking which we have designated as  
25 35-19 submitted by William Stein. This deals with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 training and experience for the use of radio isotopes.

2 It was written in March of this year,  
3 published in the Federal Register on June 14th. The  
4 comment period closed in late August. We have formed  
5 a working group. We have not started deliberations in  
6 terms of considering the petition and the comments.

7 Basically the petition requests NRC to  
8 establish training and experience requirements in a  
9 limited sense for authorized users for parenteral  
10 administrations requiring written direction on the  
11 following: <sup>153</sup>Sm-lexidronam (Quadramet), <sup>131</sup>I-  
12 tositumomab (Bexxar) and <sup>90</sup>Y-ibritumomab tiuxetan  
13 (Zevalin).

14 They are requesting that NRC recognize the  
15 following as adequate training and experience for this  
16 limited authorized user status: 80 hours of classroom  
17 and laboratory training, supervised work experience,  
18 and written attestation.

19 The basis that they used is that the risk  
20 associated with these FDA approved agents is less than  
21 that of sodium iodide through oral administration.  
22 They are making a comparison to those other NRC  
23 requirements. That was the assertion of the  
24 petitioner.

25 The comments on the petition, we had

1 comments from three states, the states of Alabama,  
2 Arkansas, and Iowa. They span from qualitatively  
3 supporting the petition to opposed to the petition.  
4 Four organizations expressed views. All of the  
5 organizations opposed the petition.

6 We had a number of physicians comment on  
7 the petition. A number of these are hematologists,  
8 oncologists. They were supporting the petition.  
9 There were other physicians that were opposed to the  
10 petition.

11 That is essentially the summary of the  
12 petition where we are. I know the Committee has been  
13 actively involved in the experience so we would be  
14 interested in any views that you may have.

15 CHAIR MALMUD: Thank you, Mr. Firth. I  
16 think the first hand up was Dr. Nag.

17 MEMBER NAG: These are unsealed isotopes  
18 and basically if you are going to take this down to 18  
19 hours the entire 390 would be the same. Unless you  
20 are going to hold up 390, then the people in 490 why  
21 not hold up 490. I think there is really no basis for  
22 changing this.

23 CHAIR MALMUD: The second hand, I think,  
24 was Dr. Eggli.

25 MEMBER EGGLI: The first comment is the

1 same. Basically you take these three isotopes out of  
2 390 there's nothing left in 390 so you might as well  
3 throw 390 away. Secondly, there are significantly  
4 greater risks of bone marrow suppression with these  
5 intravenous radiopharmaceuticals than with radioactive  
6 iodine.

7 In fact, Bexxar requires a permanent form  
8 of dose symmetry. One might argue that maybe Zevalin  
9 should because there is a significant experience that  
10 said there is bone marrow suppression with the Zevalin  
11 as well. Clearly Quadramet has the risk of bone  
12 marrow exposure suppression if you don't adequately  
13 evaluate the metastatic burden in the patient.

14 I think these three isotopes are higher  
15 risk than iodine. I would argue that for the typical  
16 iodine patient, except those that we do high dose on  
17 and we do formal dosimetry with those, iodine is  
18 clearly lower risk than any of these intravenous  
19 radiopharmaceuticals.

20 I can only think of one case in 18 years  
21 of experience of a patient that did not go through a  
22 dosimetry process with radioactive iodine who had  
23 significant bone marrow suppression from that.

24 Again, probably over the last 20 years  
25 I've treated thousands of patients with radioactive

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 iodine. I would disagree with the petitioner's  
2 assertions about the relative risk. Again, if you  
3 change it, there's nothing left in 390 and, as Dr. Nag  
4 said, you might as well throw 390 away.

5 CHAIR MALMUD: Dr. Welsh.

6 DR. WELSH: To follow up on that point, I  
7 would ask why is Metastron not included in this list,  
8 strontium-89, because it is a glaring omission and  
9 raises the suspicion of an ulterior motive with  
10 pharmaceutical industries. Does anybody know why the  
11 strontium-89 was not included?

12 MR. FIRTH: I cannot add in terms of why  
13 it was not. One of the comments from the State of  
14 Arkansas indicated that if NRC were to pursue  
15 rulemaking in response to this petition, that they  
16 would recommend including Metastron.

17 The petitioner in phrasing their petition  
18 gave these as examples. They also cited that there's  
19 other drugs that are becoming available so they  
20 actually offered three alternatives in terms of how to  
21 address the training and experience of which they said  
22 one approach would be NRC could do it on an individual  
23 basis or to do it more in a generic sense that would  
24 include like Metastron and the others in an envelope  
25 for the rulemaking.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 CHAIR MALMUD: Dr. Suleiman.

2 MEMBER SULEIMAN: First off, I think  
3 iodine which is usually used to oblate the thyroid so  
4 the dosimetry is of questionable accuracy. I think  
5 these are used for non-hotchkins lymphoma.

6 MEMBER EGGLI: The dosimetry with iodine  
7 is to calculate bone marrow exposure on high-dose  
8 patients.

9 MEMBER SULEIMAN: But what I'm saying is  
10 I think you need much better dosimetry for the Bexxar  
11 and the Zevalin than you do what they are comparing it  
12 to. They aren't comparable and the risks are -- just  
13 to remind people, we are dealing with a therapeutic --  
14 where the organs are internal and much more critical.

15 CHAIR MALMUD: Thank you. Dr. Williamson.

16 MEMBER WILLIAMSON: Who are the four  
17 organizations that commented?

18 MR. FIRTH: The organizations were the  
19 American College of Radiology, the American Society  
20 for Therapeutic Radiology and Oncology, the American  
21 College of Radiation Oncology, and American  
22 Association of Physicists in Medicine.

23 CHAIR MALMUD: Thank you. The information  
24 for us was for information only?

25 MR. FIRTH: It is for your information but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 if you have any other views, we can consider them as  
2 we work in resolving the petition.

3 CHAIR MALMUD: I sense no contrary views  
4 from the members of the Committee.

5 MEMBER NAG: I'm wondering if to help you  
6 if even at this point we can make a motion that we  
7 have advised this in the ACMUI and I make the motion  
8 that the ACMUI rejects the argument. That would give  
9 them a stronger hand.

10 CHAIR MALMUD: There is a motion on the  
11 floor. Is there a second to the motion? Dr. Eggli.  
12 Any further discussion of Dr. Nag's motion which has  
13 been seconded by Dr. Eggli? If not, all in favor of  
14 Dr. Nag's motion? Any opposed? Any abstentions?  
15 It's unanimous. You have the sense of the Committee.

16 MR. FIRTH: Okay. Thank you.

17 CHAIR MALMUD: But you can use the  
18 interrelations as well. Thank you.

19 I believe Dr. Zelac is next on the agenda.

20 DR. ZELAC: I was asked to be the third  
21 because this is the petition about which we know the  
22 least in that it was received more recently than any  
23 of the others. It was submitted by E. Russell  
24 Ritenour, Ph.D., and it was submitted on behalf of the  
25 American Association of Physicists in Medicine, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 AAPM.

2 When a petition comes in an initial  
3 decision is whether or not to accept it as a petition  
4 or whether something as a request is simply frivolous  
5 and should be disregarded. This is not frivolous.  
6 This has been accepted by NRC as a petition. It has  
7 been docketed and has been assigned the number that  
8 appears at the top of the slide.

9 This petition has not yet appeared, been  
10 published in the Federal Register, although that's  
11 expected in the very near future. Once it is  
12 published the comment period will extend from the date  
13 of publication for 75 days. Resolution of this  
14 petition if we follow the usual course of events at  
15 the agency is anticipated within one year after the  
16 date that it is noticed in the Federal Register but it  
17 might be much sooner.

18 We would attempt to get it done as  
19 promptly as possible. We meaning the agency. I am  
20 not directly involved yet, if at all. I'm already  
21 serving as a member of two of these petition review  
22 boards. I think it's probably likely that I am going  
23 to be assigned to this third one as well.

24 There are two requests that appeared in  
25 the petition. The first was to revise 10 CFR 35.57

1 which is the grandfathering provision of the medical  
2 use rule to grandfather as authorized medical  
3 physicists all medical physicists certified either by  
4 the American Board of Radiology or the American Board  
5 of Medical Physics on or before October 24 of 2005 for  
6 the modalities that they were practicing as of that  
7 same date.

8 Just for information, the second request  
9 also relates to 10 CFR 35.57 which is titled in the  
10 regulations, "Training for experienced radiation  
11 safety officer, teletherapy, or medical physicist,  
12 authorized medical physicist, authorized user, nuclear  
13 pharmacists, and authorized nuclear pharmacists."

14 The second request again deals with the  
15 same provision, 35.57, and it is to grandfather as  
16 radiation safety officers all individuals certified by  
17 the boards named for radiation safety officer training  
18 and experience requirements in the former 10 CFR 35  
19 Subpart J who have relevant work experience providing  
20 appropriate preceptor statements are submitted.

21 Just for information, Subpart J did expire  
22 and was removed from the regulations as Donna-Beth  
23 Howe mentioned earlier. It expired on October 24th of  
24 2005. Therefore, the magic date that appeared in the  
25 first request.

1           The boards that were listed as recognized  
2           for radiation safety officer training in the section  
3           of Subpart J dealing with radiation safety officers  
4           included the American Board of Health Physics  
5           Comprehensive, the American Board of Radiology, the  
6           American Board of Nuclear Medicine, the American Board  
7           of Science and Nuclear Medicine, Board of  
8           Pharmaceutical Specialties in Nuclear Pharmacy,  
9           American Board of Medical Physics and Radiation  
10          Oncology Physics, Royal College of Physicians and  
11          Surgeons of Canada in Nuclear Medicine, American  
12          Osteopathic Board of Radiology, and the American  
13          Osteopathic Board of Nuclear Medicine.

14                 Now, that whole list of boards that I just  
15          mentioned that appeared in Subpart J, three of them  
16          have applied for recognition in the radiation safety  
17          officer training category under the new Part 35  
18          training experience which became effective in April of  
19          2005.

20                 They are the American Board of Health  
21          Physics. It is currently recognized and diplomates  
22          and its certification process is recognized from  
23          January 1st of 2005 to present. The American Board of  
24          Radiology, specifically in radiologic physics, medical  
25          nuclear physics, and diagnostic radiologic physics,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 from June of 2007 forward. And the American Board of  
2 Science and Nuclear Medicine in the specialty for  
3 nuclear medicine physics and instrumentation from June  
4 2006 forward.

5 That's all in the way of information and  
6 background. Again, this is not even been noticed in  
7 the Federal Register yet so we have not received any  
8 comments on it, although clearly they are welcome as  
9 soon as notice has been published.

10 I will not say too much more unless there  
11 are questions because this is a reasonable segue into  
12 the next talk which I'm also presenting.

13 CHAIR MALMUD: There is a question from  
14 Dr. Nag.

15 MEMBER NAG: Would a similar petition like  
16 that solve the problem with the board certified  
17 radiation oncologist who was board certified in 2005  
18 and before 2007? We are having that problem with some  
19 of the people who are going to be board certified now  
20 and before 2007 who would have a problem being  
21 recognized as an authorized user. If a petition like  
22 that for the radiation oncologist is given, would that  
23 solve the problem?

24 DR. ZELAC: A petition submitted would, of  
25 course, follow the same course of consideration that

1 this petition is following. Whether either of those  
2 petitions would result in and of themselves in a  
3 solution to the issue is another question but it  
4 certainly could be entertained. I am certain there  
5 are other boards that are looking to see how this  
6 petition is handled to make decisions as to what, if  
7 anything, to do on their behalf.

8 There is a mechanism which I will discuss  
9 in the next talk which I think could work around the  
10 issue that you have just mentioned with respect to not  
11 only radiation oncologists but all diplomates of  
12 boards whose certifications were obtained in times  
13 other than those for which that particular  
14 certification process is recognized.

15 CHAIR MALMUD: Thank you, Dr. Zelac. I  
16 believe you are on again.

17 DR. ZELAC: Indeed I am.

18 CHAIR MALMUD: I believe your slides are  
19 on the white on black. Is that correct?

20 DR. ZELAC: Black and white. That's  
21 correct. That is the way they appear.

22 CHAIR MALMUD: White on black, yes. They  
23 are a handout. They are not in your book.

24 DR. ZELAC: This talk has been allocated  
25 in a relatively appreciable amount of time for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 discussion. I noticed in this morning's talk by Lydia  
2 Chang that she mentioned it was a status report and,  
3 on that basis, it didn't seem to be a whole lot of  
4 feedback. I might suggest this is also a status  
5 report. Not because I'm trying to save time but  
6 because you'll see when I get to the last slide that,  
7 in fact, that is exactly what it is.

8 There are a number of considerations which  
9 have already been made, actions which have been or  
10 will be taken, but there are other things coming up  
11 which are also under consideration, not the least of  
12 which is the petition that we just discussed.

13 I thought it would be a good way to start  
14 by reviewing the pathways to authorize status, to  
15 recognize status for radiation safety officers and for  
16 authorized medical physicists that exist in the  
17 current Part 35, again, which became effective as of  
18 April of 2005.

19 Certification pathway, which we have  
20 started to discuss already, for radiation safety  
21 officers. There are, in fact, two in 35.50(a) and  
22 also in 35.50(c). For authorized medical physicist  
23 the certification pathway is 35.51(a). A pathway  
24 which does exist involves the grandfather provisions  
25 in 35.57 as I was just discussing a little earlier.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Those appear for RSOs and for authorized medical  
2 physicists in 35.57(a).

3 In quick summary, if those individuals who  
4 were named on licenses, be it NRC or agreement state  
5 licenses as of the effective date for the T&E rule,  
6 April 29, 2005, are grandfathered. If your name is on  
7 a license, there is no need to modify your training  
8 and experience to match the current requirements.  
9 What you did before to get authorized is sufficient.

10 The third pathway is the notification  
11 provision pathway which relates to authorized medical  
12 physicists only, not to radiation safety officers. It  
13 centers on the definition for an authorized medical  
14 physicist in 35.2 which includes not only those that  
15 are certified by a board recognized by NRC or an  
16 agreement state, but also those individuals who are  
17 named on licenses or permits by NRC or an agreement  
18 state.

19 Those people that are named on permits or  
20 licenses can begin work at another licensee's facility  
21 without that license being amended. Those individuals  
22 can within 30 days have their credentials submitted to  
23 the agency, NRC, or some of the agreement states.  
24 That is sufficient. At some later time when the  
25 license is being worked on, either for amending or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 renewing, that person's name will be added onto the  
2 license.

3 This provides the notification provision  
4 pathway, provides an easy path for those essentially  
5 either who are certified during a period when the  
6 process is recognized, or named on a license to begin  
7 work at another licensee's facility easily.

8 The last pathway to mention is the  
9 alternate pathway, the requirements for which appear  
10 in 35.50(b) for radiation safety officers and 35.51(b)  
11 for authorized medical physicists. These are the  
12 training and experience requirements more specifically  
13 spelled out than those in the certification pathway.

14 MEMBER WILLIAMSON: I'm sorry. May I ask  
15 a question of clarification?

16 DR. ZELAC: Certainly.

17 MEMBER WILLIAMSON: I am not certain I  
18 understand the difference between the grandfather and  
19 notification provisions. They seem --

20 DR. ZELAC: Grandfathering only applies to  
21 those individuals who are named on licenses as of  
22 April 29th of 2005. If you are named on a license,  
23 there is nothing further that you need to do in order  
24 to continue work. You are authorized.

25 You can continue being authorized even

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       though the now training and experience requirements  
2       differ from those that were in place when you were  
3       recognized. The notification pathway does not have a  
4       time associated with it. It's at anytime that you  
5       become named on a license as dealing with that aspect  
6       of it.

7               Anytime that you become named on a license  
8       as, for example, a medical physicist or an authorized  
9       medical physicist you can begin work at another NRC  
10      facility and at least some of the other agreement  
11      state facilities without the license being amended.  
12      Essentially it's a ticket to begin work at another  
13      licensee's facility.

14             MEMBER WILLIAMSON: But the prerequisites  
15      aren't the same. You have to have been named on a  
16      prior license as an AMP or teletherapy physicist.  
17      That's why I'm not sure I  
18      appreciate --

19             DR. ZELAC: You need to be named on a  
20      license but it doesn't have to have been prior to  
21      April 29th of 2005. It can be from that day forward,  
22      for example.

23             MEMBER LIETO: I'm a little confused  
24      because in order to get named on the license you  
25      either have to meet the certification pathway or the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 alternate pathway. The only way they could notify a  
2 license that you have been on a license already is  
3 that say a broad scope and they approve and the AMP.  
4 They have to use one of those two criterias unless  
5 there is a difference in broad scope licensing that  
6 I'm not quite aware of. They have to use one of those  
7 pathways in order to approve them in-house.

8 DR. ZELAC: What I am basically trying to  
9 say is that if you were interested in becoming an  
10 authorized medical physicist at some particular  
11 licensee's facility, there are four ways that you  
12 could achieve that status. One is if you were  
13 certified and your certification was obtained during  
14 a time when the certification process of the board was  
15 recognized.

16 The second is you have training and  
17 experience and you simply document that training and  
18 experience. If it matches the requirements in  
19 35.51(b), you should be good to go. Those  
20 qualifications would have to be submitted for review  
21 prior to your starting work.

22 However, if you were either named on  
23 another license previously, you can continue on that  
24 license in your current capacity. Or if you were  
25 named on another license, you can go to another

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 licensee's facility and start work on that as well,  
2 again, either named on a license or a permit.

3 MEMBER WILLIAMSON: So it's basically the  
4 same group of people except the notification pathway  
5 encompasses those between April 2005 and the current  
6 date.

7 DR. ZELAC: That's essentially correct.  
8 That's correct. So I'm going to try to focus this on  
9 questions and try to hopefully provide answers that  
10 are intelligible to the various questions.

11 Why is this authorization a medical  
12 physicist as AMPs and RSOs a concern? I'll just put  
13 out everything on the slide and then we'll just talk  
14 about them. First of all, as we have been discussing,  
15 medical physicists not named on licenses or permits as  
16 of April 29th, 2005, are not grandfathered.

17 Secondly, some agreement states  
18 previously, and still to this date, don't list medical  
19 physicists on licenses. All of them list radiation  
20 safety officers but typically there is only one per  
21 license. Third, in terms of why this is a concern,  
22 the certification pathways are now time restricted.  
23 When a board was recognized in Subpart J it was  
24 recognized period.

25 Now, there had been criteria under which

1 that board's recognition was considered in terms of  
2 the adequacy of the program at the time it was  
3 recognized, but there were no time restrictions.  
4 Whereas with the 2005 training and experience rule,  
5 the direction that was given to staff from the  
6 Commission was that each board, each and every board  
7 including those that appeared in Subpart J, should  
8 have their certification processes reviewed.

9 It would be only those boards whose  
10 processes met the now current training and experience  
11 requirements for recognition of a certification  
12 process who could remain recognized or become  
13 recognized. There is a time frame now for a  
14 particular board's certification process.

15 The second bullet that you see there  
16 talking about agreement states really relates to the  
17 notification provisions pathway which, as I mentioned  
18 earlier, is available in many jurisdictions but not  
19 all. I'll speak to this in the next slide.

20 So how large an issue is this concern  
21 about medical physicists seeking AMP status? I'll  
22 cover AMP and then go into RSO. NRC conducted a  
23 survey of the agreement states to gather information  
24 that would relate to this particular question.

25 The survey took place this summer and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 results indicate that 28 of the 34 agreement states  
2 have been or are now listing medical physicists or  
3 AMPs on limited specific use licenses. Of course, for  
4 broad licenses they are not listed. That is up to the  
5 Radiation Safety Committee to consider the  
6 qualifications and to provide permits.

7 The other six agreements states, which  
8 will remain nameless until someone asks, will list  
9 medical physicists on licenses or AMPs on licenses by  
10 April of 2008. Why April of 2008? That is three years  
11 from the effective date of NRC's current training and  
12 experience rules and the agreement states are  
13 typically allotted three years to come into conformity  
14 wherever that is required in terms of compatibility.

15 MEMBER BAILEY: Can I ask a question?

16 DR. ZELAC: Certainly.

17 MEMBER BAILEY: I didn't understand that  
18 who you named on a license was an item of  
19 compatibility.

20 DR. ZELAC: That's the point. That is  
21 exactly the point, that it hasn't been previously but  
22 the new training and experience requirements have  
23 compatibility beat, which means they have to be  
24 essentially identical so if it now a requirement for  
25 NRC to list medical physicists on licenses, unless I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 mistaken it will be a requirement under compatibility  
2 for the agreement states to do the same.

3 MEMBER BAILEY: I would disagree. I mean,  
4 I agree that the training requirements are items of  
5 compatibility.

6 DR. ZELAC: Thank you.

7 MEMBER BAILEY: But I don't necessarily  
8 know that listing it on a license --

9 DR. ZELAC: You are correct. That relates  
10 to the notification provision and that is not a  
11 compatibility. You are correct. I stand corrected.

12 MEMBER BAILEY: And you said unless  
13 somebody asked. May I be the devil's advocate and ask  
14 so I can perhaps get back to them? Which states are  
15 not now doing it?

16 DR. ZELAC: The six states which are not  
17 now listing medical physicists and who have indicated  
18 they probably are not going to do this before they  
19 really need to in April 2008, or they will have  
20 accomplished it at that point in time, are Kansas,  
21 Louisiana, Maryland, Mississippi, New Hampshire, and  
22 Tennessee.

23 MEMBER WILLIAMSON: May I ask a question?

24 DR. ZELAC: You certainly may.

25 MEMBER WILLIAMSON: Well, the fact that

1 the agreement states may be doing this now is I think  
2 perhaps not of much help to those who would like to be  
3 grandfathered since the grandfathering clause, as I  
4 read here --

5 DR. ZELAC: I understand what you're  
6 saying.

7 MEMBER WILLIAMSON: -- before October 24,  
8 2002.

9 DR. ZELAC: You are absolutely correct.  
10 It's not the grandfathering that this relates to.  
11 It's the notification pathway because if individuals  
12 were listed on licenses, then they would have a clear  
13 and easy way to start work at another licensee's  
14 facility in an NRC state or in at least some of the  
15 agreement states.

16 MEMBER WILLIAMSON: And I also don't  
17 really see where in the letter of 35.57 serving as an  
18 authorized medical physicist or authorized medical  
19 physicist in a broad scope licensee would provide  
20 grounds for grandfathering.

21 DR. ZELAC: It does under the  
22 notification. It's not grandfathered.

23 MEMBER WILLIAMSON: That's under the  
24 notification pathway but I don't see that, you know,  
25 it satisfies the grandfather.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 MS. HOWE: This is Dr. Howe. If you look  
2 at 35.57(a) you will see that you can also be listed  
3 on a permit issued by a commission or agreement state,  
4 broad scope license or master material's license  
5 permit, or a master material's license permittee of  
6 broad scope so it does cover the broad scopes.

7 MEMBER WILLIAMSON: Okay. All right.

8 DR. ZELAC: I knew the wording was there  
9 but I wanted to give it to you directly. No, it does  
10 apply to permittees as well as licensed persons.

11 Since we are talking about who does what  
12 with respect to the agreement states, might as well  
13 mention that California currently only lists  
14 physicists for gamma knife. However, if a physicist  
15 request to be listed, they'll do it. Illinois,  
16 Nevada, and Texas do list medical physicist except for  
17 strontium-90 eye applicators which is one usage in  
18 Part 35 that does require input in involvement of an  
19 authorized medical physicist. And North Carolina will  
20 list physicist but only on request.

21 The last bullet on this slide simply says  
22 what we have already discussed. Once a medical  
23 physicist is listed, that person can achieve  
24 authorized status on another license in NRC states  
25 definitely and some agreement states via the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 notification pathway.

2           There are more considerations to this  
3 question about how large an issue this concern is for  
4 medical physicists seeking AMP status. First, there  
5 are certification pathways. I mentioned -- I didn't  
6 mention for medical physicist but there are  
7 certification pathways that do exist now and they may  
8 expand both in terms of numbers of boards as well as  
9 possibly the time duration under which a certification  
10 process is recognized.

11           Medical physicists also, of course, can  
12 seek authorized status via the alternate pathway. It  
13 is simply, again, filling out a form that relates, or  
14 not even a form, providing information about training  
15 and experience in an attempt to show that it matches  
16 the current requirements in the alternate pathway.

17           The third and the fourth bullets simply  
18 fact and just give you a status of where we are.  
19 There haven't been any reported problems from NRC's  
20 regions with medical physicists getting authorized  
21 since almost a year ago, October of 2005. We have not  
22 received any problem reports either from agreement  
23 states that have been operating with revised training  
24 and experience requirements that match Part 35's  
25 requirements.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   How big an issue is this relating to this  
2 concern? First of all, as I'm sure everyone on the  
3 Advisory Committee recognizes, many medical physics  
4 uses do not require an AMP. These include all  
5 diagnostic uses, for example, nuclear medicine, and  
6 some therapeutic uses, for example, manual  
7 brachytherapy.

8                   MEMBER BAILEY: You mentioned eye  
9 applicators awhile ago as something they were not  
10 listing medical physicists for. Are eye applicators  
11 not considered manual brachytherapy?

12                  DR. ZELAC: The specific requirement in  
13 the rule that calls for the involvement of an  
14 authorized medical physicist is for the decay  
15 corrections only. That is the only place that an  
16 authorized medical physicist is called for with  
17 relation to a manual brachytherapy activity. It is  
18 only for strontium-90 eye applicators.

19                  DR. ZELAC: The second point -- well, I  
20 should say then -- I've said what medical physicists  
21 as AMPs are not required for but I should just remind  
22 you what they are required for. They are required for  
23 the teletherapy unit use, for remote after-loader unit  
24 use, for gamma knife and other stereotactic radial  
25 surgery use, and for strontium-90 ophthalmic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 applicators.

2 Again, in terms of the scope of the  
3 approximately 6,000 medical use licensees in the  
4 entire United States including NRC and the agreement  
5 states, 5,000 of these licenses do not require  
6 authorized medical physics services.

7 Now, to switch gears slightly, how large  
8 an issue is this concern about medical physicists  
9 seeking RSO status? The considerations are as  
10 follows. First, anyone who is seeking RSO status must  
11 submit credentials for review. There are no automatic  
12 authorizations based on certifications from boards  
13 recognized under any of the sections of 10 CFR Part  
14 35.

15 There is no notification process that  
16 applies. You are changing RSO. The credentials must  
17 be submitted. Secondly, there are certification  
18 pathways to radiation safety officer for all three  
19 main types of certified medical physicists,  
20 therapeutic medical physicists, diagnostic medical  
21 physicists, and nuclear medicine medical physicists.  
22 The pool of medical physicists who might be seeking  
23 RSO status is largely than those that are AMP eligible  
24 medical physicists.

25 And, as I mentioned earlier, there are

1 additional boards that may be recognized currently.  
2 It's only a certification process from the American  
3 Board of Radiology which is recognized by the American  
4 Board of Medical Physics, has submitted request for  
5 consideration of recognition of the certification  
6 process or processes, and the agency is currently  
7 awaiting additional information from that board.

8 More considerations. These I will note  
9 before I even start to put them up are important  
10 information but I think it is generally not  
11 recognized. For medical physicists that are seeking  
12 RSO status via the certification pathway, there are  
13 training and experience requirements listed in that  
14 pathway and that training and that work experience  
15 requires that there be a certified supervisor, meaning  
16 someone who is certified but that person does not have  
17 to be an AMP, that supervisor.

18 That supervisor does not have to be an RSO  
19 and that supervisor does not have to be certified in  
20 years that the board's processes are recognized.  
21 Simply has to be a diplomate of a board which has been  
22 recognized by NRC or an agreement state so that very  
23 much opens up and broadens the range of individuals  
24 who can serve as supervisors for those gathering their  
25 required training and work experience to seek

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 certification as a medical physicist.

2 Secondly, AMPs, medical physicists who  
3 have been named on licenses as authorized medical  
4 physicists, have a pathway, an easy pathway to RSO  
5 status, it's in 35.50(c)(2) regardless of whether that  
6 individual AMP status was achieved via the  
7 certification pathway or the alternate pathway. In  
8 other words, it doesn't require an AMP who is  
9 certified. It simply requires an AMP to have an easy  
10 pathway to RSO status.

11 The certified supervisors that are  
12 mentioned here relate to the two years of full-time  
13 practical training and/or supervised experience in  
14 medical physics. That's a direct quote from the rule.

15 CHAIR MALMUD: Mr. Lieto.

16 MEMBER LIETO: Ron, could we go back to  
17 just your previous slide there on that supervisor  
18 question? The certified supervisor statement there,  
19 is this applying to the AMP or the RSO or both? It  
20 sounded like your comments were referring to the AMP,  
21 not the RSO. I guess the second part of that this is  
22 to the component of the preceptor, right? The  
23 attestation statement?

24 DR. ZELAC: No, this is not the  
25 attestation. I am not speaking here of the

1       attestation and the preceptor statement at all. I'm  
2       speaking to the requirement in the rule section itself  
3       that lays out the qualifications that a board's  
4       process must have in order for it to be recognized.

5               MEMBER LIETO:     So this would be for  
6       medical physicist seeking AMP status?

7               DR. ZELAC:     Well, the certification  
8       pathway for an authorized medical physicist, the AMP  
9       designation, in order for the board to be recognized  
10      that it require of its candidates that they have two  
11      years of full-time practical training and/or  
12      supervised experience in medical physics under the  
13      supervision of a medical physicist who is certified in  
14      medical physics by a specialty board recognized by the  
15      Commission or an agreement state. That is pretty  
16      clear.

17              MEMBER LIETO:   It's not just RSO status.  
18      It would be RSO or AMP status.

19              DR. ZELAC:     And if we go to the  
20      certification pathway requirements that apply to a  
21      medical physicist seeking RSO status, that is in  
22      35.50(a)(2), "Have two years of full-time practical  
23      training and/or supervised experience in medical  
24      physics under the supervision of a medical physicist  
25      who is certified in medical physics by a specialty

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 board recognized by the Commission or an agreement  
2 state."

3 Yes, it applies to both the training  
4 requirements for RSO and for authorized medical  
5 physicists. I spoke of it here in discussing the RSO  
6 but it also applies to the medical physics.

7 MEMBER LIETO: All right.

8 DR. ZELAC: There's been a statement, and  
9 that's the reason I'm putting it here under the  
10 considerations, that in terms of the size of this  
11 issue I really need to emphasize, and that's why this  
12 first bullet is there, if a medical physicist is not  
13 able to apply via the certification pathway, they are  
14 not disenfranchised.

15 They are not being kept from practicing  
16 their profession legally. They can also achieve RSO  
17 status via the alternate pathway because, again, there  
18 is a specific pathway there that some may consider  
19 onerous but it does provide another way to achieve  
20 recognized status as an RSO.

21 Secondly, that alternate pathway is not a  
22 second class pathway. It's not a lesser path. A  
23 radiation safety officer for a given use is considered  
24 just as capable and just as qualified of carrying out  
25 the responsibilities if they achieve that status via

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 certification pathway or an alternate pathway.

2 Now, big question. What is NRC doing to  
3 reduce the impact of this issue? Clearly, we are not  
4 just saying there isn't an issue. There is an issue  
5 here but what are we able to do? What have we done?  
6 What will we be doing to lessen the impact of this  
7 issue.

8 The principal thing that I can say is we  
9 are encouraging, we are putting out encouragements.  
10 First, to medical physicists to get listed on licenses  
11 or permits. As we discussed earlier, then the  
12 notification pathway is available to them.

13 Secondly, encouragement to agreement  
14 states to do just what we've been talking about,  
15 listing medical physicists whenever a licensing action  
16 occurs. Not retroactively to go and look at all  
17 licenses but if a license is being handled anyway for  
18 renewal, for amendment, now would be a good time with  
19 very little additional cost and effort to add the name  
20 of the medical physicist whose credentials were  
21 considered before that usage was authorized to begin  
22 with.

23 MEMBER BAILEY: Could those be approved  
24 under preexisting requirements? What I'm thinking is  
25 that medical physicists if there were regulations

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 regarding their being named may have simply said board  
2 certification by these groups without reference to  
3 years or anything else.

4 DR. ZELAC: Well, recognize, of course,  
5 that the agreement states do have until 2008 in April  
6 to make the conversion so they still have their  
7 equivalence to Subpart J available and their  
8 equivalents simply name the boards

9 MEMBER BAILEY: Or some other pathway.

10 DR. ZELAC: Or some other pathway.

11 MEMBER BAILEY: Okay. So you would accept  
12 those. They do not have to be equivalent.

13 DR. ZELAC: If the agreement state has a  
14 path that it uses in considering the qualifications of  
15 a medical physicist and it uses that path and the  
16 result is that individual is considered qualified and  
17 is named on the license, that is good.

18 The third thing that we're doing is to  
19 speak to the boards themselves in attempts to broaden,  
20 if possible, the recognition times for their  
21 certification processes.

22 MEMBER WILLIAMSON: Could I ask a question  
23 about the previous bullet before you move on?

24 DR. ZELAC: Certainly.

25 MEMBER WILLIAMSON: What is the difference

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 between permittee and named on a license?

2 DR. ZELAC: Say that again?

3 MEMBER WILLIAMSON: Can you tell us the  
4 difference between a permittee and one who is named on  
5 the license as an authorized person?

6 DR. ZELAC: For these purposes none.  
7 There is no difference at all. They are considered  
8 equally because in any --

9 MEMBER WILLIAMSON: Why are there  
10 different words used if they mean exactly the same  
11 thing? What is the distinction?

12 DR. ZELAC: Because they are not identical  
13 but for these purposes there is no distinction.

14 MEMBER WILLIAMSON: Could you define what  
15 they are?

16 DR. ZELAC: Sure. An individual --

17 DR. HOWE: Let me get a quick one in here.

18 DR. ZELAC: Here. Go ahead. I was going  
19 to but go ahead.

20 DR. HOWE: You have the board scope. The  
21 broad scope issues permits to authorized users,  
22 medical physicists, whoever, in order to authorize  
23 them to use the material. We also have a category of  
24 licensees that the NRC call the master materials  
25 license.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           The master materials license, which is the  
2           Air Force, the Navy, and the Department of Veterans  
3           Affairs, has a central regulatory type of group that  
4           will issue what is equivalent to an NRC license but  
5           what is called a permit so we recognize those permits  
6           and people identified on those permits because they  
7           have to meet the NRC requirements as being equipment.  
8           The broad scope NML permittees also can issue permits  
9           to their individuals.

10           MEMBER WILLIAMSON: What's it called?

11           DR. HOWE: Master materials license.

12           MEMBER WILLIAMSON: Okay.

13           DR. HOWE: They can also issue permits to  
14           their broad scope permittees to recognize physicians  
15           and medical physicist and nuclear pharmacists and RSOs  
16           on their permits. That is the meaning with respect to  
17           our master materials licenses.

18           MEMBER WILLIAMSON: Is broad scope  
19           licensee AMP considered to be a permittee or named on  
20           a license?

21           DR. HOWE: The terminology we use is if  
22           the broad scope has recognized that individual to be  
23           an authorized medical physicist, we consider that to  
24           be a permit, a broad scope permit.

25           MEMBER WILLIAMSON: And the evidence for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that are the minutes of the Radiation Safety  
2 Committee?

3 DR. HOWE: Whatever documentation that the  
4 broad scope has.

5 MEMBER WILLIAMSON: So this pathway does  
6 not exist for specific scope licensees?

7 DR. HOWE: That's correct because a  
8 limited specific licensee has to have individuals.  
9 The training and experience is reviewed by the NRC.  
10 I'm just talking for NRC licensees. It's reviewed by  
11 the NRC and the individual is named on a license, or  
12 uses the notification pathway if they are already  
13 recognized by definition as an authorized user,  
14 authorized medical physicist, and then gets listed on  
15 the license later.

16 DR. ZELAC: So, in summary, to answer your  
17 question very succinctly, for these purposes there is  
18 no difference. It doesn't matter whether you are  
19 named on a license or named on a permit, the same  
20 pathways are available to you.

21 The first two bullets on this slide, to  
22 MPs that get listed on licenses or permits and to  
23 agreement states to list MPs whenever licensing  
24 actions occur, will first prevent any rushed efforts  
25 and backlogs and agreement states that are not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 presently listing MPs to do so to list AMPs as the  
2 April 29th of 2008 deadline approaches.

3 Second, will facilitate the review and  
4 approval process of those certified MPs who are not  
5 presently listed on licenses and for whom the  
6 grandfather provisions do not apply because the  
7 Subpart J equivalence, as I mentioned, can be used as  
8 a bases for these determinations.

9 Third, these actions will facilitate  
10 relocation when sought to another facility by a  
11 medical physicist who is practicing in an agreement  
12 state licensed medical use facility but isn't listed  
13 on the license or on a broad scope license permit. In  
14 other words, this will be good for the medical  
15 physicist who may want to change locations.

16 The third bullet that is up here now to  
17 broads to broaden their recognition times, applies not  
18 so much to medical physicists. It applies  
19 specifically to the American Board of Health Physics  
20 but not to the American Board of Radiology for  
21 radiologic physics process because that process was  
22 recently changed and it is unlikely that time frame  
23 for its recognition will be, or can be expanded. The  
24 process was changed. The recognition time reflects  
25 the process that exist now.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   Here is what I think is a big, big  
2                   opportunity to handle a lot of the concern. NRC is  
3                   also encouraging the certification boards to identify  
4                   upon request from a diplomate, those diplomates from  
5                   years when their certification process wasn't  
6                   recognized who do meet the current requirements for  
7                   certification pathways, to authorize medical  
8                   physicists and/or to radiation safety officer.

9                   In other words, you have a diplomate of a  
10                  particular recognize board. However, that diplomate's  
11                  certification came at a time when the process wasn't  
12                  recognized. Why wasn't the process recognized?  
13                  Perhaps because not all diplomates for that particular  
14                  time frame met the requirements.

15                 Most did but not all. Those diplomates  
16                 who did -- whose qualifications at the time did meet  
17                 the current requirements could approach the board and  
18                 as a service to their diplomates, the boards could if  
19                 they wished to issue some kind of a revised  
20                 certificate or equivalent, letter perhaps, indicating  
21                 this fact and such documents could be used by  
22                 diplomates seeking recognition via the certification  
23                 pathways. We are certainly open as an agency to that  
24                 approach.

25                 VICE-CHAIR VETTER: Excuse me, Ron. Has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that process been approved by NRC and communicated to  
2 the boards?

3 DR. ZELAC: We have been discussing it  
4 internally to see if it was workable and we have been  
5 in touch with boards and are continuing to be in touch  
6 with boards to make this fact known to them.

7 CHAIR MALMUD: Mr. Bailey.

8 MEMBER BAILEY: Assuming some board chose  
9 not to do that, would NRC be willing to do the same  
10 thing? In other words, I'm sure one of the concerns  
11 are the degree requirement. If I as one of these who  
12 didn't get certified afterwards submitted my  
13 certification and the fact that I had the degrees  
14 required, would NRC then accept that rather than all  
15 this other stuff?

16 DR. ZELAC: Well, under the best of  
17 circumstances the board, which granted the  
18 certification, would give you a letter and that letter  
19 would be submitted. Failing that for whatever  
20 reasons, you have asked a question which we have  
21 talked about but we don't really have a position at  
22 the moment.

23 I think we should and can and will  
24 consider it but I can't say with certainty that would  
25 meet the requirement that exist in the rule and that



1 is where we have to have Office of General Counsel  
2 involved interpreting what we can do and what we  
3 cannot do. That individual could certainly use his or  
4 her credentials, whatever they might be, and apply for  
5 recognized status via a certification pathway and  
6 through an exemption. We prefer not to --

7 MEMBER BAILEY: You prefer not to regulate  
8 by exemption.

9 DR. ZELAC: Absolutely.

10 CHAIR MALMUD: Dr. Nag.

11 DR. ZELAC: The other alternative --  
12 excuse me, just to finish, is they could just define  
13 and explain their training and experience in ways  
14 other than, "Here is a copy of my certification," and  
15 apply via the alternate pathway.

16 MEMBER NAG: I think this is similar to  
17 what we were discussing before when I mentioned that  
18 NRC do something to rectify the problem itself. This  
19 is a problem basically we ourself unknowingly or  
20 unwittingly. I think we should bend backwards to try  
21 to prevent individuals from getting into trouble  
22 because it is not their fault that they happen to  
23 graduate in 2005 or 2006.

24 DR. ZELAC: This is why I mentioned  
25 earlier that what we were discussing here in terms of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 what our available options for providing relief to  
2 medical physicists could well apply to other  
3 professionals seeking authorized status.

4 MEMBER NAG: I would very highly push for  
5 NRC to provide a solution like this rather than asking  
6 either the board or ask the applicant to show the  
7 burden of proof.

8 CHAIR MALMUD: Dr. Williamson.

9 MEMBER WILLIAMSON: Ron, could you remind  
10 me what is the cause of condemning all the prior  
11 medical physic certifications to this eternal  
12 purgatory?

13 DR. ZELAC: The 2005 rule using guidance  
14 from this Committee, and specifically the Subcommittee  
15 on training and experience -- I'm not pointing  
16 fingers, just stating facts -- did come up with  
17 recommendations for requirements that did differ from  
18 those in the previous rule, the 2002 rule  
19 significantly enough that the Commission wanted to be  
20 sure that all boards who had interest in being  
21 recognized did, indeed, have certification processes  
22 that matched the new requirements that were placed  
23 upon boards in order to be recognized.

24 It was on that basis that the direction  
25 that we got from the Commission was to ask each and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 every board including those who were named in Subpart  
2 J to seek re-recognition or initial recognition if  
3 they didn't appear there previously.

4 MEMBER WILLIAMSON: I think you  
5 misunderstood my question. I'm asking specifically  
6 what aspect of the American Board of Radiology for  
7 certification and therapeutic radiological physics  
8 failed your criteria.

9 DR. ZELAC: Oh, I'm sorry. That  
10 particular board, that particular path involved where  
11 the training and experience was acquired and under  
12 whose supervision, as well as, I believe, where the  
13 degree was obtained.

14 Cindy, did you -- can you comment further  
15 on that?

16 Those of us on the medical team had  
17 responsibility for reviewing applications from boards.  
18 This particular application was reviewed by Cindy  
19 Flannery.

20 MS. FLANNERY: Cindy Flannery. The change  
21 that ABR, the radiologic physics specialty, had to  
22 make in order to meet NRC's current training and  
23 experience requirements was the medical physicist  
24 getting the work experience under an authorized  
25 medical physicist. That was the change that they had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to make in order to meet our criteria.

2 DR. ZELAC: That doesn't quite sound right  
3 because the rule requires it to be under a certified-

4 MS. FLANNERY: I'm sorry. Thank you.  
5 Certified medical physicist. In the past they had not  
6 required that work experience to be obtained under a  
7 CMP.

8 CHAIR MALMUD: Dr. Eggli.

9 MEMBER EGGLI: I think what Cindy's  
10 comment points out is that the boards probably have  
11 gone as far backwards as they can. I don't see how  
12 any board can go backwards and guarantee that an  
13 individual training program, in fact, met requirements  
14 that weren't requirements at that time. I don't think  
15 you are going to see boards lining up to do this. I  
16 think you are going to see boards running like crazy  
17 away from that option. I don't see that as a viable  
18 option.

19 I think in all good faith the boards have  
20 tried to go backwards as far as they can and where  
21 they didn't meet requirements, they don't have a  
22 mechanism for documenting that any individual program  
23 may have met those requirements independent of what  
24 the board actually required at the time. I don't see  
25 that as a viable effective pathway because the boards

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 would have done it if it's viable. They understand  
2 the pressures.

3 DR. ZELAC: The only option available to  
4 a board at this point in time when they sought their  
5 recognitions was that each and every diplomate that  
6 was granted recognition during a particular year  
7 either followed a program that met the current  
8 requirements or did not.

9 In some cases it was a situation that a  
10 board's process wasn't recognized only because one or  
11 two or a very small number of the diplomates from that  
12 year didn't meet the requirements, not that all of  
13 them didn't. They had ways of understanding and  
14 recognizing that there were such individuals whose  
15 training and experience did not meet the requirements  
16 from the vast majority of those who did.

17 It was because of those few that they  
18 couldn't be recognized for a particular year. Again,  
19 should that -- I hate to use the word disenfranchise  
20 because it doesn't totally apply but should that  
21 prevent individuals who did meet the qualification for  
22 whom there may be easy recognition of that fact from  
23 following the certification pathway.

24 We think not and we expect that the boards  
25 will think not, too, and will be willing to do a

1 service to those diplomates. Again, perhaps those few  
2 diplomates who will be in the situation of first  
3 seeking to be authorized medical physicists where a  
4 medical physicist is required who are not  
5 grandfathered, who are not currently named on licenses  
6 for notification. The feeling is that there are not  
7 a whole lot of people that are in that situation. But  
8 for those that are, the boards ought to be willing to  
9 do a little bit for their diplomates.

10 CHAIR MALMUD: May I suggest that we allow  
11 Dr. Zelac to complete his presentation and move ahead  
12 and if we need to, we can have a telephone conference  
13 call adequately notified to clean up some of these  
14 issues if there are still issues for you. Otherwise,  
15 we will not get through our agenda.

16 DR. ZELAC: Thank you. I'll be more than  
17 happy to move ahead judiciously.

18 The next slide indicates -- this slide was  
19 talking about what we are doing in terms of  
20 encouraging medical physicists, encouraging agreement  
21 states, encouraging boards to do things. The next  
22 slide talks about how we are accomplishing this, what  
23 kind of contact with these individuals and  
24 organizations are we using to achieve these  
25 objectives.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           What is NRC doing to encourage these  
2           actions by individuals, agencies, and organizations?  
3           First, we did issue an all agreement states letter  
4           this summer encouraging the listing of medical  
5           physicists on licenses. Secondly, we will soon be  
6           issuing a regulatory issue summary, RIS, encouraging  
7           medical physicists to request being listed.

8           Third, we are providing copies of this RIS  
9           to medical physics professional organizations and  
10          boards suggesting that their members and diplomates be  
11          notified of this document so that they can examine it  
12          and decide if it's in their best interest to do  
13          something with it.

14          For these second and third bullets, again,  
15          I will note that these are actions in progress, that  
16          the RIS will be published and distributed when  
17          complete and will then be provided to these medical  
18          physics professional organizations and boards.

19                 Was there a question?

20                 MEMBER LIETO: Just real quickly. That is  
21          all fine about the RIS notifications and summaries but  
22          what's missing is that the agreement states MPs are  
23          not going to know about this. They are the ones that  
24          need to be notified so what really needs to happen is  
25          that the agreement states need to notify the medical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 physicist to request this.

2 DR. ZELAC: The RIS will be going out to  
3 the agreement states as well. This is general  
4 practice. Certainly any covering letter that would go  
5 with such a distribution could encourage their actions  
6 as well as the actions that we are hoping the boards  
7 and professional organizations will take with respect  
8 to their members.

9 CHAIR MALMUD: Thank you. And you have  
10 one more slide, Dr. Zelac?

11 DR. ZELAC: I have one more slide. We are  
12 doing additional things including developing revised  
13 and considerably simplified NRC forms 313A. Again,  
14 not required for use but available for possible use by  
15 individuals that will be applying for AMP or RSO  
16 status via either the certification or the alternate  
17 pathways.

18 As those of you who have had anything at  
19 all to do with this process recognize, the current  
20 313A tried to gather information for all pathways, for  
21 all individuals, and it is difficult to say the least  
22 to follow. The new 313As are broken down so there  
23 will be an individual form for those seeking RSO  
24 status, AMP status, AU status, etc. That should help  
25 considerably in making that pathway more viable.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1                   Finally, NRC is continuing its discussions  
2                   with the boards about either broadening their  
3                   recognition times, as well, as we discussed,  
4                   identifying earlier diplomates whose documented  
5                   training and experience at the time they made  
6                   application for certification satisfy the current  
7                   requirements.

8                   In fact, there was one additional thing,  
9                   what's coming up. There are two things and that's why  
10                  I called this a status report when I first started.  
11                  The Commission will be receiving a paper soon  
12                  providing a staff summary on the results of our  
13                  actions, staff actions, to identify these problems  
14                  relating to medical physicists and recognition under  
15                  the new current 10 CFR Part 35.

16                  The Commission will receive the paper and  
17                  when they do there may well be something other than,  
18                  "Thank you very much," that we get back from the  
19                  Commission in terms of what we are doing, what they  
20                  think we should be doing.

21                  MEMBER WILLIAMSON: Are we going to see  
22                  this paper?

23                  CHAIR MALMUD: Dr. Williamson asked if  
24                  he'll get to see the paper.

25                  MS. WASTLER: At this point in time I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 believe it's still with the EDO.

2 DR. ZELAC: That's correct. I

3 suspect --

4 MS. WASTLER: It has not gone to the  
5 Commission to date.

6 DR. ZELAC: The Commission may choose to  
7 once it receives the paper permit publication or  
8 availability of it prior to any decision that it might  
9 make with respect to the paper as it did for the NARM  
10 rule.

11 MS. WASTLER: As we have with other  
12 documents, since it is a predecisional document, we  
13 can send you a copy for your information but you just  
14 have to remember that it currently is predecisional.

15 MEMBER WILLIAMSON: I think that's what I  
16 was asking.

17 MS. WASTLER: Oh, I'm sorry.

18 MEMBER WILLIAMSON: The ACMUI first could  
19 see a copy of the document.

20 MS. WASTLER: Yes, you may. Yes, you may.  
21 We will get you a copy of that. It's just fair to say  
22 that Ron's presentation today was basically a summary  
23 of that particular paper actually but we will get you  
24 a copy.

25 CHAIR MALMUD: Thank you. Does that

1 complete --

2 DR. ZELAC: And the last thing, of course,  
3 where we started, there will be action on the petition  
4 for rulemaking PRM 35-20 that was submitted by the  
5 AAPM with respect to revising 35-57, the grandfather  
6 provisions pathway. That will follow the process that  
7 I mentioned earlier. There will be due consideration  
8 including consideration of all comments that may be  
9 received once it is published in the Federal Register.

10 CHAIR MALMUD: Thank you, Dr. Zelac.

11 We actually have a representative here  
12 from the AAPM, Gerald White, who has asked for a few  
13 minutes to make a statement.

14 MR. WHITE: Thank you, Dr. Malmud. I'll  
15 try to make my statement brief. I apologize in  
16 advance to Dr. Zelac for a lack of diplomacy that is  
17 necessitated by the brevity. I have great respect for  
18 Dr. Zelac's work.

19 Grateful, actually, for his providing an  
20 extensive list of possible work-arounds for the  
21 problem, but I call to your attention that it's a  
22 mixture of hopeful speculation and staggering  
23 complexity and I ask everyone on the Committee to  
24 contrast that with the simplicity of the solution  
25 proposed in the petition for rulemaking.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           In his first slide he talks -- in the  
2           second slide talks about establishing the 2005 date  
3           initially intended to allow practicing physicists to  
4           become licensed prior to the expiration of this old  
5           Subpart J. In fact, the duration of the process, the  
6           negotiating with the boards and such, took much longer  
7           than that.

8           If the process was important before 2005  
9           to allow these physicists to be licensed, it is  
10          certainly important after 2005. I think that we  
11          should honor that. The agreement state issues were  
12          also not solved before 2005 and we did not anticipate  
13          the issue of the effective date problem.

14          I had been an attendee at a great many  
15          ACMUI meetings and I don't believe that was ever aired  
16          at a ACMUI meeting, this effective date issue. I  
17          don't think it was the intent of the ACMUI to have  
18          that problem arise, although I don't certainly want to  
19          put words in your mouth. I've heard other people  
20          mention that at this meeting.

21                 MEMBER WILLIAMSON: Clarify what you mean  
22                 by the effective date problem.

23                 MR. WHITE: The effective date problem is  
24                 diplomates of the boards were approved on a particular  
25                 date and diplomates of the board prior to that were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 not deemed to have the board's status.

2 I'll note that ACMUI meetings there were  
3 numerous discussions of how archaic certificates would  
4 be handled, certificates that were no longer offered  
5 by boards. It seemed to be the assumption that this  
6 was not going to be an issue, although it certainly  
7 has become an issue and I don't think it's likely to  
8 go away.

9 Dr. Zelac talks about the agreement states  
10 and a large number of the agreement states have begun  
11 to deal with this problem which is true and helpful.  
12 The mechanisms, there are 38 states. We have to  
13 follow these regulations in 38 different states.

14 How many of them are going to do it right?  
15 How many of them are going to be consistent with NRC  
16 regulations? What happens to physicists who move from  
17 jurisdiction to jurisdiction as these regulations are  
18 changing shape in different states? You might find  
19 yourself licensed in one state, move to another where  
20 you're not or vice versa.

21 What we need, and I think what this Part  
22 35 was intended to do, was a uniform set of national  
23 criteria, high compatibility criteria for authorized  
24 medical physicists and RSOs. I also note that the NRC  
25 seems willing to accept AMPs and RSOs who are on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 agreement statement licenses who don't meet the  
2 current NRC requirements, that an agreement state  
3 could accept board certifications going back to the  
4 beginning of the board.

5 That person could be licensed in an  
6 agreement state on that license and then get licensed  
7 in an NRC state but could not become licensed de novo  
8 in the NRC state. That just doesn't make any sense  
9 from a regulatory point of view and I think it's  
10 indefensible from a public safety point of view.

11 Dr. Zelac talked a little bit about the  
12 boards expanding their date range. Certainly for the  
13 American College of Radiology, as he acknowledged,  
14 that is highly unlikely. I'll mention a slide that  
15 the NRC is not aware of significant number of  
16 problems. I'll say to the credit of RSOs and medical  
17 physicists, one of the reasons that occurs is because  
18 we don't submit the license applications if we are not  
19 going to meet the criteria.

20 There are a great number of people who  
21 would like to be licensed and have not submitted  
22 applications because they don't feel they are going to  
23 meet the criteria. He notes that 5,000 of the 6,000  
24 medical use licenses don't require the use of an AMP.

25 That leaves a 1,000 licensees who do. At

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 our AMP licensed institution we have four AMPs who  
2 practice actively and another three who are associated  
3 with other institutions. There are thousands of  
4 physicists who fall into this category.

5 The board certification serves as a  
6 surrogate for basic training. There is no doubt that  
7 the alternate pathway is available but it doesn't make  
8 any sense to go through that process. It's far more  
9 cumbersome than we might assume from the slides.

10 I have recently licensed several  
11 physicians under the alternate pathway because they  
12 fell into the notch and a 15-minute process has turned  
13 into, in one case for a board certified radiation  
14 oncologist who is faculty member at an academic  
15 institution, four months it took me and at least two  
16 days of full-time work equivalent.

17 Another physician, nuclear medicine  
18 fellowship trained, took three months to get all the  
19 documentation. It's a 15-minute procedure. It can be  
20 done. One can travel from Washington to New York by  
21 way of San Francisco and Seattle but it's not  
22 necessarily the best path.

23 I would also like to say that the burden  
24 should not fall on the boards to evaluate individual  
25 applicants to years past. There are thousands of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 these people and the boards simply do not have the  
2 resources. We have discussed that with the boards and  
3 talked specifically to the ABR about the 2006 notch  
4 baby physicists and they are willing to look at those  
5 120 physicists individually potentially but even that  
6 took great negotiation.

7 Let me also say that the RSO issue if it's  
8 to be solved by the pathway of getting on agreement  
9 state licenses will require the creation of the entity  
10 of alternate RSO so you can have more than one  
11 individual on the license.

12 This is a problem that is going to follow  
13 our people, not just for now, not just until you get  
14 on your first license, but for the rest of your career  
15 it's going to occur over and over and over again.  
16 What we need is to honor the board certification  
17 process of people who fell prior to the effective date  
18 and we don't see implications in public safety or  
19 radiation protection. We see it as an incredibly  
20 simply solution and we hope that the Committee will  
21 agree.

22 CHAIR MALMUD: Thank you. Any comments?  
23 Your comments have been heard, Mr. White. If we may,  
24 we'll move on to the next item on the agenda. Dr.  
25 Zelac.



1 DR. ZELAC: The only comment that I would  
2 make is with respect to the numbers. When I spoke  
3 earlier in the talk about perhaps a 1,000 licensees  
4 that fall in the category of needing AMP services, in  
5 the entire country including the 44 of the 50 states  
6 where medical physicists are recognized and are listed  
7 on licenses there are only about 1,000 units about 700  
8 of them being remote after-loaders.

9 That is the current situation. It doesn't  
10 mean the things like gamma knife aren't going to  
11 increase but that is the situation we're in now. Most  
12 of the current users in terms of the medical  
13 physicists' names do appear on licenses.

14 Activities are not being affected  
15 significantly to our knowledge in terms of provision  
16 of medical services by the current rule, although,  
17 again, there is an issue and we are trying to deal  
18 with it.

19 MEMBER WILLIAMSON: I'm sorry for not  
20 waiting to be recognized but I would wager those 700  
21 or 1,000 licensees probably represent the vast bulk of  
22 radiation therapy services which, I believe, according  
23 to current statistics is used in at least 60 percent  
24 of cancer patients who receive some form of radio  
25 therapy during their treatment course or history of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 their disease so I would not minimize it. I think  
2 it's a substantial fraction of the market.

3 DR. ZELAC: I agree. The only distinction  
4 I was trying to make is between the numbers of  
5 licensees and the numbers of devices. There are only  
6 about 1,000 devices totally in the entire United  
7 States.

8 MEMBER WILLIAMSON: There are only about,  
9 I believe, 2,500 megavoltage beam devices in the  
10 country. This is, I think, still a substantial  
11 fraction if not a majority of all the radiation  
12 therapy facilities in the country.

13 CHAIR MALMUD: Thank you.

14 MR. ESIG: Mr. Chairman.

15 CHAIR MALMUD: Yes.

16 MR. ESIG: Just back to the agenda. Since  
17 we have Dr. Brown from the outside, I don't know if  
18 he's facing a time constraint. Is he or not? We can  
19 reverse the order of the presentations if you are  
20 facing a constraint. If not, I was going to suggest  
21 we go ahead with Cindy Flannery's presentation, take  
22 a break, and then, if it's okay with Dr. Brown, we  
23 would have him right after the break.

24 CHAIR MALMUD: We'll go ahead with Cindy  
25 Flannery's presentation followed by a break.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MR. ESIG: Did I hear an objection? Okay.

2 MS. FLANNERY: Okay. This presentation  
3 here is just an informational presentation to provide  
4 the status of the recognition of the certification  
5 boards, as well as to provide follow-on actions taken  
6 by the NRC staff to recommendations made by the ACMUI  
7 at the April meeting.

8 This is a list of the boards that are  
9 recognized thus far. I have mentioned previously  
10 there are nine specialty boards that have applied for  
11 recognition. Currently eight of those nine are  
12 recognized. The American Board of Medical Physics is  
13 still outstanding and NRC has requested some  
14 additional information from the ABMP and we are  
15 awaiting input from them. That status has remained  
16 unchanged for a little over a year.

17 This slide here is giving the exact same  
18 information but just in a different format. This was  
19 taken directly from our website. I realize it's  
20 difficult to visualize this on the slide so I have  
21 printed a full-page version that was handed out this  
22 morning as well as there is a copy in the back.

23 As I said, this is taken directly from the  
24 website so you can also get the information there.  
25 The only difference with the format here is that it is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 listing the different sections of the regulations and  
2 the boards that are recognized for each of those  
3 sections.

4 The last two slides that I have here are  
5 related to the discussion and recommendations made by  
6 the ACMUI about contacting the boards. This  
7 recommendation was made at the April meeting. The  
8 recommendation was made back in April to send letters  
9 to the American Board of Radiology for two different  
10 specialties; that is, the radiation oncology as well  
11 as diagnostic radiology.

12 NRC staff has sent letters to those two  
13 specialties and we have taken a step further and also  
14 have sent a letter to the radiologic physics specialty  
15 of the American Board of Radiology. Prior to those  
16 letters being sent out, contact was made with the  
17 American Board of Radiology to also discuss the  
18 options.

19 I will talk about the radiation oncology  
20 specialty first. The reason why the radiation  
21 oncology specialty has a future effective date of June  
22 2007 is because they had to make changes to the  
23 certification process under 390 to meet NRC's current  
24 T&E requirements under the board certification  
25 pathway.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           The question is since they didn't have to  
2           make changes to the certification process for the 490  
3           and 690 could they be listed with an earlier effective  
4           date. That question has been posed to the ABR.

5           They have indicated to me that, yes, they  
6           can give an earlier effective date but they are still  
7           determining what that date can be so we are waiting to  
8           hear back from them. But for the 390 the effective  
9           date will have to remain as of June 2007 because of  
10          the changes that they had to make to the certification  
11          process.

12          Now, for the other specialties, namely the  
13          diagnostic radiology, radiologic physics, and then the  
14          390 of radiation oncology, these specialties had to  
15          make changes to their certification process.

16          The question has been posed to them what  
17          can you do for your diplomates to recognize those who  
18          got certified prior to the effective date. What we  
19          have discussed is having the ABR do a review of the  
20          qualifications of these diplomates on a case-by-case  
21          basis at the request of the individual.

22          If the board determines that this  
23          individual meets NRC's current criteria under the  
24          board certification pathway, then they would either  
25          issue an addendum to their certificate or issue a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 letter of some sort that would serve the same purposes  
2 of the certificate which is to let the NRC know that  
3 they meet NRC's current T&E requirements.

4 The American Board of Radiology actually  
5 has a board of trustees meeting being held this month  
6 so they were not able to give an answer to provide any  
7 response until after that meeting but the feedback  
8 that we have received from them is that this is going  
9 to be proposed to the board of trustees meeting this  
10 month so all I can say right now is this is under  
11 consideration by the ABR.

12 That is all I have for the status of these  
13 specialty boards.

14 CHAIR MALMUD: Thank you, Ms. Flannery.  
15 Questions? Hearing no question, it's coffee break  
16 time.

17 MS. FLANNERY: Thank you.

18 CHAIR MALMUD: Let's please be back in  
19 approximately 12 minutes so 3:20.

20 (Whereupon, at 3:10 p.m. off the record  
21 until 3:22 p.m.)

22 CHAIRMAN MALMUD: Ladies and gentlemen, it  
23 is now 3:20, and we must get back on track again if we  
24 are to get out of here at the appointed hour; because  
25 if we stay too late, they will lock us in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 building, and we will be stuck here overnight.

2 The next item on the agenda as we move  
3 forward is the presentation by Chris Ghallager, the  
4 American Society for Nuclear Cardiology. I'm sorry,  
5 we have Ken Brown next. We moved the agenda a bit.  
6 Sorry. And, Ken, you are representing the American  
7 Society for Nuclear Cardiology?

8 DR. BROWN: Yes, I am. Thank you. Thanks  
9 for allowing me to be here. I promise my statement  
10 will not be more than really a few minutes. I'm  
11 hoping I can get some discussion.

12 The American Society of Nuclear  
13 Cardiology appreciates the opportunity to comment  
14 before the Advisory Committee regarding necessary  
15 training requirements for authorized medical users to  
16 serve as radiation safety officers.

17 As you may know, the American Society of  
18 Nuclear Cardiology is a greater than 5,000 member  
19 professional medical society which provides a variety  
20 of continuing medical education programs related to  
21 nuclear cardiology, develops standards and guidelines  
22 for training, promotes accreditation and certification  
23 within the nuclear cardiology field, and is a major  
24 advocate for furthering research and excellence in  
25 nuclear cardiology.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           My name is Ken Brown. I am a past  
2 President of ASNC as well as a professor of medicine  
3 and Director of Nuclear Cardiology and Cardiac Stress  
4 Laboratories at the University of Vermont. I am also  
5 an authorized user.

6           The American Society of Nuclear Cardiology  
7 is here today, because we have heard from a number of  
8 our constituent nuclear cardiologists across the  
9 country who are extremely concerned over the new  
10 requirement that an authorized medical user must  
11 obtain written attestation signed by a preceptor  
12 radiation safety officer stating that he or she has  
13 the necessary radiation safety experience should that  
14 authorized user wish to serve as a radiation safety  
15 officer on their laboratory's license.

16           As you know, a critical mandate of the NRC  
17 is to regulate the medical use of radioactive  
18 byproduct materials in the field of nuclear medicine,  
19 radiation therapy and research, to ensure that both  
20 patients and health care workers' health and safety  
21 and protected.

22           While no one can argue against being too  
23 cautious when it comes to radiation safety, the  
24 American Society of Nuclear Cardiology is concerned  
25 that the NRC is interpreting and implementing this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 specific radiation safety officer requirement in a  
2 manner that is in direct contrast to previous long  
3 standing Commission policy on this issue.

4 For years, authorized medical users  
5 involved with diagnostic procedures, those that  
6 involve the relatively small amounts of radioactive  
7 materials to facilitate imaging of bone, heart, and  
8 other organs, have allowed to function as both an  
9 authorized user and a radiation safety capacity.

10 Nuclear cardiologists seeking authorized  
11 user status under Section 35.290 for diagnostic  
12 purposes must complete 700 hours of training,  
13 including radiation physics and instrumentation,  
14 radiation protection, mathematics pertaining to the  
15 use and measurement of radioactivity, chemistry of  
16 byproduct material for medical use in radiation  
17 biology.

18 In addition, nuclear cardiologists are  
19 required to know about ordering, receiving, unpacking  
20 radioactive materials safely, and performing the  
21 related radiation surveys, performing quality control  
22 procedures on instruments used to determine the  
23 activity of doses, and performing checks for proper  
24 operation of survey meters, calculating, measuring and  
25 safely preparing patient or human research subject

1 dosages, using administrative controls to prevent a  
2 medical event involving the use of unsealed byproduct  
3 material, and using procedures to safely contain  
4 spilled radioactive material, and using proper  
5 decontamination procedures, administering doses of  
6 radioactive drugs to patients or human research  
7 subjects.

8 Nuclear cardiologists that choose the  
9 certification pathway must complete a minimum of four  
10 months of specialized training in this specialty and  
11 pass a rigorous examination administered by the  
12 Certification Board of Nuclear Cardiology, CBNC, which  
13 devotes roughly a third of its exam questions to  
14 radiochemistry, instrumentation, and radiation safety  
15 issues.

16 The American Society of Nuclear  
17 Cardiology, which is a co-sponsor, is proud and  
18 pleased that the Certification Board of Nuclear  
19 Cardiology is one of the first boards to be recognized  
20 by the NRC under the revised Part 35 regulations for  
21 satisfying the Commission's 35.290 authorized user  
22 requirements.

23 The second concern of ASNC revolves around  
24 the practicality of having authorized medical users  
25 obtain a preceptor statement from a radiation safety

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 officer. The preceptor statement required for Board  
2 eligibility or the statement required for those  
3 individuals applying on the basis of training and  
4 experience -- the criteria is adequate documentation  
5 for this purpose.

6 We believe that the end result of this  
7 additional preceptorship requirement would be limited  
8 patient access to nuclear diagnostic imaging,  
9 particularly in small facilities in suburban or rural  
10 areas where it is just not feasible or financially  
11 possible to employ a full time radiation safety  
12 officer, as is the case a large urban or university  
13 multi-modality facility. Should this requirement  
14 continue, it is likely that patients will have to wait  
15 longer, travel further to receive these critical  
16 diagnostic services.

17 Finally, ASNC believes that this  
18 additional mandate possibly resulted from a clerical  
19 error between the December 9, 2003 proposed rule and  
20 the drafting of the March 30, 2005 final rule. In  
21 reviewing transcript of past ACMUI meetings as well as  
22 the language of the 2003 proposed rule, ASNC is fairly  
23 confident that members of the ACMUI did not intend for  
24 authorized medical users in the 35.290 category to  
25 secure an additional preceptor statement from a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 radiation safety officer to serve in this capacity.

2 Thank you for allowing me the opportunity  
3 to present this important issue before the Committee,  
4 and I would be happy to answer further questions.

5 CHAIRMAN MALMUD: Any questions for Dr.  
6 Brown? Dr. Van Decker?

7 MEMBER VAN DECKER: Maybe I can just start  
8 out with a clarification for the Committee practicing  
9 in this realm.

10 I think, if everyone harks back to Subpart  
11 J, which was the rule of the land for decades, one of  
12 the sub-clauses for becoming a radiation safety  
13 officer was being an authorized user on the license in  
14 the modality with which you had appropriate training  
15 and experience, and somehow that "or" clause has been  
16 lost in the current revised 35, such that somebody in  
17 a very small outpatient operation with limited  
18 employees dealing with very low level materials  
19 essentially would need to have a preceptor statement  
20 from both an authorized user training them and from a  
21 radiation safety officer in addition, to be able to  
22 serve both functions.

23 Obviously, that becomes a lot more  
24 <sup>e</sup>prescriptive in what we are trying to accomplish. I  
25 think, if I remember back to when all this started in

1 1996, the goal was really to be less ~~proscriptive~~ and  
2 more risk based. The current requirements certainly  
3 make it more difficult for diagnostic people to be  
4 involved in this type of setting in the smaller areas.

5 Obviously, not every authorized user wants  
6 to be a radiation safety officer. I could tell you,  
7 at Temple I am glad that Lily is around and it is a  
8 large modality set-up, but I think on an access to  
9 patient care, where we are trying to create a wide  
10 variety of venues in the United States, that there has  
11 to be some flexibility in how we allow this to occur.

12 You know, I think that Ken's appearance  
13 here has been generated by some phone calls. We are  
14 talking about who are people who have been denied.  
15 There actually have been instances of authorized users  
16 denied the ability to be RSOs at very small facilities  
17 because of the current wording of the rule.

18 I guess the question being brought up here  
19 is was that indeed the intent. You know, what was the  
20 transformation between the proposed rule and the final  
21 rule where that clause got lost, and what are possible  
22 ways to be dealing with that, if indeed we see that as  
23 a national access issue to some of the diagnostic  
24 studies?

25 You know, I don't see this as just a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 nuclear cardiology perspective issue. I see this as  
2 a general radiology issue and a general nuclear  
3 medicine issue out in the community as well. I think  
4 it goes across all the authorized user providers in  
5 the 100 and 200 classes.

6 You know, certainly, I would be the first  
7 one to tell you that I look at therapeutics a lot  
8 differently than I look at the diagnostic realm, but  
9 I think that this discussion was just meant to  
10 generate people's thoughts in how the rulemaking pizza  
11 got made and how we are where we are now, and is this  
12 an issue for the future.

13 Obviously, if you look at the training  
14 programs right now, if you look at me as an authorized  
15 user being involved in people's education, you know,  
16 I am certainly not the institutional radiation safety  
17 officer. Dr. Vetter is a radiation safety officer who  
18 may be seeing a large number of people involved in  
19 training as authorized users, and how we put all of  
20 that together so that the right thing gets done, I  
21 guess, is a part of the question.

22 CHAIRMAN MALMUD: Dr. Eggli?

23 MEMBER EGGLI: I think the issue of the  
24 attestation has become a very personal one for the  
25 attestor. The radiation safety officers authorized

1 users are reluctant to do this on behalf of an  
2 institution for someone that they do not have detailed  
3 personal knowledge of the competence of the  
4 individual, I think, which is where the authorized  
5 users who want to perform radiation safety functions  
6 in small practices are running into a problem, is  
7 finding an authorized RSO who knows them and is aware  
8 of their level of competence well enough to be willing  
9 to assign an attestation.

10 I think, since the new rule went into  
11 effect in October of 2005 in the final version,  
12 individual preceptors feel that there is an increased  
13 legal burden to that attestation than there previously  
14 was in a preceptor statement which sort of detailed  
15 the previous experience.

16 We know say -- Effectively, we say I  
17 personally know this person; I personally know what  
18 they can do, and essentially I accept responsibility  
19 for them. You are going to find that there are a  
20 whole lot of authorized individuals out there, whether  
21 they be authorized medical physicists, authorized  
22 radiation safety officers, authorized users physician-  
23 wise, who are just not willing to put their signature  
24 on that piece of paper.

25 I agree with the comments made, that in

1 small freestanding practices there is going to be a  
2 problem.

3 CHAIRMAN MALMUD: You are agreeing with  
4 Dr. Brown and with Dr. Van Decker?

5 MEMBER EGGLI: Yes, indeed.

6 CHAIRMAN MALMUD: Thank you. Dr. Vetter.

7 VICE CHAIRMAN VETTER: Well, I would also  
8 agree with him. Coming from a training program where  
9 residents go through four years of training, they are  
10 around a long time, but they spend very, very little  
11 time with me. I mean, I interact with them a matter  
12 of hours. They go through some formal training which  
13 my staff interacts with them as well, but again it is  
14 only a matter of hours for each of them to interact  
15 with these residents. At the end of four years, I  
16 don't know them.

17 If I were to sign an attestation  
18 statement, almost certainly it would have to have been  
19 pre-signed by the preceptor, who can guaranty to me  
20 that, in fact, they would be a good RSO. So the whole  
21 thing is really rather problematic.

22 I'm sorry. Then just to tag on there, for  
23 most residents they are not interested in becoming a  
24 radiation safety officer when they leave. They don't  
25 care, but every now and then one will call back and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 say I just joined a practice, we need an RSO. In the  
2 past that's not been a problem. We go rescue their  
3 preceptor statement, which they had left a copy in the  
4 graduate school. We make sure it is okay, and we can  
5 help them out. But today, that is a little bit  
6 different.

7 CHAIRMAN MALMUD: Thank you. Mr. Lieto.

8 MEMBER LIETO: We don't have a training  
9 program at the institution I'm at right now, but I  
10 would like to kind of, first of all, agree with my  
11 colleagues and Dr. Brown in that this is an issue.

12 I think, in some of the previous  
13 submissions it has been indicated that these are not  
14 problems that occur. What happens is that these  
15 fellows do not submit applications. They find work-  
16 arounds.

17 One of the work-arounds is that they will  
18 hire consultants to be named on the license. Now I  
19 guess I would have to ask the NRC, is it better to  
20 have someone who is on site who is using the materials  
21 as the RSO or somebody who is geographically removed  
22 and not on site to be the RSO?

23 I would also ask the question, in that if  
24 you are willing to approve them as an authorized user  
25 for those uses, why wouldn't you consider them to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 competent enough to be the RSO for those same types of  
2 uses?

3 I think this process of approving them as  
4 a user and then they have to come back and then  
5 resubmit as an RSO is really a bureaucratic waste of  
6 everybody's time, the regulatory staff in having to do  
7 amendment changes, as well as the people making the  
8 application.

9 CHAIRMAN MALMUD: Thank you, Mr. Lieto.  
10 Other comments? Once again, Dr. Brown, thank you.  
11 Oh, I'm sorry, I didn't see your hand up. Dr. Nag?

12 MEMBER NAG: Can I make a motion -- Well,  
13 maybe I will make another motion.

14 CHAIRMAN MALMUD: Thank you.

15 MEMBER NAG: I would like to make a motion  
16 that the officials in the NRC consider that, if  
17 someone is an authorized user, that person can  
18 automatically serve as an RSO -- authorized user or  
19 AMP.

20 CHAIRMAN MALMUD: There is a motion by Dr.  
21 Nag that an individual who is already an authorized  
22 user or AMP be automatically qualified to be an RSO.

23 MEMBER EGGLI: I would like to offer a  
24 modification to Dr. Nag's amendment, and add in there  
25 "for Part 190 or Part 290 uses." I would second his

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 motion.

2 CHAIRMAN MALMUD: Is that agreeable to  
3 you, Dr. Nag? For Part 190 --

4 MEMBER EGGLI: And 290 uses, which are the  
5 very low risk uses.

6 MEMBER NAG: Okay. No, but what would  
7 happen then for Part 390 and 490? There would have to  
8 be a separate RSO? Can you clarify what you meant?

9 MEMBER EGGLI: From my point of view, I  
10 guess I deal with the work I do. For at least Part  
11 190 and Part 290 users, those are very low risk, and  
12 any authorized user should be able -- again, when you  
13 do risk informed evaluation, should be able to be an  
14 RSO for those uses.

15 I guess I might go as far as to do  
16 something similar for 390, although I worry a little  
17 bit more about that. For 490, I would ask Dr. Vetter  
18 to address the question of whether any AU should be an  
19 RSO for 490 or 690 uses, and he is shaking his head,  
20 no.

21 DR. VETTER: Just briefly, my personal  
22 recommendation would be to support the motion as  
23 amended, because 190 and 290 are what we have  
24 considered to be low risk uses, but not support it for  
25 390 or 490. These are therapeutic uses. I wouldn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 support that.

2 MEMBER NAG: I agree with the amendment.

3 MEMBER EGGLI: And then I will second Dr.

4 Nag's motion as amended.

5 CHAIRMAN MALMUD: So there is a motion  
6 that any authorized user or AMP is qualified to be an  
7 RSO for 190 and 290 uses.

8 MEMBER EGGLI: If they are an authorized  
9 user.

10 CHAIRMAN MALMUD: If they are an  
11 authorized user.

12 DR. HOWE: Dr. Malmud.

13 CHAIRMAN MALMUD: I'm sorry.

14 DR. HOWE: This is Dr. Howe. Once you  
15 pass on that, I'd like to ask if you would consider  
16 the ANPs to be in that category also, the Authorized  
17 Nuclear Pharmacists.

18 CHAIRMAN MALMUD: All right, we will  
19 consider that next. Dr. Vetter?

20 DR. VETTER: This wouldn't exempt them  
21 from being -- from having to meet other parts of 3550,  
22 such as, in addition, they have to have appropriate  
23 radiation safety training to do that material. It's  
24 just basically eliminating the preceptor statement for  
25 190 and 290 for RSO.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 CHAIRMAN MALMUD: There is a motion which  
2 has been seconded. Is there discussion? Dr.  
3 Williamson.

4 MEMBER WILLIAMSON: Well, I am wondering  
5 about eliminating the preceptor for the ANP as well to  
6 be an RSO. I think the same sorts of arguments can be  
7 made, although our community is somewhat smaller, but  
8 finding an RSO is not always straightforward, even for  
9 a physicist.

10 MEMBER SCHWARZ: And as Donna-Beth  
11 suggested, for the Authorized Nuclear Pharmacists as  
12 well, they are essentially are completely trained to  
13 be able to handle these situations.

14 MEMBER EGGLI: I would certainly accept  
15 that as a modification, as the second.

16 MEMBER WILLIAMSON: So perhaps we should  
17 just generalize it and say drop the preceptor  
18 statement entirely from 3550.

19 MEMBER EGGLI: For 190 and 290 uses.

20 MEMBER WILLIAMSON: For everything.

21 MEMBER NAG: I think the problem was, if  
22 someone is an authorized user for 290 and --

23 MEMBER WILLIAMSON: The preceptor  
24 statement doesn't solve that. There are separate  
25 requirements, you know, already that I think basically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would limit the authorized person. It is to practice  
2 only in certain areas. So I think that that is the --  
3 The preceptor statement is not what is preventing a  
4 190 or 290 authorized user from being a Radiation  
5 Safety Officer for radiation therapy.

6 CHAIRMAN MALMUD: It's the attestation?

7 MEMBER WILLIAMSON: The attestation. Yes,  
8 it's solely the attestation that is preventing it and  
9 not the requirement that the authorized personage can  
10 be an RSO only in the areas in which they have  
11 experience?

12 MEMBER VAN DECKER: Only in the area in  
13 which they have experience.

14 CHAIRMAN MALMUD: I'm sorry, Dr. Van  
15 Decker. I didn't hear you.

16 MEMBER VAN DECKER: I was agreeing with  
17 Dr. Williamson's clarification, that it is in the  
18 modality in which you have training and experience to  
19 be an authorized user.

20 MEMBER WILLIAMSON: That was a question,  
21 actually, on my part. Doesn't the regulation as  
22 written require that as a condition as well for all  
23 the different pathways.

24 CHAIRMAN MALMUD: The answer to Dr.  
25 Williamson's question was yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER WILLIAMSON: So, therefore, we can  
2 drop -- We can change the motion to -- So I would  
3 propose we amend the motion to the following: The  
4 ACMUI recommends that the attestation statement  
5 requirement be dropped from all pathways leading to  
6 qualification of an individual for radiation safety  
7 officer.

8 CHAIRMAN MALMUD: That's a motion. Is  
9 there a second to that motion?

10 DR. VETTER: Second.

11 CHAIRMAN MALMUD: It has been seconded by  
12 Dr. Vetter, and Mr. Bailey had his hand up.

13 MEMBER BAILEY: I guess I'm getting sort  
14 of wrapped around the axle about the idea. Preceptor  
15 statements are attestations for non-practitioners.  
16 The preceptor statements used to be sort of a way that  
17 we as regulators who were not licensed to practice  
18 medicine got out of evaluating the medical  
19 qualifications of an individual.

20 We always felt that we were competent to  
21 evaluate the radiation safety training, because most  
22 of us had received training in that area, but we  
23 realized in most cases we did not have physicians on  
24 our staff to evaluate the physicians coming in, and we  
25 used the preceptor statement simply to say, okay, his

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 colleagues, his peers, so forth, have said that this  
2 person knows how to practice the medicine.

3 I think we've gone way beyond that now in  
4 all of having preceptor statements for all of these  
5 other positions and attestations for them.

6 CHAIRMAN MALMUD: Having said what you  
7 said, Mr. Bailey, are you supporting the motion?

8 MEMBER BAILEY: Yes.

9 CHAIRMAN MALMUD: It's been a long day.

10 MEMBER BAILEY: Obviously, it was not  
11 clear.

12 CHAIRMAN MALMUD: No. It may have been  
13 that I didn't hear well. All right. So there has  
14 been a motion and seconded. Dr. Vetter?

15 DR. VETTER: And just to clarify, the  
16 current motion is simply eliminating the attestation.  
17 It is not eliminating the preceptor statement. That  
18 is the documentation that training occurred.

19 MEMBER WILLIAMSON: That is correct.

20 CHAIRMAN MALMUD: There is a motion that  
21 has been moved and seconded to eliminate.

22 DR. BROWN: Could I just ask for a  
23 clarification. You are saying that -- Nobody is  
24 disagreeing that they have to meet certain  
25 qualifications, but you are agreeing that the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1       attestation letter is not necessary. Is that --

2                   MEMBER WILLIAMSON: That is what we are  
3       recommending.

4                   DR. BROWN: That's what we were proposing.

5                   MEMBER WILLIAMSON: For all of the  
6       pathways to being an RSO, not just the authorized user  
7       one.

8                   DR. HOWE: Dr. Malmud, this is Dr. Howe.

9                   CHAIRMAN MALMUD: Dr. Howe?

10                  DR. HOWE: Could I get a clarification.  
11       When Dr. Williamson says all the pathways, is he  
12       talking about the board certification pathway for  
13       normal health physicists and the alternate pathway for  
14       the RSO or is he just talking about 35(c)(2), which is  
15       the pathway for authorized users, authorized medical  
16       physicists, authorized nuclear pharmacists, to be RSO?

17                  MEMBER WILLIAMSON: I was speaking of all  
18       pathways.

19                  CHAIRMAN MALMUD: Dr. Williamson is  
20       speaking of all pathways, essentially that the  
21       attestation requirement be deleted. So there is a  
22       motion on the floor which has been seconded. Any  
23       further discussion of it?

24                  If not, all in favor of that motion, that  
25       the attestation requirement be deleted? Any opposed?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Any abstentions? Motion carries unanimously as a  
2 recommendation to the staff. Thank you very much, Dr.  
3 Brown.

4 DR. BROWN: Thank you.

5 CHAIRMAN MALMUD: If we may, we will move  
6 on to the next item on the agenda, which is the  
7 interim inventory and national sealed source tracking.  
8 The presenters will be Paul Goldberg and William Ward.

9 Excuse me. Mr. Lieto.

10 MEMBER LIETO: There is some confusion on  
11 this side of the table as to the attestation  
12 statement. It was the understanding that this applied  
13 to RSOs for 100 and 200 uses. Is that correct?

14 MEMBER WILLIAMSON: All uses.

15 MEMBER LIETO: I think we just -- I think  
16 we are going to run into some problems with that,  
17 because basically what you are saying is that, for  
18 anybody to become an RSO, they don't require a letter  
19 of attestation, which basically means you don't have  
20 to comply with the rule.

21 MEMBER WILLIAMSON: No, no, no.

22 DR. VETTER: Under the motion, the NRC  
23 would still enforce the rule. They would still have  
24 to have appropriate training. It's just that no one -  
25 - The preceptor at the institution would not have to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 sign an attestation. So the RSO -- So, for example,  
2 the RSO will not have to sign that the authorized user  
3 would be an appropriate radiation safety officer. The  
4 NRC would evaluate that individual's training and  
5 determine that on the basis of the training.

6 MEMBER NAG: And again, for the modality  
7 for which you have been trained -- that itself, that  
8 is what Dr. Williamson said.

9 MEMBER LIETO: I just don't want it to  
10 jeopardize what the original intent was, which is that  
11 the 100 and 200 users, which are the low risk ones,  
12 where the problem really exists in large numbers.

13 MEMBER WILLIAMSON: I think that -- If I  
14 could speak to that, regardless of whether the motion  
15 has a narrower scope or broader scope, no pun  
16 intended, I think it would involve a rule change. So,  
17 basically, implementation of our suggestion at any  
18 level requires the rule to be changed, simply that.

19 I think I was fully aware of that, and the  
20 recommendation doesn't mean violate the rule. It is  
21 a recommendation to NRC to change it.

22 CHAIRMAN MALMUD: Thank you. In the  
23 interest of time, we will move on. It's Paul Goldberg  
24 and William Ward. Mr. Ward will go first.

25 MR. WARD: Good afternoon. I am William

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Ward. I am going to go first on this. I am going to  
2 go kind of fast to try and gain back a little time for  
3 you and, of course, there is the chance to catch up  
4 with questions at the end.

5 There's going to be a little bit of  
6 assumption that you are somewhat aware of some of the  
7 things that are going on. If not, let me know at the  
8 end.

9 In the past we have been -- past three  
10 years, we have been doing an interim inventory of  
11 radioactive sources. The reason for this is that we  
12 have an interest in eventually tracking IAEA Category  
13 1 and 2 sources, and in order to gain data on that and  
14 be able to design a system that Paul is going to talk  
15 about later, for the last three years we have been  
16 doing an interim inventory of sources, contacting NRC  
17 and agreement state licensees to find out what sources  
18 they have and information about the sources.

19 So the first year was Fiscal Year 2004,  
20 and we repeated it in 2005 and 2006, and we are about  
21 to start Fiscal Year 2007. 2007 will be a little bit  
22 different than the previous years, and I'll go into  
23 that in a second.

24 A little bit of background: The initial  
25 impetus for this was a working group between NRC and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DOE where we evaluated the radiological dispersal  
2 devices, the risks proposed by them, and one of the  
3 recommendations out of that working group was to  
4 develop a national source tracking system of high risk  
5 sources and, in order to develop that system, do an  
6 interim inventory.

7 The initial thought was it would be a one-  
8 time-only inventory. We later, per Commission  
9 direction, went on to perform the inventory every  
10 year. Later, the IAEA issued a Code of Conduct, and  
11 as part of that there was a new list generated, and in  
12 the interest of international cooperation and trans-  
13 boundary issues, NRC and the U.S. government adopted  
14 the IAEA list. So that was the major change.

15 We developed the interim inventory, and we  
16 have been doing it annually, as I mentioned. It  
17 provides a snapshot of high risk sources. In other  
18 words, what the licensee submits on the day they  
19 present the information -- that's what they have. We  
20 don't track. They don't tell us they gained a source  
21 or they lost a source over the next year. On the day  
22 that they submit the information, that's what they  
23 have. So we know what each licensee has on the one  
24 day that they submit.

25 It includes NRC and Agreement state

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 licensees and, as I said, it is only category 1 and 2.  
2 From a medical perspective, the most likely sources to  
3 be included a teletherapy sources, blood irradiators,  
4 and gamma knives.

5 Currently, we have -- We have about 2300  
6 licensees that we contact, about 1400 of which  
7 actually possess a source, at least one source. But  
8 we contact those that are authorized to possess  
9 sources. So we find out every year, because as I  
10 said, it's a snapshot. They may or may not have one  
11 at the time that they put the data in.

12 We update annually. I keep saying that.  
13 We have also used the database not only for designing  
14 the National Source Tracking System, but it has come  
15 in handy for the recent hurricanes in 2005 where we  
16 wanted to make sure that all the high risk sources  
17 were accounted for.

18 There are other instances where law  
19 enforcement or FBI, etcetera, have some knowledge of  
20 something, and they just want to make sure that all  
21 the sources in a particular area may be accounted for.  
22 So we have used it as well for law enforcement.

23 It was also -- This list was used as a  
24 base list for the recently issued Increased Controls  
25 orders. When we developed the list, we based it on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 what licensees authorized to possess Category 2  
2 quantities, and that was the base for the orders that  
3 were issued.

4 As I said, there's about -- Well, in  
5 Fiscal Year 2004 we contacted about 2600 licensees,  
6 and we had all but five of our data. That particular  
7 year, we also did some aggregation of Category 3  
8 sources. There was some confusion about the  
9 aggregation process, and it didn't work out very well.  
10 Being the first time around, the data was a little bit  
11 suspect in various ways. There were some people that  
12 didn't take the inventory very seriously.

13 We also had questions that year on  
14 import/export and disposal plans. That was to satisfy  
15 planning for the import/export rulemaking that our  
16 Office of International Programs did, and also some  
17 questions that Department of Energy had about  
18 potential disposal.

19 In FY '05, we contacted about a little  
20 over 2300. We had all but six respond. We had  
21 streamlined the process. We got rid of some of the  
22 questions. We no longer did aggregation of Category  
23 3 sources, and we used a streamlined process where we  
24 had a simple web based interface where people could  
25 enter data. Most licensees chose that. If they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 wanted to use a hard copy,. they could mail in the  
2 form that we sent them.

3 We mailed everybody, using FedEx or  
4 Certified mail with their user name or password so  
5 they could enter the data, and there was a hard copy  
6 provided that, if they wanted to use that method, they  
7 could FAX or mail back the hard copy, and we would  
8 enter it manually.

9 FY '05 was the second time around, and the  
10 data was much improved. There was a lot of ways I  
11 could cross-check the data internally. In the end, we  
12 ended up with about 16,000 source reports, and I say  
13 that because, for example, a gamma knife with 201  
14 sources, we allowed that to be reported as one source,  
15 although we know it is 201, and we just had the total  
16 quantity.

17 Similarly, for the large industrial  
18 irradiators that could have anywhere from 400 to 1,000  
19 sources, and they didn't have to report all the  
20 sources. They just reported it as one large source,  
21 told us how many pencils they had. So when I took  
22 that data and broke it down, there were about -- a  
23 little over 48,000 Category 1 and 2 sources. We had  
24 about 16,000 source reports. I won't go into much  
25 more detail.



1 I am going to go quickly. You may have  
2 seen these before but, hopefully, the slides you have  
3 have it a little bit better, but these show some of  
4 the data screens. The first one is the basic name,  
5 address, phone number, contact information that we  
6 have. That allows us to send out the packages each  
7 year.

8 We also had a question about the basic  
9 business type, and it helped me to do some cross-  
10 referencing, cross-checking of data internally.

11 The second screen gives you an idea of the  
12 way the sources are listed in the system. We have the  
13 isotope, the activity, manufacturer of the source, the  
14 model number of the source, serial number, and then  
15 date of activity. That allowed me to do DK  
16 calculations, and the manufacturer, model number,  
17 along with the business and sometimes the business  
18 name allowed me to do the cross-referencing of data  
19 for internal checking.

20 This is a screen that shows how that data  
21 that was presented in a previous screen was actually  
22 entered. Some of the screens had dropdown lists --  
23 for example, the activity type and things like that.

24 In 2006 we used the same process we used  
25 in 2005, and my initial look at the data is that it is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 fairly similar to what we had in 2005. I haven't had  
2 a chance to analyze it. We just closed it a couple of  
3 months ago, and we have been working toward opening up  
4 for 2007.

5 In 2006 we had a few more licensees. We  
6 contacted a little over 3,000, and in 2005 we had not  
7 quite 16,000 source reports. In 2006 a little over  
8 17,000.

9 Response rate was a little bit lower this  
10 time. We had two state agencies that were going to  
11 contact their own licensees and enter data, and they  
12 did not. So their rate is a little bit lower, and we  
13 are going to make sure we get their data first in  
14 2007.

15 One major change in 2007 is the Commission  
16 has directed that we contact licensees down to what we  
17 call Category 3.5. Now Category 2 was the threshold  
18 previously. Category 3 is one-tenth of that.  
19 Category 4 is 1/100th of Category 3. The Commission  
20 has chosen 3.5, which is one-tenth of category 3 and  
21 is 1/100th of Category 2. It is significantly lower  
22 than what we have been doing before.

23 There's going to be quite a few more  
24 licensees that we need to contact, and certainly more  
25 source reports in the system.

1           Because of the level, we are not  
2           forgetting about generally licensed devices. There  
3           aren't really any in medical use, but there is another  
4           group of licensees that NRC and Agreement states have  
5           to deal with, and that information we are going to  
6           obtain directly from the various general license  
7           tracking systems that the states or NRC have. So we  
8           won't be contacting any of those licensees.

9           Now how does it affect medical licensees?  
10          I think brachytherapy is the biggest new category that  
11          is going to be included when we go to Category 3.5.  
12          So there will be medical licensees added primarily  
13          because of brachytherapy.

14          The purpose of going down to Category 3.5  
15          is to (a) consider adding Category 3 to the National  
16          Source Tracking System, which Paul will talk about in  
17          a second, and (b) it is because we are considering  
18          tightening the general license regulations.

19          That was very fast, I know. Hopefully, I  
20          have covered the high points. Anybody have any  
21          questions at the moment? If not, you can -- you will  
22          have a second chance after Paul talks to ask either  
23          one of us.

24                 CHAIRMAN MALMUD: Mr. Bailey?

25                 MEMBER BAILEY: You said 3.5 was one-tenth

1 of Category 3. Did you mean ten times the limit?

2 MR. WARD: No, one-tenth. It is going to  
3 be a lower threshold in terms of activity.

4 MEMBER BAILEY: Okay.

5 CHAIRMAN MALMUD: Dr. Vetter.

6 DR. VETTER: While they are getting the  
7 next set of slides up: If you go down to Category 3,  
8 you may capture a number of cesium inventories that  
9 are sitting out there in safes that aren't being used  
10 anymore. I don't know how you would do this, but I  
11 would encourage the NRC to explore some mechanism to  
12 help medical licensees dispose of those sources.

13 MR. WARD: We are planning to ask a  
14 question about disposal plans in this next round.  
15 There are some initiatives being considered concerning  
16 cesium sources in particular and sources in general,  
17 and Department of Energy has some things that they are  
18 considering. So we hope to take that data back and  
19 share it with Department of Energy. I'm not sure what  
20 will come of it.

21 CHAIRMAN MALMUD: We have a question from  
22 the floor.

23 MS. FAIROBENT: Yes. Lynn Fairobent with  
24 APM. Dr. Vetter, we are working with the Conference  
25 of Radiation Control Program Directors and DOE LANL

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 right now on a new program called Scatter, which DOE  
2 is funding and the contract either has just been  
3 signed or will be signed shortly between CRCPD, and  
4 the intent of that is to be able to geographically  
5 aggregate these sources that are below the threshold  
6 for the Orphan Source Recovery Program right now, and  
7 in a region collect all of these sources that have  
8 been involved.

9 The other thing APM is doing is we are  
10 also working with the Department of Homeland Security  
11 to look at a grant separate from the CRCPD to  
12 supplement that one in order to perhaps move these  
13 from Commerce at a quicker basis to the Nuclear Sector  
14 Coordinating Committee on Radioisotopes.

15 CHAIRMAN MALMUD: Thank you. We will move  
16 on to the next presentation by Mr. Goldberg.

17 MR. GOLDBERG: Okay. I will discuss the  
18 National Source Tracking System. I am Paul Goldberg.

19 Bill has gone over some of this  
20 information already. So this should be pretty quick,  
21 but please let me know if you have questions as we go  
22 over it.

23 The background: As Bill mentioned, these  
24 sources for the Interim Inventory in the National  
25 Source Tracking System, the Joint NRC/DOE report, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the IEAEA Code of Conduct. There is also the Energy  
2 Policy Act where the Source Tracking System is  
3 concerned, which codified the requirement for the rule  
4 and did place some requirements on the system and gave  
5 us certain additional isotopes to include.

6 Bill has discussed the interim inventory.  
7 You know about that.

8 We have tried to cooperate with a variety  
9 of agencies, including the states, other Federal  
10 agencies, to design the Source Tracking System. We  
11 had a working group that included NRC, DOE and  
12 agreement state membership. We had a steering  
13 committee involving NRC, DOE and the agreement states.

14 The system will be designed mainly to  
15 provide information for NRC, for DOE and for other  
16 Federal agencies and for the agreement states.  
17 Licensees will be able to use it in some cases to keep  
18 track of their own inventory. It may be useful to  
19 some extent for those purposes also

20 The system will include sealed sources  
21 from NRC and agreement state licensees and the DOE  
22 facilities. It is intended to be a comprehensive,  
23 nationwide system. There may be some sources that  
24 move between NRC and the -- excuse me, between DOE and  
25 the commercial sector, and we want to be able to keep

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 track of those, too.

2 For the most part, it won't include  
3 special nuclear material, with a couple of exceptions.  
4 The aim is to have a life cycle account of each  
5 source. It will track transactions of the sources  
6 from their origin, which would be creation/fabrication  
7 of the source, or import, to disposal, export,  
8 destruction in some cases of the source.

9 These are the isotopes involved. There  
10 are not too many that are of interest for medical  
11 purposes. Bill discussed gamma knives. Of course,  
12 teletherapy and irradiators are of indirect interest,  
13 also for blood and for sterilization.

14 These are the transactions that we expect  
15 to capture: Manufacturer, transfer, receipt,  
16 disassembly and disposal. The tracked sources are the  
17 same ones Bill mentioned, IAEA Category 1 and 2 with  
18 a couple of additions, a few additions that the  
19 Commission wanted to include, particularly for DOE.

20 One of the requirements is that to be able  
21 to track these is that manufacturers must assign a  
22 unique serial number. In some cases, older sources do  
23 not have a serial number or, in some cases, they have  
24 a serial number that is not legible. That may pose a  
25 bit of a challenge.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           The aim is to improve accountability of  
2       these sources, which are of concern for security  
3       purposes, and give better information to decision  
4       makers. The information as it is aggregated in the  
5       system will be considered Official Use Only, in NRC's  
6       term, security related information, and licensees are  
7       obligated to handle it that way also.

8           The system is designed to be relatively  
9       user friendly. It will be primarily web based, the  
10      reporting, and most of the viewing of the system will  
11      be done over the Web. We will have additional options  
12      for reporting and a Help desk will be available.

13          The proposed rule was published. The  
14      final rule will be published sometime this year.  
15      We've got a contractor working on development of the  
16      system. We expect to have workshops for licensees to  
17      train them in the use of the system, and we expect  
18      operation sometime in 2007, and with a second phase  
19      that will have additional user features being issued  
20      by summer 2008.

21          That's all we have on the National Source  
22      Tracking System. Are there any questions? Yes?

23          MEMBER WILLIAMSON: Yes. What are the  
24      levels of interest or thresholds for iridium-192 and  
25      cesium-137 at the, I guess, 3.5 level?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 MR. WARD: For iridium, the current  
2 Category 2 threshold is 21.6 curies approximately. So  
3 it is about 1/100th of that, so 216 millicuries.

4 MEMBER WILLIAMSON: For 3.5?

5 MR. WARD: For Category 3.5, yes.

6 MEMBER WILLIAMSON: I thought you said it  
7 was a tenth.

8 MR. WARD: It is a tenth of Category 3.  
9 It is 1/100th of Category 2. Category 2 is the  
10 current threshold, and that is 21.6 curies. Category  
11 3 is 1/10 of Category 2. Category 3.5 is 1/10 of  
12 Category 3.

13 MEMBER WILLIAMSON: What about iodine-125?

14 MR. WARD: Iodine is not one of the  
15 isotopes of concern.

16 MEMBER LIETO: What would the radium be at  
17 the 3.5 threshold?

18 MR. WARD: Iridium?

19 MEMBER LIETO: Radium.

20 MR. WARD: Radium. I think that is 16.2.  
21 So it is about 162 millicuries.

22 MEMBER LIETO: I thought 11 was Category  
23 2.

24 MR. WARD: For radium? Okay.

25 MEMBER LIETO: Radium was 11.

1 MR. WARD: So it would be about 110  
2 millicuries, if it is 11.

3 CHAIRMAN MALMUD: Does that complete your  
4 presentation?

5 MR. WARD: Yes.

6 CHAIRMAN MALMUD: Thank you very much, Mr.  
7 Goldberg.

8 If we may, we will move on to the next  
9 item on the agenda, which is the status of medical  
10 events. For that, we have both Donna-Beth Howe and  
11 Ralph Lieto.

12 MS. WASTLER: Donna-Beth, could I ask you  
13 maybe to go from the slides. We are having a  
14 PowerPoint problem. So it is actually not operator  
15 error. There is some kind of issue with the software,  
16 and so we are not sure why. So if you could just go  
17 ahead and talk from the slides, and we will try to  
18 catch up.

19 DR. HOWE: That's what I was going to do.  
20 I am going to be talking about the status of medical  
21 events, and Ralph will be talking about the status of  
22 other reportable events from medical use licensees.

23 The first thing I wanted to do is -- I  
24 gave a status report at the last ACMUI meeting where  
25 we all got together, and that was essentially the FY

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 2006 half-year point. So I thought I would just throw  
2 this slide up as a reference point to start and to  
3 show how we ended up at the end of the Fiscal Year.

4 The major differences are that we went  
5 from 02000 35.200 which are the Diagnostic  
6 Administration's medical events to three, and we had  
7 a greater variety of 35.600s in HDR, and I  
8 specifically bought out the mammosite, because we seem  
9 to have a number of medical events that involve the  
10 mammosite. They are not necessarily specific to the  
11 mammosite this time as they are to treatment planning  
12 programs and coordination between treatment planning  
13 programs and computer systems in the HDR, and then  
14 also we had two gamma knife experiences.

15 So if we are looking at the 35.200s, it is  
16 not a surprise that all of our 35.200s involved I-131  
17 and cases where diagnostic procedures were prescribed,  
18 and the greater than 30 microcurie activities were  
19 administered.

20 In a number of cases you had failure to  
21 follow procedures. You had an endocrinologist  
22 ordering 5 microcuries, and then it just says a  
23 physician -- it came from the agreement states; we  
24 are not sure who the physician is -- then ordered 2  
25 millicuries, and then the 2 millicuries was given.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 We also had a physician that intended to  
2 give 10 millicuries but wrote 10 microcuries. So  
3 those are our diagnostic medical events.

4 For the 300, we are starting to see -- And  
5 of course, the 200 ones we've seen a trend in before,  
6 and we have an information notice that we are getting  
7 ready to go out with that may include some of these,  
8 making licensees aware of checking for written  
9 directives if you measure greater than 10 milli -- 30  
10 microcuries in the dose calibrator and making sure  
11 that you give what you intend to give.

12 For the 35.300, what we are beginning to  
13 see is a lot of capsules left behind in the vials, and  
14 it appears as if people are dumping the vials upside  
15 down. They are giving people the capsules, but the  
16 capsules are sticking to the vials, and they don't  
17 find out that they are in the vials until they go back  
18 to the pharmacy.

19 In one case, we had a situation where the  
20 pharmacy providing the capsules was not the normal  
21 pharmacy providing the other unit doses. So it was  
22 kind of a second tier pharmacy, and they let the  
23 original pharmacy know there was a problem, but the  
24 original pharmacy didn't get back to the medical use  
25 licensee, and a month later they had another medical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 event. We believe that the pharmacy had made the  
2 right effort to get back to the medical use licensee.  
3 The licensee could have corrected its programs and  
4 prevented the second medical event.

5 The first case that you see here is a  
6 patient intervention. That was a case in which there  
7 was an elderly patient that didn't want the procedure.  
8 The procedure was given. The technologist gave the  
9 patient the pill, gave him water, watched them drink  
10 the water, and then about two weeks later found out  
11 that the family found that the capsules was underneath  
12 the sofa cushion that the patient sat on for hours  
13 every day, and that the patient had put the pill in  
14 their shoe on the trip home from the hospital to their  
15 residence, and that was several hours. So the source  
16 was sitting on the patient's skin for about four hours  
17 and delivered a hefty dose to the foot.

18 So we gave that patient intervention, but  
19 it looks like there will be probably some permanent  
20 tissue damage to the patient because of the very high  
21 exposure to the surface of the capsule. That  
22 particular licensee is going to be a little bit more  
23 careful about the elderly patients that have dementia  
24 to make sure that pills that are given are actually  
25 ingested. So that was one of our reportable events.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Ralph, did you have a --

2 MEMBER LIETO: It was just the one where  
3 they found it under a pillow?

4 DR. HOWE: They found it under the cushion  
5 of the sofa, yes, weeks later.

6 We actually had a Samarium-153. It was  
7 from the agreement states. We don't have a lot of  
8 information on it. Most of our Samarium-153s have to  
9 do with medical use licensees that believe they can  
10 measure the Samarium better in their dose calibrators  
11 and, in fact, they cannot, and they don't go with the  
12 manufacturer's number, and they end up giving  
13 significantly less dose than they are supposed to.

14 So those are our 300s. For the 400s, we  
15 basically have two groups of patients. We had eight  
16 cases. We had a total of 14 patients involved. One  
17 case involved five patients. Two of them, I think,  
18 involved two patients each.

19 The gynecological ones, we ended up with  
20 the wrong seed activity being selected or the wrong  
21 source-bucket combination where the sources just  
22 weren't compatible and, therefore, didn't put the dose  
23 in the right location.

24 For the prostate, we had two wrong sites.  
25 We are continually seeing problems with physicians

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 interpreting ultrasound images, and that seems to be  
2 reoccurring.

3 We are also having problems with the fact  
4 that many of these treatment planning programs are in  
5 air kerma, and the orders are in millicuries, and then  
6 the information getting put back into the computer  
7 systems gets put back in the wrong unit. Since we are  
8 seeing quite a few of those cases, we are actually  
9 thinking about developing an IAN with respect to that  
10 to make the medical community aware.

11 MEMBER NAG: I'd like to make a comment  
12 there. Many of the manufacturers -- Some of the  
13 manufacturers only accept orders in millicuries,  
14 whereas the treatment planning -- Most of the modern  
15 treatment planning softwares are in air kerma, and I  
16 think NRC has to give a strong recommendation to the  
17 manufacturers to be able to accept -- You know, when  
18 we tell them that we are going to order in air kerma,  
19 they say, oh, no -- I think you need to send a strong  
20 statement there.

21 DR. HOWE: I'm not sure exactly what  
22 strong statement we are going to send, but we are  
23 going to make everyone aware of the problem of  
24 ensuring that they are looking at the right units and  
25 that they are putting the right units into the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 programs, and they are ordering the right materials,  
2 and that they are checking things when they come back.

3 For 35.600 we ended up with a number of  
4 errors that were based on either delivery tubes or  
5 catheter lengths not being the lengths that they were  
6 expected to be. So we ended up with a lot of -- with  
7 a number of medical events to the wrong site.

8 We ended up with three mammosites. We  
9 have seen a number of mammosites earlier. Most of  
10 these, though, appeared to be more of getting  
11 information from treatment planning correctly into the  
12 HDR computer software in that transition phase between  
13 putting in information parameters.

14 In the past, we have seen the mammosite  
15 problems be associated with fluid build-up around the  
16 area and then aspiration to remove the fluid, and then  
17 rupturing the balloon and, therefore, not getting the  
18 right dose.

19 MEMBER WILLIAMSON: Is this because the  
20 mammosite treatment time calculations are based upon  
21 a manual kind of milligram? Do manual programming --  
22 I mean, what is the reason for the problems in  
23 transferring information for this particular clinical  
24 scenario?

25 DR. HOWE: I have to look a little more



1 carefully. Let's see.

2 MEMBER NAG: And why mammosite --

3 DR. HOWE: Mammosite is one, but we have  
4 been noticing that it is a fairly new device, and we  
5 have in the past had more problems that were  
6 associated with the use of the mammosite versus the  
7 HDR. It appears like most of these are HDR problems  
8 that happen to be mammosite.

9 So we have a sensitivity to the fact that  
10 people were having difficulty with this device.

11 MEMBER NAG: Perhaps one of the reasons  
12 for that is many of the people who are doing mammosite  
13 are very new to HDR. Other people who have been doing  
14 HDR have been doing HDR for years, and therefore, they  
15 are familiar with it, where many of the new mammosite  
16 users are new to HDR. So I think it is more a  
17 question of their being new to HDR than mammosite  
18 being the problem.

19 DR. HOWE: In the last group that we  
20 looked at, it was more of a physical problem with the  
21 mammosites, because they were deflating the -- They  
22 were puncturing the balloons and, therefore, the seed  
23 was not in the center where it was supposed to be.

24 In this one we had, they blamed the  
25 treatment planning for the fractionalization problems.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 They stopped the -- They had the wrong length  
2 catheter. So they stopped the source about 6  
3 centimeters short from where it was supposed to be,  
4 and we have had problems with length of catheters  
5 before.

6 Then another was the interface between the  
7 treatment planning and the HDR control computer where  
8 they put the wrong information in. So we are seeing  
9 those interface problems.

10 One had a magnification error, and we had  
11 a number of catheters that moved. For --

12 MEMBER NAG: If a long catheter moves and  
13 that's because the patient coughed it out, that should  
14 not be a medical event.

15 DR. HOWE: It wasn't because the patient  
16 coughed it out. They would check at one point, and  
17 then when they go back to check, the catheter had slid  
18 out for so many centimeters. So they hadn't really  
19 checked to make sure that when they put the source in,  
20 it was in the right place.

21 For gamma knives, we had one that was a  
22 wrong site. I think this was a case between  
23 left/right type of problem. We had another one with  
24 a three pin in which you had an elderly patient that  
25 was given the three pin procedure, and then moved, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the pins did not adhere to where the patient's head  
2 was. So we ended up with a medical event. That  
3 licensee has now gone back and looked at its  
4 procedures and realizing now, based on our information  
5 notice we put out this summer about the gamma knives,  
6 patients being able to move within the gamma knife  
7 helmet, they are now looking more carefully at their  
8 patients and making sure that, if they see somebody  
9 moving, then they go and look and check to make sure  
10 that the gamma knife is still set where it is supposed  
11 to be set. So I think we are having a positive impact  
12 with evaluating and preventing medical events in that  
13 case.

14 Then we had a Yttrium microsphere medical  
15 event in which there was -- well, they completed the  
16 procedure. They thought they had delivered all the  
17 dose. They looked in the V vial. A significant  
18 percentage remained in the V vial. They also had some  
19 spillage out of the hepatic port where they were  
20 entering into. So they had a number of problems and  
21 delivered significantly less dose to the liver than  
22 they had expected.

23 You will see in your books that I also  
24 have some other documents or things that were  
25 retracted for medical events, and I put those in there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 just for your information, to give you a complete  
2 picture of what I had looked at. Ralph? Ralph is up  
3 next.

4 CHAIRMAN MALMUD: A question for you from  
5 Dr. Vetter.

6 DR. VETTER: While you are switching  
7 slides here, do you recall or do you know what the  
8 previous experience is of that licensee with the use  
9 of the V vial? Had they much experience in  
10 administering microspheres? This is another one of  
11 those modalities where experience makes a huge  
12 difference.

13 DR. HOWE: It certainly is. This was an  
14 agreement state in Houston, and so generally for the  
15 agreement states we don't get a lot of information,  
16 but we can check, follow up on that.

17 Yes, Dr. Welsh?

18 DR. WELSH: Regarding that Yttrium-90  
19 microspheres case, often the prescription is written  
20 to a certain dose or until stasis is reached. Sounds  
21 like stasis was reached.

22 DR. HOWE: No. In this case, I don't  
23 believe it was a stasis case, because they believed  
24 that they had delivered everything from the vial, and  
25 then they were surprised to see that they still had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 liquid left in the vial.

2 We wrote the guidance to allow stasis,  
3 because we understand that is a typical endpoint for  
4 the spheres, and so we made sure that those would not  
5 be medical events. But in this case I don't believe  
6 that was the case.

7 MEMBER EGGLI: But if they observed  
8 spillage, doesn't that imply stasis? Your comment  
9 was that they observed spillage from the hepatic  
10 artery, and that implies some degree of stasis, or  
11 either that or catheter misplacement which is a  
12 separate problem.

13 DR. HOWE: Yes, I believe it was the  
14 separate problem.

15 MEMBER EGGLI: Okay.

16 DR. HOWE: Because there was no discussion  
17 of stasis, because we would accept stasis as a  
18 legitimate endpoint for any Yttrium-90 microsphere.  
19 Yes, Dr. Welsh?

20 DR. WELSH: I was just reading the item  
21 number, and it says the retention fluid for the  
22 microspheres had become backed up from the site of  
23 injection in the hepatic artery with some spillage on  
24 the surface occurring.

25 DR. HOWE: As observed on the gauze.

1 DR. WELSH: May be Dr. Nag can answer that  
2 better, but this is 35.100 Yttrium-90.

3 DR. HOWE: Near the end of the package.

4 DR. WELSH: Yes, it's the last page before  
5 it says retracted.

6 MEMBER NAG: I think -- I mean, I have  
7 investigated quite a few of these -- some of these.  
8 This is not one I have examined. If it is something,  
9 I will be glad to investigate it in detail, if need  
10 be.

11 DR. HOWE: Well, I'll check in the Texas  
12 documents and see if we have any other clarification  
13 on it. Ralph?

14 MEMBER LIETO: When this first came up as  
15 an agenda item, Donna-Beth and I were talking about  
16 how we would try to present these events. The source  
17 of the information for both our presentations comes  
18 out of what is called the NMED database. Now that  
19 stands for the Nuclear Materials Event Database. It  
20 is not just medical events that are reported in this.

21 We both looked at the Fiscal Year, the  
22 Federal Fiscal Year from October 1, 2005, to October  
23 1st of this year. Donna-Beth, because she had been  
24 presenting previous presentations on the medical event  
25 definitions as they apply or are found in Part 35,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 continued that presentation.

2 So what I am presenting is basically, I  
3 guess, the first time are other medical events  
4 involving related -- or involving or related to the  
5 medical use of radioactive materials.

6 There were -- I think Donna-Beth had about  
7 34 events in her presentation. I found another 42  
8 events related to the medical use of radioactive  
9 materials. Now I wasn't sure, basically, how to  
10 separate and present this. Donna-Beth, I think, had  
11 a little advantage in that she could use the Part  
12 definitions in 35.

13 So this is my first blush effort at  
14 presenting this and trying to put them into some  
15 overall categories. So you see that I broke these out  
16 as to lost sources, either sealed or unsealed, leaking  
17 sealed sources, landfill alarms, and I broke this out  
18 because this was the largest number of events that  
19 were reported in my presentation, and I broke this as  
20 to where the description could present that it was  
21 either decay-in-storage waste that had been improperly  
22 disposed or we didn't know where it came from other  
23 than that it was medically related, and then also  
24 those events that were identified as related to  
25 patients who had been released under 35.75 and a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 report had been filed in the NMED database; and then  
2 miscellaneous, basically exposure events that were  
3 reported.

4 Also I am going to present, since this was  
5 my first blush at this, some concerns and issues with  
6 the reporting, and ask for some input from the  
7 members.

8 Under lost sources there were six events  
9 that were reported. One was a flood in the basement  
10 of a hospital where the radioactive waste storage area  
11 was, and basically washed out the radioactivity that  
12 was stored down there. Principle isotopes were I-131  
13 and some I-125 waste.

14 The second event was a Strontium-90 eye  
15 applicator that was stored in a nuclear medicine  
16 laboratory that basically became dormant for a couple  
17 years, and then was reactivated. They went back in,  
18 and the other sources were there except the strontium-  
19 90 eye applicator, and the activity involved was 28  
20 millicuries.

21 Another event was a cartridge for a Mick  
22 applicator containing 10 seeds of Palladium-103 for a  
23 prostate implant was left in the Mick applicator. A  
24 survey was not properly done, and when an inventory  
25 was done after returning the sources to the storage

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 area found that the one applicator -- excuse me, one  
2 cartridge was unaccounted for, they went back and did  
3 surveys and found three of the missing seeds. So  
4 seven of those are still missing, for a total activity  
5 of 8.75 millicuries.

6 Another one was an inpatient cesium-137  
7 brachytherapy treatment where a capsule was lost and  
8 later found in the hospital laundry. The other was a  
9 reported incident of -- These were calibration and  
10 reference sources that basically a technologist was  
11 going through the laboratory and basically cleaning  
12 out decay-in-storage waste, had several of these  
13 sources, calibration and reference sources, and threw  
14 out three sources, for a total activity of less than  
15 10 microcuries.

16 I think individually they were less than  
17 the exempt quantities, but they still were not at  
18 background levels. So these were reported as lost  
19 sealed sources.

20 Another was a cobalt-57 flood source that  
21 was used for transmission studies in nuclear medicine  
22 was placed on a patient gurney; when the study was  
23 done, transferred the patient back to the room. The  
24 gurney went out in the hallway, and with the source  
25 still in it, and they went and picked up another

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 patient and realized that the flood source was missing  
2 and later found it where it was on the gurney.

3 So this was not only a lost and found  
4 source, but also reported as an exposure of a member  
5 of the general public. I think the exposure was less  
6 than 10 millirems total, I think, is what they --

7 MEMBER NAG: What do you mean by a flood  
8 source?

9 MEMBER LIETO: It is for doing uniformity  
10 evaluations of gamma cameras. These are large  
11 circular or rectangular disks of about 10 to 20  
12 millicuries that is used for quality control the gamma  
13 cameras. In this case, they were using it underneath  
14 the patient as a transmission source.

15 The next has to do with leaking sealed  
16 sources. I am going to probably call on Jeff  
17 Williamson here to kind of explain for the last two.  
18 But the first involved a shipment of cesium-137 seeds  
19 for brachytherapy application.

20 One of the seeds was damaged at the vendor  
21 packing location, resulting in contamination of the  
22 inner packaging, which was discovered by the licensee  
23 upon receipt of the package. The cause was later  
24 found to be problems with the vendor and their quality  
25 control and survey process, but did not result in any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 contamination at the licensee's site.

2 I am going to ask Jeff, if he would, to  
3 kind of explain the Mick applicator and cartridge.  
4 This is a slide of the Mick applicator. This is the  
5 Mick applicator longitudinally here, and the cartridge  
6 is this little vertical piece here. Is that right,  
7 Jeff?

8 MEMBER WILLIAMSON: Yes. Yes.

9 MEMBER LIETO: Okay. That's the extent of  
10 my knowledge, and I'll turn it over to Jeff.

11 MEMBER WILLIAMSON: It is a commonly used  
12 device for permanent seed implantation for implanting  
13 loose seeds in, hopefully, a linear array which are a  
14 preloaded cartridge with the seeds stacked  
15 horizontally is inserted. The seeds are pushed out  
16 one by one, and in between the user has to retract the  
17 needle in order to achieve the desired spacing.

18 MEMBER NAG: Perhaps I might comment. I  
19 mean, I do this almost every day. What happens is  
20 that, those who are not properly trained, if that seed  
21 is not totally aligned, there will be a little  
22 resistance. So the one who is uninitiated keeps on  
23 pressing on it and, when you keep on pressing on the  
24 plunger, the seed can lock here, and that way you have  
25 -- So the way to solve it is (a) take the -- out

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 immediately, realign the seed in the cartridge, put it  
2 back; or you are not used to doing that, you have to  
3 take that cartridge out and, you know, dispose it --

4 MEMBER WILLIAMSON: I might add that these  
5 seeds -- The titanium cladding on these seeds is  
6 extremely soft and very easy to rupture and bend. So,  
7 you know, rough handling procedures can easily violate  
8 the integrity of an iodine seed.

9 MEMBER LIETO: There were two separate  
10 incidents that involved iodine seeds rupturing when  
11 the cartridge was jammed in the applicator. Another  
12 report involved palladium seed being sheared when the  
13 cartridge became jammed and the user improperly  
14 removed the cartridge and sheared the seed upon  
15 removal.

16 Now I know that there has been, I think,  
17 an information summary. I don't know if that's the  
18 right --

19 DR. HOWE: Information notice.

20 MEMBER LIETO: Information notice on this  
21 that went out from the NRC in the spring. Is that  
22 about right? Early summer?

23 DR. HOWE: I'm not sure when it went out.

24 MEMBER LIETO: Earlier this year. But  
25 some of these incidents have occurred since that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 summary or notice had gone out. So it was another  
2 reason for reporting it here. So these events still  
3 are occurring, even though the notice has gone out.

4 The next category of reports or events  
5 that were reported involves landfill alarms. These  
6 involved basically improper disposal of medical  
7 radioactive waste from licensee. All the events  
8 involved the isotopes listed there: Technetium-99m,  
9 Iodine-131, Thallium-201 and Gallium-67.

10 Now there were eight reports in which the  
11 origin was unknown as to whether it was residential --  
12 in other words, it might have come from a patient that  
13 had been properly released under 3575 -- or possibly  
14 even just improper disposal. So we categorized them  
15 under this category of unknown or improper decay-in-  
16 storage waste.

17 There were 19 reports total. When I  
18 looked at where these were coming from, basically  
19 there were three from two non-agreement states and 16  
20 from five agreement states in terms of the total  
21 reports, and the agreement states are listed there.

22 So there seems to be --

23 MEMBER WILLIAMSON: Go ahead. Sorry.

24 MEMBER LIETO: I was just going to say:  
25 So there seems to be very few of the states that are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 using NMED as a mechanism for reporting these events,  
2 even though these may occur -- From my own personal  
3 knowledge, an event may occur in a landfill. It is  
4 probably that very few of these events are going into  
5 the NMED database.

6 MEMBER BAILEY: Yes. I think there are  
7 two factors there. One is, looking at those states,  
8 I know that they have a lot of landfill alarms set up.  
9 Secondly, there are in some states procedures set up  
10 that you don't report them or you don't count them as  
11 incidents if they are a certain category.

12 MEMBER LIETO: If they are the low half-  
13 life materials, and I'm sure that is probably the  
14 case. And in fact, many of the events that were  
15 reported -- In fact, I think I rank ordered these  
16 states, agreement states, and the number of reports.  
17 Interestingly enough, several reports from Alabama  
18 were the result of waste transferred from Tennessee.

19 So I think, when you put those two  
20 together, that is where most of these events are being  
21 reported.

22 Now whether these should still be reported  
23 via NMED, I guess, is a question for maybe future  
24 discussion. I don't know if the NRC encourages these  
25 events being reported into this mechanism or not,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 especially when they know what the isotope is;  
2 because many of the stations that reported this had  
3 identified the isotopes, because they have portable  
4 detectors that can identify the specific radionuclide  
5 involved.

6 Now the second category of landfill  
7 alarms: There were nine reports, and I put this  
8 category separate, because I felt these should not  
9 have been in NMED. They came from patients that had  
10 been released or hospitals where the patients would  
11 have been released under 3575.

12 When I say the hospitals, for example,  
13 there was, I think, one case that stands out in which  
14 they determined that the radionuclide involved was  
15 Technetium-99m, and it was a Foley bag, a contaminated  
16 Foley bag with urine that was triggering the alarm.

17 All but one of the reports were from  
18 agreement states, and the point that, I think, I would  
19 make in reviewing these reports, especially these  
20 alarms, is that I think the biohazard risk of  
21 identifying the source so greatly exceeds the  
22 radiation risk involved with identifying these.

23 I think either the state people or whoever  
24 is doing the dumpster diving here at the landfills are  
25 probably at much greater risk from biohazards than the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 radiation risks that are involved with these.

2 MEMBER BAILEY: Ralph, the problem is that  
3 the waste sites don't want it, and somebody has to go  
4 out -- some of us feel, has to go out, and we try not  
5 to do dumpster diving and try to identify it outside  
6 the container or have them dump it somewhere. Only we  
7 have a bad actor that we suspect do we ask them to go  
8 out and dive in the dumpster and recover their stuff.

9 MEMBER LIETO: What was happening in some  
10 of these -- and I guess I'll maybe emphasize this  
11 again toward the end -- is that, at least from the  
12 brief reports in the NMED database, it appears that in  
13 some cases they are identifying where this waste comes  
14 from, either in a previous slide the hospital, and  
15 they are asking them to modify procedures, which  
16 probably would be appropriate if it is a decay-in-  
17 storage waste that shouldn't have got out.

18 In some of these residences, or in the  
19 case of the Foley bag type of thing, they are asking  
20 the licensees to modify their procedures or install  
21 expensive monitors to monitor all the trash going out.  
22 I think we are kind of trying to shoot a fly with a  
23 shotgun here, and I'm a little -- I think there's some  
24 area of concern, and I don't know what the solution is  
25 to this, if there is something that ACMUI might

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 recommend or that NRC staff might have some  
2 suggestions in going back, or whether these are  
3 appropriate to be even in the database also. I don't  
4 have a recommendation for that right now.

5 In terms of some of the miscellaneous  
6 things that we found in terms of the reports, one had  
7 to do with a prostate seed implant that was removed 90  
8 days post-implant by a non-licensee who reported this,  
9 and I'm not really sure how it got into the NMED,  
10 being a non-licensee, but anyhow it was reported as a  
11 potential overexposure to the surgery staff because of  
12 the removal of the prostate with the seeds.

13 NRC in follow-up stated that this was not  
14 reportable, since the patient had been released in  
15 accordance with 35.75.

16 Another event was an event in which a  
17 licensee was doing emergency training exercises with  
18 an HDR, which are done on an annual basis. They did  
19 not follow the vendor's procedure in using a dummy  
20 source set-up, which resulted in the actual source  
21 going out, resulting in an exposure to the users in a  
22 training exercise and resulted in some exposure to  
23 them, nothing in excess of dose limits or anything  
24 like that; because as soon as the alarms went off,  
25 there was a very hasty exit.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Another event, which I think was an event  
2 that was reported -- also the same event reported  
3 earlier by Donna-Beth, but this was also indicated in  
4 the description in the database as a possible  
5 noncompliance with release criteria for an iodine-131  
6 patient.

7 This was the patient who had dementia and  
8 was administered an I-131 capsule, and this capsule  
9 was later found several days later at another location  
10 under the patient's pillow or cushion, as Donna-Beth  
11 described earlier.

12 So the description in the database  
13 indicated that this might be some issues with the  
14 licensee in not complying with 35.75 in that they  
15 released a patient who could not be assured -- there  
16 was not some reasonable assurance that they could  
17 comply with the release conditions.

18 Another event that was found and was still  
19 reported as a medical event was a diagnostic  
20 radiopharmaceutical of Technetium-99m that was given.  
21 It was the wrong pharmaceutical given to a patient.  
22 It does not meet the dose criteria for a medical  
23 event, but there was no retraction in the database.

24 So it was still in the there as a medical  
25 event, although it really does not meet that criteria.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Some general observations and issues: In  
2 a couple of conversations that Donna-Beth and I had  
3 regarding identifying events, we found that in  
4 querying the database that there were events that she  
5 didn't capture and neither did I capture. I think we  
6 looked at four different query criteria in trying to  
7 capture all these events, both for medical events and  
8 these other material events.

9           These three or four that are listed here  
10 were ones that I found in the quarterly report of the  
11 NMED. They make quarterly reports on their event  
12 summaries, and these were described in the actual  
13 report.

14           Again, one of them was a leaking stopcock  
15 valve during a Technetium-99m stress study. It was  
16 classified as an equipment failure under heart-30 --  
17 Would that be right? -- which I guess I don't have to  
18 probably -- Maybe Dr. Van Decker might probably want  
19 to even guess at the number of leaking injections that  
20 occur during nuclear stress studies.

21           I find this one kind of very surprising in  
22 its report, because this is -- I don't want to say it  
23 is a common occurrence, but it is not rare either.

24           Another couple of events -- Another was a  
25 leaking dose calibrator standard for Cesium-137. I

1 have to admit, I've never seen a report of a leaking  
2 dose calibrator source before. So I was very curious  
3 about this, but yet couldn't find any follow-up  
4 information as to was it really leaking and, if so,  
5 was it something that was just a flaw in the design of  
6 the standard; because these are used in almost every  
7 nuclear medicine department with a dose calibrator.

8 So if it happens once, you kind of wonder  
9 where else is it happening and not being reported or  
10 is this basically something else going on here that is  
11 not really a problem with the standard.

12 Another event was the cremation of a body  
13 shortly -- a prostate implant, I-125 implant, shortly  
14 after -- There was a cremation shortly after implant.  
15 I'm sorry, I don't have the total activity on this,  
16 but obviously, probably in the range of about 30+  
17 millicuries of I-125.

18 This was reported under Part 20, I  
19 believe, although if you -- In the NMED database a  
20 query of Part 30 events -- excuse me, Part 20  
21 violations, if you will, or medical events involving  
22 this type of thing, it did not come up under that type  
23 of query.

24 Another event had to do -- which was  
25 reported as an exposure, was an inpatient, cesium-137

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 patient, that went into -- I don't know if it was  
2 cardiac arrest, but I'm assuming that it was a Code  
3 Blue which required immediate emergency care of the  
4 hospital team, and the exposure reported as a result  
5 of this. This was reported under Part 20 exposure  
6 events.

7 So there were some issues that came up  
8 that did not capture all the events involving  
9 radioactive material use. The landfill alarms  
10 regarding patient waste were categorized in some cases  
11 as either lost, stolen or missing radioactive  
12 materials under Part 20.2201.

13 Really, these are patients that are  
14 meeting the criteria for release and, really, they are  
15 not lost, stolen or missing. You know, it is probably  
16 a contaminated toothbrush or linen or something of  
17 that from the house, and it is not really lost,  
18 missing or stolen. They put it out there.

19 One of the things, I think, regarding NMED  
20 was a real problem, and this may be from my accessing  
21 it, and I don't know if that's the case, is that in  
22 the reports they give reference documents which may  
23 or, hopefully, will provide more detail on the  
24 events.

25 Many of these, you couldn't -- They were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 totally unavailable or simply the original cryptic  
2 report that resulted in the NMED event narrative  
3 itself.

4 Leaking sources were classified as  
5 equipment failures, not under Part 25 or a leaking  
6 source criteria. I couldn't find any follow-up  
7 assessment that was documented with these reports, and  
8 again I think this is a concern that maybe might be  
9 for future improvements in that one of the things  
10 that, again from the query process and in developing  
11 these reports, is that it was very difficult to find  
12 things that were medically related.

13 There is not in NMED any type of a data  
14 field that indicates where the license field -- who  
15 the licensee is that is involved with this. So if you  
16 wanted to, say, do a query based on all medical  
17 licensees, you can't do that.

18 In fact, some of the events that came up  
19 in the queries captured medical -- exposure events  
20 that resulted in medical care of the individual that  
21 was overexposed, even though the individual had  
22 nothing to do with the medical use of radioactive  
23 materials.

24 Is there a way to -- as I say, to  
25 determine whether the reports are accurate. As I

1 mentioned with the leaking sources, was it really the  
2 source that was leaking. Was there some failure with  
3 that, or was there something that, in terms of how the  
4 source was handled, stored, whatever, that resulted in  
5 it leaking?

6 Medical events: There were, I think,  
7 several medical events that were reported. Some were  
8 retracted. Some were not. I think there was one that  
9 was retracted in which, from the narrative, indicated  
10 that there was no medical directive, written  
11 directive. So I didn't quite understand the reason  
12 for that.

13 I think, you know, the other thing is  
14 that, should any reported event be included in these  
15 quarterly reports? If there is no assessment into the  
16 accuracy or the fact that it was later retracted, does  
17 that still go into the quarterly reports as  
18 statistics of these types of events?

19 I don't have an answer for that, and in  
20 looking at the quarterly summary reports, I couldn't  
21 determine if there was any type of, for lack of a  
22 better term, QC of the reports in terms of, well, this  
23 really shouldn't go into the statistics or not.

24 I guess the last one is a question in  
25 terms of do the members find value in reporting these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 other medical radioactive material events that are not  
2 medical events in the definition of the Part 35  
3 regarding the patient definition of dose and dosage?

4 CHAIRMAN MALMUD: Thank you, Mr. Lieto.  
5 Are there any questions for Mr. Lieto? Dr. Vetter.

6 DR. VETTER: Just a comment. Do we find  
7 value in this? I think personally I would find more  
8 value if we could somehow group them -- if we perceive  
9 there might be a problem that needed to be  
10 communicated to the user community, if they could  
11 group them and then come up with a recommendation to  
12 staff on what that might be, on how to advise the user  
13 community.

14 MEMBER NAG: Exactly what we did in the IC  
15 recommendations for HDR. We took all the NRC  
16 administration at that time. We -- then why it  
17 happened and explained. I think that is more  
18 beneficial, and in future I think, if we do this, we  
19 should take some time beforehand to -- and maybe give  
20 a 15 minute presentation, not on all of these, like  
21 maybe five of these and a,b, c.

22 CHAIRMAN MALMUD: Mr. Bailey.

23 MEMBER BAILEY: I notice that several of  
24 the events involved accelerator produced material, and  
25 I would speculate that those probably came from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 agreement states, although I don't know that for sure.  
2 I don't know that NRC licensees would necessarily  
3 report accelerator materials that were lost or  
4 whatever.

5 So those who are watching the number of  
6 incidents that are occurring should remember that you  
7 are getting a whole bunch of new sources in that  
8 probably will put a spike in the number of reports  
9 that you get.

10 CHAIRMAN MALMUD: It seems then the answer  
11 to your question is that it would seem useful to the  
12 committee if you could group these perhaps with  
13 external beam radiation, brachytherapy second group,  
14 third group perhaps nuclear medicine issues.

15 I don't think that the committee needs to  
16 be informed of every leaky valve during a stress  
17 cardiac study, but we are interested in knowing the  
18 number of events. Does that summarize it pretty well?  
19 Thank you for a very thorough description of what has  
20 occurred.

21 If we may, we will move on now to the  
22 patient release issue, which is being presented by  
23 Cindy Flannery. Thanks, Ralph.

24 MS. FLANNERY: Okay. In the interest of  
25 time, I will try to summarize this as much as possible

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 here.

2 This is just an informational presentation  
3 to explain an effort between the NRC and the AHRQ,  
4 which is the Agency for Healthcare Research and  
5 Quality, which is part of the Department of Health and  
6 Human Services, and the Center for Disease Control.  
7 This is an effort on collecting information on release  
8 of patients who have been administered  
9 radiopharmaceuticals or implant who have been stopped  
10 at security checkpoints.

11 Earlier this year the AHRQ noticed in The  
12 Federal Register a project, information collection on  
13 security at checkpoints and patients with  
14 radiopharmaceuticals, and there are several comments  
15 that were received in response to this Federal  
16 Register notice, and many of these comments had to do  
17 with how this information collection really falls  
18 under NRC's jurisdiction.

19 So that began a dialogue between the NRC  
20 and AHRQ and CDC, and we decided to collaborate with  
21 these agencies on this information's collection. This  
22 topic really will become of increasing importance as  
23 it is expected that there will be more security  
24 checkpoints, and these detectors will grow in number.

25 Patients who have been administered

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 radiopharmaceuticals or have implants are released in  
2 accordance with 10 CFR 35.75, and medical facilities  
3 are not required to provide patients with information  
4 that could be presented to law enforcement personnel.  
5 Oftentimes, when these patients are stopped at  
6 security checkpoints, many of these individuals are  
7 unaware that they have received a procedure involving  
8 radioactive materials and, therefore, sometimes cannot  
9 adequately communicate that with the law enforcement.

10 So a few years ago, 2003, NRC issued an  
11 information notice about the heightened awareness of  
12 patients containing detectable amounts of radiation  
13 from medical administrations. The bottom line message  
14 in this IN was that NRC urged medical facilities to  
15 provide patients with information or documentation to  
16 present to law enforcement or security personnel at  
17 these security checkpoints.

18 Now NRC has recently issued a temporary  
19 instruction. A temporary instruction is intended for  
20 inspectors of all medical use facilities, and a  
21 temporary instruction gives direction on gathering  
22 information in addition to what is collected during --  
23 or inspected against during the routine inspections.

24 It is not the goal of the study to  
25 evaluate the adequacy of the existing regulations, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 rather just explore the range of practices among the  
2 medical facilities. So I have listed here the  
3 objectives of the temporary instruction.

4 Of course, the inspectors will be  
5 evaluating the compliance with 35.75, but this TI also  
6 has as objectives to gather information concerning  
7 whether the medical facility is implementing the  
8 information notice and, if so, how are they doing  
9 that.

10 Ultimately, the data will be used and  
11 evaluated by AHRQ or CDC, and an article will be  
12 published in a peer review journal.

13 So the goals of the study are, as I said,  
14 to explore the range of practices across facilities.  
15 What the TI is looking at is determining what methods  
16 facilities are using to determine when patients can be  
17 released from care, also what type of information is  
18 being provided to the patients, including documents to  
19 present at security checkpoints, and lastly how this  
20 information is being communicated to the patients.

21 In your binders you have a copy of the  
22 draft TI, and since the time that these binders were  
23 sent out and the meeting here today, the TI has become  
24 final. It went into effect. It was signed, finalized  
25 and went into effect October 13, and it is planned to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 be implemented for a period of three to six months,  
2 however much time is needed to gather an adequate  
3 statistical sample. The goal is to try to get the  
4 information from 60 different facilities.

5 What we are trying to gather information  
6 on is facilities ranging all the way from your small,  
7 private offices up to your broad scope programs. So  
8 we are not just targeting one type of licensee or one  
9 group.

10 Lastly here, I have just listed the points  
11 of contact with the agencies outside of the NRC. The  
12 AHRQ actually administers the contract, but the  
13 technical expertise actually comes from the CDC.

14 I don't have any data to provide at this  
15 point, because this was just published less than two  
16 weeks ago. So, of course, we don't have any data to  
17 present, but we plan to present some data at the April  
18 meeting, because we should have collected all the  
19 information that we need by that time or are close to  
20 the end, and we plan to have Dr. Ansari from the CDC  
21 present at the April meeting the data that has been  
22 collected up to that point.

23 So that's all I have to present. This is  
24 more just a heads up of what you will be hearing about  
25 more in the future as we have collected the actual

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 data.

2 CHAIRMAN MALMUD: Thank you. There is a  
3 question from Dr. Vetter.

4 DR. VETTER: A couple of quick questions.  
5 First of all, will the 60 facilities be NRC licensees?

6 MS. FLANNERY: Yes, they will be.

7 DR. VETTER: Okay. Now this looks like a  
8 good set of questions to ask what patients are being  
9 told. Will there be any plan to try to assess whether  
10 patients are actually following the instructions?

11 MS. FLANNERY: No. It's more just how the  
12 medical facilities are implementing, say, for example,  
13 the IN.

14 CHAIRMAN MALMUD: Thank you. Dr. Eggli.

15 MEMBER EGGLI: There are actually starting  
16 to be articles in the literature about patients being  
17 stopped at security. It looks like the worst group is  
18 actually the hyperthyroids who are getting under 30  
19 millicuries, because they retain so much of the dose  
20 for so long. Patients have set off airport detectors  
21 as long as six to eight weeks after their therapy.

22 I used to -- I give instructions in my  
23 written documentation when patients leave. I used to  
24 tell them to avoid government buildings and public  
25 transportation and airports for a week. Then I was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 doing it for two weeks, and I'm not sure what the  
2 answer should be now, but hyperthyroids are setting  
3 them off six to eight weeks later.

4 I guess I will modify it for  
5 hyperthyroids, but they also carry -- I ask them to  
6 carry a copy of their consent form in their wallet,  
7 and I tell them brutally that, if they are stopped at  
8 security, they will be treated like a terrorist, and  
9 the odds are security will be rude to them, and it is  
10 better to carry their consent form than to go through  
11 that experience.

12 CHAIRMAN MALMUD: Thank you, Dr. Egli.  
13 We give the patients a business-size card which  
14 indicates the isotope that they received, the amount  
15 of the isotope and the date, and tell them don't cross  
16 the bridges or tunnels into New York City, don't enter  
17 any Federal office buildings. If the President comes  
18 to town, stay home, and indicate that they will be  
19 regarded as terrorists until they show the card.

20 This always is met with amusement by the  
21 patient, since they don't see themselves as looking  
22 like terrorists, whatever terrorists look like. But  
23 they remember, and I tell each patient that I treat  
24 with radioiodine that that's the case.

25 Now we do not do that with all of our

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patients who receive isotopes -- for example, thallium  
2 which will trigger off some of these monitors -- but  
3 it is routine for our therapy patients.

4 How often does this really happen? I  
5 mean, we hear all of these apocryphal stories. How  
6 often?

7 MEMBER EGGLI: I have one personal  
8 experience. It was actually in the early days. I  
9 got called by U.S. Customs in Toronto. A patient was  
10 not screened leaving the country but screened coming  
11 back into the country, and I had to tell Customs  
12 exactly what I treated the patient with and when I  
13 treated. So I have one personal incident.

14 CHAIRMAN MALMUD: Is there any magnitude?  
15 Is it 100, 1000 incidents per year?

16 MEMBER SULEIMAN: I think it is very  
17 prevalent, because there are more detectors being put  
18 out there. Some of them like will detect 2 MR per  
19 hour.

20 CHAIRMAN MALMUD: Well, we think it is  
21 probably. I mean, is there any quantification?

22 MEMBER EGGLI: A recent article in the  
23 literature was about -- was somewhere between six and  
24 eight patients.

25 CHAIRMAN MALMUD: In a year?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 MEMBER EGGLI: No. It was just reporting  
2 a small series.

3 CHAIRMAN MALMUD: Mr. Essig seems to have  
4 some.

5 MR. ESSIG: I have just one rather large  
6 number to share, but it includes everything that is  
7 passed through the ports of entry into the United  
8 States, and Customs reported that over a three-year  
9 period they had 318,000 alarms.

10 CHAIRMAN MALMUD: But not from patients  
11 treated with radioisotopes.

12 MR. ESSIG: It would include them, but  
13 they would be a small subset of that. But that's the  
14 only data I have.

15 CHAIRMAN MALMUD: But we have --  
16 Currently, we have no idea of the magnitude.

17 MR. ESSIG: The alarm population is large  
18 and growing, because the sensitivity detector is  
19 getting better, and there are more being deployed, not  
20 only at ports of entry but in the interior of the  
21 United States.

22 CHAIRMAN MALMUD: Of course, in  
23 Philadelphia we have a lot of Federal buildings, and  
24 we tell the patients to stay out of the Federal  
25 buildings, and also not to fly within a week. But

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that is a pearl. I didn't realize we could be as long  
2 as six weeks for these hyperthyroid patients. I  
3 didn't know they were monitoring that closely. I'll  
4 warn the patients about that as well. Thank you.

5 The next item on -- Oh, Ralph?

6 MEMBER LIETO: I just had a question  
7 regarding this information collection. Was this --  
8 The document for the data gathering, was that  
9 developed by NRC or by AHRQ?

10 MS. FLANNERY: Are you referring to the  
11 questionnaire, the attachment with the questions?

12 MEMBER LIETO: Yes, the statistical data  
13 gathering report, Attachment A. Is that theirs or--

14 MS. FLANNERY: The questions were drafted  
15 by the CDC and their contractors. However, they were  
16 screened and reviewed, revised by NRC staff.

17 MEMBER LIETO: Because I think it is a  
18 gross underestimate in how long it is going to take to  
19 complete this. I think there's like over 50  
20 questions. Some of them are multi-part, explain and  
21 so forth. I think 30 minutes is kind of a gross  
22 underestimate in time, but this is just data gathering  
23 or is the inspector -- If he gets an answer that he  
24 doesn't like or thinks is not right, are they going to  
25 be cited for how they respond to this?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MS. FLANNERY: What the inspector is  
2 really evaluating the licensee on is compliance with  
3 35.75. So these questions really are in addition to  
4 what they are being inspected on, if that makes any  
5 sense.

6 MEMBER LIETO: Yes, it does, but I just  
7 see a real danger, because there is going to be an --  
8 There is a lot of room for interpretation on some of  
9 these, and I'm just kind of wondering if someone  
10 doesn't answer right or says I don't know, does that  
11 constitute that they weren't instructed?

12 MS. FLANNERY: There aren't any questions  
13 on the Attachment A there that would really put them  
14 in an area of noncompliance.

15 CHAIRMAN MALMUD: If I may, we have a  
16 comment from a member of the public.

17 MR. WHITE: Hi. Gerald White, AAPM. I  
18 would just like to comment. I think this is going to  
19 come up over and over again before the ACMUI, and I  
20 would like to inform you that the AAPM objected  
21 strongly to the AHRQ process, and I'd like to just do  
22 one brief paragraph from our letter where we said that  
23 their goal, which was "to assure that patients who  
24 activate radiation detectors understand why they emit  
25 radiation and carry the appropriate documentation to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 validate the statements" -- that's their words -- is  
2 troubling.

3 Patients should not bear the burden of  
4 "understanding the medical technical issues related to  
5 their emission of radiation" nor should they be  
6 required to educate security personnel on the subject.

7 We note that creating secure,  
8 authenticated documentation to allow security  
9 personnel to verify the medical nature of the  
10 patients' emissions is at best impractical and most  
11 likely impossible.

12 Rather, the Federal government should  
13 require that radiation detectors used at security  
14 screening locations be capable of identifying the  
15 isotope within the patient, thus allowing the security  
16 staff to verify the medical nature of the emissions.

17 Such detectors are widely available, and  
18 we went on to encourage AHRQ to, in fact, gather data  
19 on the frequency of use of their detectors -- those  
20 detectors and their efficacy. I think we should place  
21 the emphasis on the security personnel and not on the  
22 patients to solve this problem.

23 CHAIRMAN MALMUD: Thank you for that  
24 advice. It certainly does make sense that the patient  
25 not be given the burden, but in the meantime we had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 best give the patients some identification so that at  
2 least they can defend themselves when they are accused  
3 of being potential terrorists.

4 We have another item on the agenda, and  
5 that is the administrative closing and the action item  
6 review. Mohammad Saba. Thank you, Ms. Flannery.

7 MR. SABA: I am going to highlight the  
8 actions and recommendations by the Committee during  
9 this meeting.

10 The first -- There are several actions and  
11 two motions that I have on this paper. But I ask the  
12 Committee and the staff to add anything I have missed.

13 The first action is to send a copy of the  
14 NMS reorganization to the members. I already gave  
15 everybody a copy of reorganization.

16 The second action was the ACMUI agenda  
17 should be amended to add a standing agenda item that  
18 allows the ACMUI a period of time to discuss emerging  
19 medical issues such as imaging agents for breast  
20 cancer. This item was suggested by Mr. Bailey.

21 The third -- Go on.

22 MS. WASTLER: No, this is Sandra Wastler.  
23 I just wanted to clarify. I know that was suggested  
24 by Mr. Bailey, but I'm not sure whether the Committee  
25 agreed that that was a viable recommendation. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 personally would think it would be a great idea, but  
2 from my notes I did not see that it was called for by  
3 the Committee as a whole. Just for clarification.

4 CHAIRMAN MALMUD: You are correct. There  
5 was no motion. We could take the motion now, if you  
6 wish. All in favor of having the informational item  
7 on the agenda for each meeting. Is there a second to  
8 the motion? All in favor. Any opposed? Any  
9 abstentions. Carries unanimously.

10 Thank you for bringing it to our  
11 attention. It is officially a motion. Mohammad?

12 MR. SABA: Okay. The third action was NRC  
13 should consider workshops regarding non-implementation  
14 for licensees. This was suggested by Mr. Lieto.

15 The fourth action item was NRC should  
16 consider listing the ACMUI on the main NRC web page  
17 and add ACMUI to the FSME organization chart,  
18 suggested by Mr. Lieto.

19 The fifth action --

20 CHAIRMAN MALMUD: That also was not a  
21 motion.

22 MEMBER NAG: It's action -- Those were all  
23 action items.

24 CHAIRMAN MALMUD: Yes.

25 MEMBER NAG: There were three or four

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 motions, but these were all action items.

2 CHAIRMAN MALMUD: Please go ahead,  
3 Mohammad.

4 MR. SABA: The fifth action was send ACMUI  
5 a copy of the pre-decisional paper to the Commission  
6 regarding the results of the step actions to identify  
7 problems in authorizing medical physicists under 10  
8 CFR 35. This was suggested by Dr. Williamson.

9 The last -- No, not the last, the sixth  
10 action is the draft non -- The draft not rule should  
11 be sent to the ACMUI at the same time that it is sent  
12 to the agreement states, as well as the non-related  
13 guidance.

14 The last action item is consider revising  
15 the language in Volume 21 guidance.

16 As far as I have, I have two motions. The  
17 first one is NRC should reword guidance in NUREG 15-6,  
18 Volume 21, to state that people who repair the  
19 accelerator be trained by the employers.

20 The second item was the attestation  
21 requirements for all pathways for being RSO be  
22 deleted, i.e., deletion of 35.50(d) from the  
23 regulations.

24 CHAIRMAN MALMUD: Dr. Vetter.

25 DR. VETTER: Yes. There was also a motion

1 to not support William Stein's petition.

2 MR. SABA: Oh, yes.

3 CHAIRMAN MALMUD: Thank you, Dr. Vetter.

4 Were there any other items, Mohammad?

5 MR. SABA: That's it. Oh, the next item  
6 is, as usual, the dates for the next meeting. I have  
7 two suggested dates. Let me get the calendar, the  
8 24th and 25th of April.

9 MEMBER NAG: Would it be possible to have  
10 this meeting along with the meeting with the  
11 Commissioners?

12 MR. SABA: Sure.

13 MEMBER NAG: We would like to try one with  
14 the Commissioners.

15 CHAIRMAN MALMUD: Do we know when the  
16 commissioners are meeting?

17 MS. SCHLUETER: No. At this time, we  
18 would not know the dates of the annual opportunity for  
19 the Committee, but that would be determined probably  
20 about three to four months in advance.

21 So what we will do, through our own  
22 internal process, is to -- if you have a preference of  
23 what month you would like to have your opportunity to  
24 meet with the Commission, we would then base the  
25 meeting around those dates. So we will have to work

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 around their schedule.

2 CHAIRMAN MALMUD: Thank you.

3 MEMBER SULEIMAN: April 24th and 25th is  
4 fine with me.

5 CHAIRMAN MALMUD: That will be April 24-  
6 25. That's a Tuesday-Wednesday?

7 MEMBER NAG: Yes. What was the other  
8 option? You said you had two dates.

9 MR. SABA: Oh, that was 25th and 26th and,  
10 if it doesn't work, the week before.

11 MS. SCHLUETER: Well, as long as you  
12 understand that it will move based upon the  
13 availability of the Commission.

14 MEMBER EGGLI: And, Mr. Chairman, I don't  
15 use a paper calendar. Mine is electronic and, since  
16 I don't have Internet access right here, I can't get  
17 to my calendar to confirm availability for those  
18 dates.

19 CHAIRMAN MALMUD: Okay.

20 MEMBER EGGLI: So I would like to see the  
21 proposed dates come out by e-mail for a response back  
22 to Mr. Saba.

23 CHAIRMAN MALMUD: April 24-25. Thank you.  
24 We will ask Mohammad to do that. Also, will this room  
25 be available?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MR. SABA: Well, we don't know, but we  
2 try.

3 CHAIRMAN MALMUD: You will try? Okay,  
4 because we have had some venues that were less than  
5 satisfactory.

6 MEMBER NAG: Would any of the hotels be  
7 available?

8 CHAIRMAN MALMUD: The Ritz-Carlton in  
9 Virginia is available, but not to us.

10 MEMBER SCHWARZ: I think we are waiting  
11 for the Commission dates are available before we  
12 confirm anything. Correct? Is that what we are also  
13 wanting to do?

14 CHAIRMAN MALMUD: Well, we are booking  
15 these two dates temporarily, yes. I didn't mean to be  
16 flip, Dr. Nag.

17 We have had a problem, as you know. This  
18 turns out to be a very fine arrangement in a  
19 government building with a fine conference room. We  
20 have had less than ideal arrangements. We have had  
21 one meeting in a hotel, but I was told it was  
22 expensive by comparison to this. So we are trying to  
23 conserve our tax dollars.

24 MEMBER NAG: No, what I meant was even  
25 hotels in the area are not available, even when we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 hold it here.

2 CHAIRMAN MALMUD: Yes, that is a problem.  
3 We are having difficulty booking rooms for ourselves,  
4 and the sooner that we can set the date, the better  
5 off we are in terms of trying to book a room at  
6 government rates.

7 Does that complete your agenda, Mohammad?

8 MR. SABA: Yes, that's all I have.

9 CHAIRMAN MALMUD: Well, I would like to  
10 point out that, because of the cooperation of all the  
11 members of the Committee, we were able to conclude the  
12 meeting at 5:20, which is not far off from our goal.

13 In addition, besides thanking all the  
14 members of the Committee, both NRC staff and members,  
15 for their cooperation and productivity today, to thank  
16 the members of the public who took the time to be here  
17 to give us their advice and opinions as well.

18 Also, we welcome aboard Janet, and we once  
19 again wish Tom every success in his move to the West  
20 Coast, a home which has a hot water faucet, a cold  
21 water faucet and a Starbucks Coffee <sup>faucet</sup> closet, being in  
22 Seattle.

23 MS. WASTLER: And, I believe, a gorgeous  
24 view of Mount Baker.

25 CHAIRMAN MALMUD: Yes. Well, that's the

1 way it is.

2 Are we supposed to leave these with you  
3 today?

4 MR. SABA: Yes, please.

5 CHAIRMAN MALMUD: We will. Those of you  
6 who are able to fill these out, leave them with  
7 Mohammad today.

8 Thank you all. We will look forward to  
9 seeing you in the spring, and wish you all a happy  
10 Thanksgiving, a Merry Christmas, a Happy New Year, and  
11 everything else that goes between now and then. Thank  
12 you.

13 (Whereupon, the foregoing matter went off  
14 the record at 5:24 p.m.)

15

16

17

18

19

20

21

22

23

24

25

CERTIFICATE


This is to certify that the attached proceedings  
before the United States Nuclear Regulatory Commission  
in the matter of:

Name of Proceeding: Advisory Committee on the  
Medical Uses of Isotopes

Docket Number: (Not applicable)

Location: Rockville, Maryland

were held as herein appears, and that this is the  
original transcript thereof for the file of the United  
States Nuclear Regulatory Commission taken by me and,  
thereafter reduced to typewriting by me or under the  
direction of the court reporting company, and that the  
transcript is a true and accurate record of the  
foregoing proceedings.



Charles Morrison  
Official Reporter  
Neal R. Gross & Co., Inc.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701