

**Official Transcript of Proceedings**  
**NUCLEAR REGULATORY COMMISSION**

Title: Public Workshop for Discussion of Topics  
Related to the U.S. Nuclear Regulatory  
Commission's Medical Regulations

Docket Number: (n/a)

Location: New York, New York

Date: Monday, June 20, 2011

Work Order No.: NRC-950

Pages 1-236

**NEAL R. GROSS AND CO., INC.**  
**Court Reporters and Transcribers**  
**1323 Rhode Island Avenue, N.W.**  
**Washington, D.C. 20005**

**(202) 234-4433**

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 UNITED STATES OF AMERICA

2 NUCLEAR REGULATORY COMMISSION

3 + + + + +

4 PUBLIC WORKSHOP FOR DISCUSSION

5 OF TOPICS RELATED TO THE

6 U.S. NUCLEAR REGULATORY COMMISSION'S

7 MEDICAL REGULATIONS

8 + + + + +

9 MONDAY,

10 JUNE 20, 2011

11 + + + + +

12 The meeting was convened in the Midtown  
13 Ballroom of Flatotel Hotel, 135 West 52nd Street, New  
14 York City, New York, at 8:30 a.m., SUSAN SALTER,  
15 Facilitator, presiding.

16 PANEL MEMBERS PRESENT:

17 SUSAN SALTER, Facilitator

18 ROBERT DANSEREAU

19 MAUREEN EISNER

20 RONALD D. ENNIS, M.D.

21 MICHAEL HAGAN, Ph.D.

22 HERBERT W. MOWER, Sc.D.

23 JAMES S. WELSH, M.D.

24 RONALD ZELAC, Ph.D.

25

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

## TABLE OF CONTENTS

AGENDA ITEM	PAGE
Opening Remarks/Welcome	3
Agenda/Ground Rules	8
Topic 1: Medical Event Definition	19
Associated with Brachytherapy	
- Panel Presentations	19
- Panel Discussion	72
- Public Questions and Comments	125
Wrap-Up	234

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

P-R-O-C-E-E-D-I-N-G-S

(8:31 a.m.)

OPENING REMARKS/WELCOME

FACILITATOR SALTER: Well, good morning. Thank you all for coming to NRC's workshop to discuss medical regulations. My name is Susan Salter, and I am going to be your facilitator for the workshop. My role as a facilitator is really just to keep us on focus, keep us on time, get as many people to participate as we can, and everybody who wants to participate has an opportunity to do so.

Before we get started, I just want to remind everyone to turn your electronic devices on silent mode. If you need to take a call during the workshop, we certainly understand that, but we ask that you just leave the room and go out into the lobby area to do that so that you don't disturb others and everyone can continue to hear what is going on up at the front.

Restrooms are right out these doors where the refreshments were. Straight in the back, there is a men's room, ladies' room.

If you need anything during the meeting, you can let me know or go to the front desk, where the BL Seamon staff is seated. And they can answer any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 questions or help you with any issues that you might  
2 have.

3 So, with that, I think we are ready. Are  
4 we ready to get started? All right. To get things  
5 started, I would like to introduce Cynthia Carpenter.

6 Ms. Carpenter is the Acting Director for  
7 the Office of Federal and State Materials and  
8 Environmental Management Programs at the Nuclear  
9 Regulatory Commission. And she is going to kick off  
10 our meeting with some opening remarks.

11 MS. CARPENTER: Good morning, everybody.  
12 As Susan said, my name is Cindy Carpenter. And I am  
13 the Acting Director of the Office of Federal and State  
14 Materials and Environmental Management Programs.

15 I want to welcome you to the NRC's  
16 stakeholder meeting on the issues associated with the  
17 medical event definition and other medical issues  
18 associated with 10 CFR part 35 that are currently  
19 being considered for rulemaking. We appreciate all of  
20 you taking the time of your very busy schedules.

21 This workshop is an important event for  
22 the NRC because we're able to hear your perspectives  
23 on the issues that are under consideration and these  
24 issues that are important to us as regulators but more  
25 so as the positions and the other professionals that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 provide medical treatments and to the patients who  
2 receive them.

3 At this time, we would like to extend a  
4 special welcome to our distinguished panelists  
5 representing the Advisory Committee on the Medical  
6 Uses of Isotopes -- also we refer to them as the ACMUI  
7 -- and the agreement state partners, several  
8 professional societies that have joined us, patient  
9 right advocacy groups, NRC staff, and members of the  
10 public that are either here today or also on the  
11 webinar that we are conducting today.

12 The NRC considers public involvement in  
13 our activities to be a cornerstone of being a fair and  
14 strong regulator. We recognize the public's interest  
15 in the proper regulation of nuclear activities.  
16 Consequently, we provide opportunities for stakeholder  
17 participation in our program.

18 Consistent with the NRC's approach to open  
19 government, the agency is committed to providing  
20 meaningful opportunities for members of the public to  
21 participate in our decision-making process.

22 Participation also allows you to  
23 contribute your ideas and your expertise so that we  
24 can make policies and programs that benefit from this  
25 information and any of the perspectives that you share

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 with us today.

2 As you are probably aware, the mission of  
3 the NRC is to ensure the safe and secure use of  
4 radioactive materials. As an independent regulatory  
5 agency, we accomplish our mission by authorizing the  
6 use of radioactive materials through licensing. And  
7 then we oversee that through our assessment program,  
8 our inspection program, and the incident responses.

9 So we are here today and tomorrow  
10 specifically, the Commission directed the staff to  
11 work specifically, with the Advisory Committee on  
12 Medical Uses of Isotopes as well as the medical  
13 community to develop medical event definitions, to  
14 protect the interests of patients, and also allow  
15 physicians the flexibility to take actions that they  
16 deem that are medically necessary while preserving the  
17 NRC's ability to detect misapplication through  
18 radioactive materials.

19 The last ACMUI meeting that was held on  
20 April 11th and 12th was dedicated to many of the  
21 topics that are on the agenda today. And we are also  
22 going to hold a second workshop in Houston, Texas  
23 August 11th and 12th. And this will be in the center  
24 of Houston.

25 So over the next two days, we would really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 like to hear your views and your perspectives and many  
2 of our stakeholders to discuss the definitions of  
3 medical events that are specifically related to  
4 permanent implant brachytherapy, the relaxation of  
5 preceptor attestation requirements, and extending the  
6 grandfathering to certain certified individuals.

7 The staff believes that it would be  
8 beneficial to the development of the proposed  
9 rulemaking language to discuss a number of these  
10 issues and other issues. And many of them are laid  
11 out in the Federal Register notice.

12 So the NRC staff and the Commission are  
13 very interested in your perspectives. And we want to  
14 give a thorough and thoughtful consideration to  
15 whatever we hear from you today.

16 Our main objective today is to listen to  
17 what you have to say, to listen and to learn as much  
18 as we possibly can learn, and take that back with us  
19 as we conduct our rulemaking. So we are looking  
20 forward to a very active participation by all of the  
21 stakeholders.

22 I also want to thank Dr. Malmud, who is  
23 the Chairman of the Advisory Committee on Medical Uses  
24 of Isotopes, for also taking time from his very busy  
25 schedule to be here with us. I would also like to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 acknowledge all of the NRC staff. These workshops  
2 take a lot of time to put together and, in particular,  
3 Mike Fuller. You will be hearing from him today for  
4 taking the leadership role on this one.

5 So thank you again, all, for coming.  
6 Please actively participate. Make sure that we hear  
7 your views as we go forward with conducting the  
8 rulemaking. Thank you.

9 FACILITATOR SALTER: All right. Next I  
10 would like to introduce Mr. Michael Fuller. Mr.  
11 Fuller is the lead for the medical radiation safety  
12 team at the NRC in the Office of Federal and State  
13 Environmental and Management Programs.

14 And, with that, I'm just going to ask him  
15 to come and give us an overview of the agenda and some  
16 more opening remarks.

17 MR. FULLER: Thank you, Susan.

18 AGENDA/GROUND RULES

19 MR. FULLER: As Susan said, I am the team  
20 leader for the medical radiation safety team at the  
21 NRC back in Rockville, Maryland. I want to thank all  
22 of you for coming today and reiterate what Cindy said  
23 about how we recognize that you have to take time out  
24 of your busy schedules for these sorts of things. But  
25 it is very, very important to us to hear from our key

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 stakeholders and members of the public who are  
2 interested in this upcoming rulemaking effort. And  
3 so, again, thank you very much.

4 I would also like to thank our panelists.  
5 I see Dr. Welsh got here. If you want to at any time  
6 come on up. We've got a space for you here on the  
7 first panel.

8 But I want to thank all of the panelists  
9 for taking time out to be with us today. I especially  
10 want to thank Bob Dansereau from the State of New  
11 York. He stepped up. And we had a different person.  
12 Cheryl Rogers from Wisconsin was supposed to be here.  
13 And in the 11th hour, she was unable to make it. So,  
14 Bob, thank you very much for stepping in at the last  
15 minute for Cheryl.

16 I want to reiterate something that Cindy  
17 said. You know, we're pretty early in the rulemaking  
18 process here from the medical event definition as it  
19 relates to permanent implant brachytherapy and some of  
20 the other things that we'll be talking about over the  
21 next couple of days.

22 We as NRC staff members are here. And the  
23 main objective of this workshop is for us to listen  
24 and to hear what you have to say about what we should  
25 do or not to do, but we're here to listen and to learn

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 primarily.

2 So we're not here this week to provide  
3 solutions to problems or recommended approaches for  
4 rulemaking or recommended rules. That will come down  
5 the road as we get closer to development of proposed  
6 rules. We are here to listen to what you, our key  
7 stakeholders and interested members of the public want  
8 to tell us about what we need to understand.

9 I will go over the agenda here for just a  
10 couple of moments. Today's activities are focused and  
11 devoted, I should say, entirely to the medical event  
12 definition as it relates to primary implant  
13 brachytherapy and other issues related to that.

14 And, in fact, the reason we are having  
15 these workshops is because we were directed by the  
16 Commission last fall, late last summer, early last  
17 fall, to hold these workshops to seek public input and  
18 to work with our key stakeholders and the broader  
19 medical community as well as the ACMUI.

20 And we have added day two with some of the  
21 other rulemaking activities that are ongoing again in  
22 the early stages, what we have been referring to in  
23 the last several months as the expanded part 35  
24 rulemaking. There are a number of issues that are  
25 currently in that process.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Today we are going to talk about the  
2 medical event definitions. This morning we are going  
3 to have some series of presentations from the  
4 panelists that you see sitting up here. After that,  
5 we will take a quick break and come back. And then  
6 there will be a panel discussion amongst the  
7 panelists.

8 And that hopefully will carry us on up  
9 until lunchtime or so. I don't think that there will  
10 be any lack of interest amongst the panelists of  
11 having a very fruitful discussion, and we're looking  
12 forward to that.

13 After lunch and for the remainder of the  
14 day, we will have opportunities for those of you in  
15 the audience, members of the public, and through the  
16 webinar folks that are participating that way to  
17 provide us with your comments, your suggestions, your  
18 recommendations, and so forth.

19 I'm going to ask the panelists to sort of  
20 stay up here in the afternoon so that if anyone wants  
21 to ask for clarification on something that they heard  
22 this morning, then they are available to address those  
23 sorts of things. So that will be today. That should  
24 carry us on up into late this afternoon.

25 Tomorrow morning we'll start again at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 8:30. And we will have a number of topics. We will  
2 have another panel discussion to talk about the  
3 relaxation of preceptor attestation requirements and  
4 extending grandfathering to certain certified  
5 individuals. This is commonly referred to as the  
6 Ritenour Petition. That will go in the morning.

7 And, again, it will be a few presentations  
8 and then a panel discussion. And then we'll open that  
9 up for public comments as well. And that will be for  
10 the first hour and a half or so in the morning.

11 And then after a break, we will have a  
12 series of presentations. NRC staff person will  
13 provide a status and background information, some  
14 things that are currently being considered for  
15 rulemaking.

16 And then there will be opportunities for  
17 public comment and suggestions and recommendations and  
18 so forth on each of these. They have to do with  
19 naming associate or assistant radiation safety  
20 officers and also some additional molybdenum  
21 breakthrough testing and reporting requirements.

22 Then late in the day tomorrow, late in the  
23 afternoon, we have a number of other issues and items  
24 that are under consideration for rulemaking. And a  
25 lot of these are administrative in nature and fairly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 straightforward. So we will open it up for public  
2 comment on that late tomorrow afternoon.

3 So that is a little bit of a rundown on  
4 how the two days will go. Susan will keep us straight  
5 -- I am confident of that throughout the process -- as  
6 our facilitator. And everyone should have a copy of  
7 the agenda in your package. So we will just sort of  
8 follow along.

9 So, again -- oh, one other thing I wanted  
10 to mention, everyone has a blue card. And there are  
11 others available. When we get to the public comment  
12 period this afternoon, what we would like for you to  
13 do is to fill out a blue card if you want to make a  
14 comment or recommendation or what have you. We will  
15 have some microphones set up here at the front of the  
16 tables for that after lunch.

17 It also has a place on here to write out  
18 your comment. Now, if you're going to come to the  
19 microphone to make a comment, you don't have to write  
20 out your comment. That is only for someone who if you  
21 -- for whatever reason, you want to make a comment or  
22 you want to maybe ask for clarification or something.  
23 Then you could actually write that out, and somebody  
24 could read it for you or if you just wanted to make  
25 some notes to yourself.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1           But what we need these blue cards for is  
2 we are transcribing this meeting. And so it is very  
3 important that everyone who speaks speaks into a  
4 microphone and also provides us with your name and who  
5 you are affiliated with, if any.

6           And if you will fill out these cards and  
7 then hold them up, myself or one of the other members  
8 of the staff here at NRC will come by and collect  
9 those and get those to Susan. And Susan will have the  
10 names of the people to call to the microphones. It  
11 makes for a very orderly process, we don't have a lot  
12 of people lined up in the aisles waiting to get to the  
13 microphone. Susan can say like "Okay. We have Dr."  
14 so and so, "who is going to provide us with his  
15 remarks. And then after that, we'll have Ms." so and  
16 so "and then after that." So you will know sort of  
17 where you are in the line.

18           We also have yellow cards. These are only  
19 for the purposes of being added to our mailing list.  
20 As we move farther down the road in this process for  
21 rulemaking, there will be opportunities for folks to  
22 participate in various ways in order to keep everyone  
23 informed of where we are in the process.

24           We have a medical list server that we send  
25 e-mails out. So if you fill out a yellow card, if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 you're not already on the NRC's medical list server,  
2 if you fill out a yellow card, we will make sure you  
3 get added to that. And then you will be aware of  
4 where we are in the process.

5 So that's about all I had. Susan? Thank  
6 you.

7 FACILITATOR SALTER: Something else on the  
8 blue cards, if you could hand them in to the front  
9 desk during breaks or at lunchtime, that would be  
10 great. That way we won't come and lose them. But you  
11 can fill out a blue card any time throughout the day.  
12 So even if right now you don't want to make a comment  
13 but you hear a comment that you would like to respond  
14 to, you can fill out a blue card at that time, and you  
15 can give it to one of the NRC folks in the room.  
16 Until that time, if you could drop them off at the  
17 front desk, that will help us from losing them.

18 Also, if you want to make a comment and  
19 you also want to be on the mailing list, you can do  
20 both of those things on the blue card. Yellow card is  
21 only if you're not making a comment and you want to  
22 get on the mailing list.

23 Finally, for blue cards, only fill out a  
24 blue card today if you want to speak today. If you  
25 are going to speak tomorrow, we will ask you to fill

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 out a blue card tomorrow so that we don't get confused  
2 about what day folks want to talk. So that is kind of  
3 the blue card.

4 I would like to take a minute to introduce  
5 Gretchen Rivera-Capella sitting over at the round  
6 table. She is manning our webinar. For folks on the  
7 webinar, you should also if you're hearing me be on a  
8 bridge line on the telephone. And while you can hear  
9 what is going on in this room, we cannot hear you. So  
10 when we get to the public comment portion of the  
11 workshop this afternoon, you will type your question  
12 into the webinar. And Gretchen will read that comment  
13 for the group.

14 If you have trouble, some technical  
15 difficulties while you are on the webinar, you can  
16 also type that in and Gretchen can help, try to help  
17 resolve that or get someone who can resolve that.

18 So that's our webinar. That's the comment  
19 portion. Before we get started with the panel  
20 presentations, just a couple of things that we can all  
21 do to make the meeting run smoothly. As Mike  
22 indicated, we are having the meeting transcribed. So  
23 we ask that you refrain from like sidebar  
24 conversations or conversations at your table because  
25 all of that stuff will be picked up. It will be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 difficult to get an accurate copy of the meeting.

2 So please remember to do that. If you  
3 need to have a conversation, just step outside.  
4 That's fine. We certainly understand that.

5 As Mike said, the beginning, the morning  
6 portion of our meeting is panel presentation and  
7 discussion. And that's really just the time for you  
8 to hear from the panel. We won't be going to the  
9 audience for any comment. During that time, we ask  
10 that you don't shout out because, again, we're trying  
11 to get a good copy of the meeting. And the  
12 transcriber won't know who you are and won't be able  
13 to get that comment. So please hold that until this  
14 afternoon.

15 Let's see. The other thing that I just  
16 wanted to remind everyone about, probably don't need  
17 to, but I am going to anyway, is we have a lot of  
18 different positions and ideas in this room. And  
19 you're going to hear some that you agree with, and you  
20 are going to hear some that you don't agree with. But  
21 we need to keep our passions in check and make sure  
22 that we show nothing but respect for the individuals  
23 in their positions during the next two days. And  
24 hopefully that will really get some good dialogue  
25 going.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           So if there is nothing else, I think we  
2 have covered everything. I think what I am going to  
3 do, you have bios in your packet for all of our  
4 panelists. We have a very distinguished group of  
5 panelists, who have a breadth of knowledge on these  
6 topics.

7           So, rather than me read all of those bios  
8 because you can do that, we also have them posted on  
9 the website -- I am just going to briefly introduce  
10 them as they make their way up to the podium. Your  
11 presentations are on the laptop. Just hit "ESCAPE."  
12 There's a folder. You can pull it up. All the  
13 presentations are in order. If you need some help,  
14 Mike can come up and help you.

15           So our first speaker is going to be Dr.  
16 Ronald Zelac, who is a radiological health and safety  
17 specialist, who has been active in educational  
18 research in applied areas of the field. He is  
19 currently employed as a senior health physicist at the  
20 Nuclear Regulatory Commission. And he presently  
21 focuses on the medical use of radioactive materials,  
22 including regulations, guidance, and implementation  
23 issues.

24           Dr. Zelac is certified by the American  
25 Board of Health Physics and the American Board of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Medical Physics. And he is going to start our panel  
2 presentation off with some background information on  
3 the topic.

4 DR. ZELAC: Thank you, Susan. Everyone  
5 can hear me, I hope? Good.

6 TOPIC 1: MEDICAL EVENT DEFINITION ASSOCIATED

7 WITH BRACHYTHERAPY

8 - PANEL PRESENTATIONS

9 As you just heard, I am going to try to at  
10 least tell you how we got to where we are today with  
11 respect to this regulation. Okay.

12 FACILITATOR SALTER: Here you go.

13 DR. ZELAC: Terrific. I'm a senior member  
14 of NRC's medical radiation team. And that's why I  
15 presume I have been chosen to make this presentation  
16 besides the fact that I have been dealing in this  
17 issue for a good number of years now.

18 I am going to refer to several documents,  
19 which you will have available to you on NRC's website.  
20 And I wanted to tell you a little bit about something,  
21 how you can achieve those documents for your review.

22 Go to the NRC website, [www.nrc.gov](http://www.nrc.gov). There  
23 will be a tab "NRC Library." And when you click on  
24 that tab, you will then see another tab called  
25 "Document Collections." And, clicking on that, you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 will see "Commission."

2 All of the documents that I am going to  
3 refer to today are under that "Commission" tab. They  
4 are either Commission papers or staff requirements  
5 memoranda, what the Commission tells us to do.

6 And for each of these documents, of  
7 course, there is an identifying number. And that  
8 number will begin with the year. For example, 05  
9 means the document was prepared in 2005. And then  
10 there will be another number, which is what it is in  
11 the series, what document in the series.

12 So, with that as an introduction, here we  
13 go. SECY, which means a Commission paper, 05-0234.  
14 You can see what the title of it is.

15 There were several purposes for this  
16 paper. And in this paper, staff recommended that for  
17 all permanent implant brachytherapy medical events  
18 that involved the treatment site, the definition of a  
19 medical event should be in terms of total source  
20 strength variances, not absorbed dose variances. That  
21 is kind of fundamental to how we got started in this  
22 in the direction we were going.

23 Again, just to repeat, then, for medical  
24 events involving the treatment site, the medical  
25 events should be defined in terms of total source

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 strength variances; i.e., differences between what was  
2 achieved and what the physician had intended, not  
3 absorbed dose variances.

4 I am going to take a couple of minutes  
5 here and simply read a bit from that paper, which I  
6 think is instructive. And this is a quotation from  
7 the paper. It kind of gives credit and also tells how  
8 we got to this position. "During its March 2004  
9 meeting, the ACMUI, our Advisory Committee," which you  
10 have heard about already, "considered the issue of  
11 defining medical events involving permanent implant  
12 brachytherapy. It concluded that the plus or minus 20  
13 percent variance from prescription criterion in the  
14 existing rule was appropriate if both the prescription  
15 and the variance could be expressed in units of  
16 activity, rather than in units of dose as there is no  
17 suitable clinically used dose metric available for  
18 judging the occurrences of medical events."

19 To go on, "This paper discusses the basis  
20 for the current definition of a medical event,  
21 confirms that there was an appropriate basis for  
22 applying the 20 percent reporting threshold for  
23 medical events to each medical use modality."

24 That was the purpose, one of the purposes,  
25 of the paper, to look at all medical uses and make the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 determination of whether this plus or minus 20 percent  
2 variance was applicable to all of them.

3 The conclusion was that it was with one  
4 exception, that the current dose-based definition be  
5 retained for the various usage modalities. For that  
6 one exception, permanent implant brachytherapy, the  
7 Commission was asked to approve the staff's plan to  
8 revise the medical event definition and the associated  
9 requirements for written activities to be  
10 activity-based, instead of dose-based.

11 And, as a result of that presentation to  
12 the Commission and their consideration of it, a staff  
13 requirements memorandum, SRM, was issued using the  
14 same numbering as the paper from which it came and  
15 using the same title. And in it, the Commission  
16 approached staff's recommendation.

17 Now we move on to what happened after that  
18 decision of the Commission for the staff to go ahead  
19 with "dose-based being" removed and "activity-based"  
20 being inserted "for permanent implant brachytherapy."

21 A proposed rule was published, SECY 08.  
22 And, of course, when I say, "published," I mean  
23 published in the Federal Register.

24 In this paper, staff provided as well as a  
25 modified rule for the use of total source strength

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 variance, rather than absorb dose variance for  
2 defining medical events for permanent implant  
3 brachytherapy treatments sites.

4 And in the staff requirements requirements  
5 memorandum, which followed this paper, the Commission  
6 approved the proposed rule for publication in the  
7 Federal Register for comment. And it was published,  
8 and there were comments.

9 In consideration of those comments and  
10 during that same time frame, there were some other  
11 events which did occur. And this resulted in a  
12 decision on the part of staff to publish a re-proposed  
13 rule, a modified proposed rule again for public  
14 comment.

15 In this particular SECY, staff provided  
16 the Commission with a re-proposed rule that added back  
17 a dose-based criterion for the definition of medical  
18 events for permanent implant brachytherapy treatment  
19 sites.

20 And, again, with your indulgence, I am  
21 going to read a bit from that paper because I think it  
22 is instructive, "During late Summer and early Fall of  
23 2008, a substantial number of medical events were  
24 reported to the NRC.

25 "The staff reviewed and analyzed the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 circumstances of and data from these events. Based on  
2 its evaluation of this information, including an  
3 independent analysis by an NRC medical consultant, the  
4 staff believes that a number of medical events that  
5 were reported in 2008 would not be categorized as  
6 medical events under the proposed rule published on  
7 August 6, 2008.

8 "This is inconsistent with the original  
9 regulatory intent. The original intent of the  
10 proposed rule was to clarify the requirements for  
11 permanent implant brachytherapy so that licensees  
12 would be able to identify medical events more easily  
13 and in a more timely manner.

14 "An unintended event effect of the  
15 proposed rule would have been that some significant  
16 events would not be identified, categorized, and  
17 reported as medical events." And it goes on, which I  
18 will skip.

19 So that proposed or re-proposed rule was  
20 then presented to the Commission. And the decision of  
21 the Commission was that it would not be published for  
22 public comment, that we would essentially go back to  
23 the drawing boards and have meetings like this to gain  
24 further insight from stakeholders as to the direction  
25 that would be appropriate for the Commission to go

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 with its regulations. And that's why we're here.

2 The Commission disapproved publication of  
3 the re-proposed rule and directed the staff to hold a  
4 series of public stakeholder workshops, then develop a  
5 different medical event definition for permanent  
6 implant brachytherapy.

7 And, again, once more I'm going to read  
8 from this directive from the Commission. It's I think  
9 instructive. "The staff should work closely with the  
10 Advisory Committee on the Medical Uses of Isotopes and  
11 the broader medical and stakeholder community to  
12 develop event definitions that will protect the  
13 interests of patients, allow physicians the  
14 flexibility to take actions that they deem medically  
15 necessary, while continuing to enable the agency to  
16 detect failures in process, procedure, and training as  
17 well as any misapplication of byproduct materials by  
18 authorized users.

19 "The staff should hold a series of  
20 stakeholder workshops to discuss issues associated  
21 with the medical event definition. Areas for  
22 discussion should include but not be limited to  
23 methods for defining medical events which continue to  
24 ensure the safe use of radioactive materials while  
25 providing flexibility to account for medically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 necessary adjustments and the terms and thresholds for  
2 reporting medical events to the NRC and patients."

3           So that's kind of how we got to where we  
4 are today. And the large question, then, if you will,  
5 the challenge of what we are trying to accomplish from  
6 this point forward is to achieve a balance, an  
7 appropriate balance, between what we understand as the  
8 typical position of many in the medical field that a  
9 medical event should be linked with something that  
10 occurred to the patient which is of clinical  
11 significance.

12           And, on the other hand, NRC's need to have  
13 mistakes in the process reported where there turns out  
14 was a variance between what the physician had intended  
15 and what was achieved, even if there isn't an actual  
16 negative consequence to the patient to determine these  
17 process actions which result in what had been intended  
18 not being achieved.

19           I am offering simply a few of these  
20 acronyms and the need for medical events, SECY, Office  
21 of the Secretary; SRM, staff requirements memoranda,  
22 and reiterating what you have heard several times  
23 already.

24           We are here to listen. We are here to  
25 gather information. We are here to hear everything

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that you have to say about this issue before we once  
2 again try to move forward and bring some resolution,  
3 some stability, to where we are in this process for  
4 now and in the future.

5 If you would like to at some point be in  
6 contact with me, there is an e-mail address, there is  
7 my telephone number. I would be more than happy at  
8 any time to hear from you.

9 FACILITATOR SALTER: Thank you, Dr. Zelac.

10 Our next speaker is Robert Dansereau.  
11 And, as Mike indicated, Mr. Dansereau is filling in  
12 for Cheryl Rogers. And we really appreciate him  
13 helping us out at the last minute.

14 Mr. Dansereau is currently the Assistant  
15 Director of the Bureau of Environmental Radiation  
16 Protection at the New York State Department of Health.  
17 He has 18 years of experience in the regulation of  
18 radioactive material and X-ray equipment and 15 years  
19 of experience in nuclear chemistry and handling  
20 radioactive material under broad scope research and  
21 development radioactive materials license.

22 MR. DANSEREAU: Good morning. As Mike  
23 said, I'm here to fill in for Alice Rogers and did  
24 quite a bit of work in gathering the information I'm  
25 going to present to you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 She sent out a survey to the Organization  
2 of Agreement States, which is those states that have  
3 entered into agreement with the NRC to regulate  
4 materials in their states. There are currently 37  
5 agreements states.

6 She did this survey in preparation for  
7 this meeting. And she received 15 responses. I'm  
8 just going to summarize that. Of the 15, 12 states  
9 reported that they did have permanent brachytherapy  
10 events in their state. In terms of regulations, all  
11 the states either have identical or slightly more  
12 restrictive requirements than the Commission.

13 In terms of their inspections, all  
14 inspectors look for the written, WDs, written  
15 directive, and the procedure for the written  
16 directive.

17 Twelve of the states, their inspectors  
18 routinely review patient charts as part of their  
19 inspection. They feel that, nine of the states feel  
20 that, the authorized AMP, authorized medical  
21 physicist, is aware of the reporting criteria. Five  
22 states say that they are waiting for NRC for  
23 additional guidance.

24 This question asks, you know, what do you  
25 consider a medical event in your state. You can see

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 from the responses five states said the D90 criteria,  
2 one D80, one D100. A few said a combination of the  
3 two. Others suggested focusing on physics errors.  
4 And eight said focus on physician errors. There were  
5 some other responses we looked at, too hard to  
6 summarize here.

7 This was an example. If a dose to an  
8 organ or tissue is outside the target volume by more  
9 than 120 percent, do you consider this a medical  
10 event? Seven said yes. One said no. One said, "We  
11 use the criteria we use as 150 percent to a small  
12 volume." And six said, "Other criteria," which was  
13 somewhat a combination of -- excuse me. It was "We  
14 rely on the facility, the physician, the physicist to  
15 evaluate whether this would be a medical event or not.

16 And when asked, "What was your state's  
17 position on the medical event?" -- they could select  
18 from the following options -- two said, "Prostate  
19 medical event is not a high priority because they're  
20 usually successful events, successful treatments.

21 Ten are relying on licensees to report.  
22 Eight felt that most of the authorized users are aware  
23 of the medical event criteria. Nine felt that the  
24 authorized medical physicist is aware of the medical  
25 event criteria. Five are awaiting for additional NRC

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com



1 guidance on the issue. And six have revised their  
2 inspection procedures following the Veterans Affairs  
3 hospital events. And there were three other  
4 responses.

5 In summary, we see the various  
6 interpretations of medical event for the state  
7 regulatory folks, but the regulatory requirements are  
8 very consistent. I believe we are looking at a need  
9 for some training and guidance in general. That might  
10 be for the licensees as well as regulators because the  
11 regulators, we didn't see 15 responses saying that  
12 they felt that the authorized medical physicist and  
13 authorized user are aware of the criteria. So I think  
14 there is a training issue there.

15 The next statement is the last question on  
16 the survey asks, do you have any other information or  
17 thoughts on this idea? I thought it was interesting  
18 that no states mentioned the concept of reporting  
19 based on activity.

20 Wisconsin also -- this is an interesting  
21 thing that Alice Rogers and her staff did. They did  
22 an 11 licensees review, a total of 1,200 cases since  
23 2003.

24 And they identified, the licensees  
25 identified, less than three percent meeting the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 medical event based on the dose criteria. And that  
2 might suggest that the dose-based criteria works.

3 Thank you.

4 FACILITATOR SALTER: Our next speaker is  
5 Dr. James Welsh. And since 2007, Dr. Welsh has served  
6 as one of the radiation oncologist representatives on  
7 the NRC's Advisory Committee on the Medical Uses of  
8 Isotopes.

9 He is currently professor of neurosurgery,  
10 radiology, and radiation oncology at Louisiana State  
11 University Health Sciences Center in Shreveport and is  
12 also an attending radiation oncologist with the  
13 Willis-Knighton Hospital, also in Shreveport.

14 Dr. Welsh earned his medical degree at  
15 Stony Brook School of Medicine and then completed his  
16 residency training in radiation oncology at the Johns  
17 Hopkins Hospital.

18 DR. WELSH: Thank you, Susan. Thank you  
19 all NRC for conducting these workshops, which ACMUI  
20 and many others have suggested and recommended for  
21 quite some time. I expect this will be a very  
22 fruitful couple of days.

23 Just to start off my presentation, I'm  
24 going to review some interesting and relevant material  
25 from our annual ACMUI medical events analysis.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           In 2010, we analyzed the medical events  
2 that were reported that year and not necessarily  
3 exclusively occurring in that year. There were 26  
4 medical events in the 400 series, the manual  
5 brachytherapy series, involving 75 patients.  
6 Sixty-nine were prostate permanent implant  
7 brachytherapy. Eight of these were overdoses.

8           One was excessive dose to normal tissue.  
9 Another one was due to incorrect seed activity. And,  
10 importantly, one of the initially reported overdoses  
11 was retracted subsequently based on post-implant  
12 dosimetry, which underscores the fact that this is not  
13 an exact science.

14           The rest of those reported in this series  
15 were underdoses. Two of the underdoses were  
16 subsequently retracted and were found not to be true  
17 medical events, just as we have asserted could happen  
18 over and over again because of the fact that the  
19 prostate does change its size and shape following an  
20 implant.

21           In these two cases, the prostate  
22 apparently swelled. And upon further reevaluation,  
23 the final dose was within 20 percent and was not  
24 considered to be a true medical event.

25           In 2010, a very unusual occurrence was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reported. It was initially considered a medical event  
2 but then subsequently retracted. D90 was calculated  
3 as less than one percent, which would seem quite  
4 unusual, quite absurd. Something obviously has gone  
5 wrong. But it was not considered a medical event  
6 because 39 out of the 41 seeds were placed within the  
7 so-called target. All of these seeds were implanted  
8 within a few millimeters of the isoline according to  
9 the analysis. The authorized user stated that the  
10 seeds could have been placed in a better location. It  
11 was attributed to poor image quality.

12 But this is something that we or I  
13 personally have said could never happen. And many of  
14 us have asserted that this concept of all the seeds  
15 being bunched and challenging any of the previous  
16 ACMUI definitions just is unrealistic. It's not as  
17 unrealistic as I initially thought. It did happen at  
18 least once here.

19 Well, the fact is that the majority of the  
20 reported medical events in the series documented in  
21 2010 were based on dose; for example, D90. The  
22 question obviously remains, would these labeled  
23 medical events still be considered true medical events  
24 if we used a more appropriate definition, such as the  
25 definitions or activity were source strength

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 exclusively.

2           Importantly, many of these reported  
3 medical events occurred earlier and were reported in  
4 the 2010 period. And apparently many states are  
5 reviewing prostate brachytherapy implant series over  
6 the past several years.

7           And I can tell you that although our  
8 reported terminated in late 2010, the last portion of  
9 2010 included a good number of medical events that  
10 occurred in the years prior. It's just that the  
11 analyses have not been completed by the time we did  
12 our report. And I suspect that there will be very  
13 many more in the year to come because of this.

14           Returning to the important point of our  
15 subcommittee's analysis on medical events, the  
16 subcommittee has asserted in the past and continues to  
17 assert that activity-based metrics remain the  
18 preferable means of defining medical events.

19           Dose-based metrics are fraught with  
20 challenges and difficulties. We are all aware of the  
21 VA events and the re-proposed rule SECY 10-0062. And  
22 these have not changed our opinion that the original  
23 ACMUI definition remains valid.

24           Dose-based metrics are fraught with  
25 challenges and difficulties. And you have heard

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 before and you will hear again challenges that are  
2 anatomic because of volume changes and shape changes  
3 in the prostate due to edema, atrophy, hormone  
4 therapy-related changes, hematoma. And whenever there  
5 is a volume change, this will affect dose because dose  
6 is defined as energy per unit mass. The mass is  
7 related to the volume.

8 If the volume changes, the denominator  
9 changes. The dose changes. And this is a fact that  
10 we have reiterated over and over again.

11 Most members of the subcommittee feel that  
12 the term "medical event" probably should best be  
13 reserved for occurrences that are of true medical  
14 significance. And, therefore, the definition should  
15 be sensitive enough to detect potential harm to a  
16 patient, acknowledging that harm can be due to  
17 overdoses to sensitive tissues and structures but also  
18 that harm could be construed as underdose and,  
19 therefore, not curing the patient of the cancer that  
20 the treatment was intended to do.

21 We also acknowledge and understand and  
22 appreciate that the NRC would like a definition that  
23 is capable of identifying trends and patterns that  
24 might lead to patient harm. But it is also important  
25 to keep in mind that this is medical event definition.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 And whenever you are talking about something medical,  
2 the reality, unfortunately, in this country's medical  
3 and legal environment is that something labeled as a  
4 medical event triggers unnecessary reactions. And the  
5 term "medical event" does have a particularly negative  
6 connotation. And patients who misunderstand and  
7 attorneys who capitalize on this misunderstanding will  
8 take advantage of inaccurate or inappropriate  
9 definitions.

10 The subcommittee feels that post-implant  
11 dosimetry is important and should be performed. There  
12 was unanimity on this point. However, the  
13 subcommittee did have some controversy and internal  
14 debate about any deadline.

15 The 60-day timeline that was proposed is  
16 particularly controversial. A couple of obvious  
17 points are that patient-related factors, such as the  
18 patient not showing up for the planned post-implant  
19 dosimetry clearly should not be a medical event.

20 But even if a 60-day deadline is opposed,  
21 a slight delay beyond 60 days probably should not be  
22 labeled as harshly as a medical event. It is  
23 acknowledged that you can't have the first, insisting  
24 that dosimetry be performed, without some kind of a  
25 deadline because then an inspector or any regulator

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 would come by and say, "Where is your post-implant  
2 dosimetry that is mandatory?"

3           Somebody could say, "Well, in this  
4 particular case, we were going to do it at 2 years,  
5 rather than at 60 days." So it does make sense to  
6 have some type of a deadline. And most of us were not  
7 opposed with the 60-day figure with the exception that  
8 it probably should not be as harsh as a medical event  
9 should it be violated.

10           The subcommittee felt that perhaps  
11 separation of permanent implant brachytherapy into two  
12 categories might be helpful. The first would be that  
13 category in which significant rearrangement of the  
14 implant location can occur during completion of the  
15 surgical procedure, as in lung implants or mesh  
16 implants, and those procedures that do not; for the  
17 most part, prostate implants. So, in essence, this  
18 would be non-prostate and prostate.

19           Another point that the subcommittee  
20 identified as deserving some review is this, the  
21 so-called 50 rem 50 percent rule, keeping in mind that  
22 50 rem is a very, very small dose compared to the  
23 therapeutic doses that are prescribed.

24           If we're prescribing 150 gray for a  
25 prostate, for example, this is a tiny amount, less

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 than one percent. A 50 percent overdose could be very  
2 inconsequential medically to a patient when we're  
3 talking about doses that are very low to begin with.

4 For example, we do not always contour the  
5 femoral head, penile bulb, or small bowel, but if we  
6 did and seed was placed just a few millimeters to the  
7 right, left, superiorly, inferiorly, you could  
8 calculate the dose to the femoral head as being maybe  
9 50 percent higher than it would have been and possibly  
10 wind up in a medical event situation, even though  
11 we're talking about doses that are extremely low in  
12 the first place and not likely to cause any harm to a  
13 patient.

14 Additionally, we recommend that the units  
15 in this section B reviewed and revised, but overall it  
16 might be preferable to just drop this holdover from a  
17 prior era.

18 ACMUI and the subcommittee acknowledge  
19 that the NRC may continue to insist on a dose-based  
20 metric, despite our recommendation. We do advocate  
21 and continue to advocate activity-based, source  
22 strength-based metrics and definitions. However, if  
23 an alternative is sought based on dose, we propose  
24 this for the target, wherein D90 is less than 70  
25 percent of the CTV and, a Boolean AND, less than 5

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 percent of the sources occupy any octant of the PTV  
2 except by intent, which would be documented in the  
3 written directive.

4 For normal tissues, the bladder and rectum  
5 D5 would exceed 150 percent of the prescription dose  
6 or the D5 of the urethra would exceed 150 percent of  
7 its value on the planned and approved dose  
8 distribution.

9 This definition certainly would catch any  
10 event where all of the seeds were bunched, as in the  
11 hypothetical scenario that was proposed a year ago.  
12 And apparently something similar has occurred and  
13 reported in 2010.

14 This definition would not signify as a  
15 medical event any implant in which the sources are  
16 missing an octant provided the dose coverage is above  
17 70 percent. And it would not signify as a medical  
18 event anything that an octant is devoid of seeds if it  
19 is intended, for example, when the authorized user  
20 wants to spare the anterior portion of the prostate.

21 I will conclude by stating some of the  
22 obvious points about the overall safety of permanent  
23 implant brachytherapy and prostate brachytherapy, in  
24 particular.

25 Out of 20,000 some odd procedures, there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 were 69 medical events. And this amounts to 0.33  
2 percent. It's a low figure, but it's not low enough.  
3 There were far fewer patients that were actually  
4 physically harmed by prostate brachytherapy than even  
5 this apparently low figure would suggest.

6 0.33 percent is superficially low, but  
7 it's probably grossly beyond what the true potential  
8 harm is. And, as our previous speaker has mentioned,  
9 three percent in the series analyzed in Wisconsin is  
10 far, far above what many of us who practice  
11 brachytherapy would consider realistic.

12 So it is safe, but the definition is  
13 putting some challenges on our practicing community.  
14 And, as an example of how important this challenge is,  
15 in 2004, there were approximately 190,000 prostate  
16 cancer treatments and 41, almost 42 thousand seed  
17 implants. About 22 percent of patients who got  
18 treated were done so with permanent seed implants.

19 If you fast forward just 5 years, keeping  
20 in mind the intervening VA series and the publicity  
21 surrounding that, there were 219,000 cancer  
22 treatments, prostate cancer treatments, but only  
23 17,000 permanent seed implants, which means a  
24 significant drop from 22 percent down to 8 percent.  
25 And this might mean that a very important, valid,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 safe, and effective treatment for prostate cancer is  
2 slowly but surely vanishing right before our eyes.

3 We understand that there are competing  
4 modalities that might be causing this, but I think  
5 many of us can't escape the conclusion that the  
6 reported series must have something to do with this,  
7 too.

8 So it is imperative that we get this  
9 definition correct. And hopefully we will come upon  
10 some important points that will help us over this  
11 workshop.

12 Thank you.

13 FACILITATOR SALTER: Thank you, Dr. Welsh.

14 All right. Next I would like to call Dr.  
15 Michael Hagan to make his presentation. Dr. Hagan is  
16 currently the Veterans Health Administration's  
17 National Director for the Radiation Oncology Program.  
18 He's a graduate of the United States Military Academy  
19 in West Point, New York and earned a graduate degree  
20 in nuclear engineering health physics and a Ph.D. in  
21 biophysics, radiation biology, both from the  
22 University of Illinois in Urbana.

23 He completed his medical degree at Baylor  
24 College of Medicine in Houston, Texas and is  
25 board-certified by the American Board of Radiology.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 I would remind you that full bios for all  
2 of our panelists are in your packet. And I believe  
3 presentations are out on the table if anybody would  
4 like a copy and didn't get one.

5 DR. HAGAN: Thank you. Thank you for the  
6 introduction. Thank you for inviting me to  
7 participate in the panel.

8 In the interest of time, I'm just going to  
9 launch into a presentation I usually get through in  
10 seven minutes with one more cup of coffee than I had  
11 this morning. And hopefully it won't be too much over  
12 that.

13 You heard about the 2005 activity of the  
14 ACMUI. In 2009, after the VA's initial evaluation of  
15 implants at Philadelphia, I recognize that not only  
16 had they used an absorbed dose metric that the ACMUI  
17 had recommended against, but they had used it in a  
18 flawed manner.

19 And so the VA assembled a blue ribbon  
20 panel of the country's experts in prostate  
21 brachytherapy. That panel was responsible for  
22 thousands of implants among them and over 500 papers  
23 on prostate brachytherapy.

24 The panel agreed with ACMUI's  
25 recommendation in 2005, recommended that the VA

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 incorporate an activity metric and defined that metric  
2 for the Under Secretary, at the same time recommended  
3 a reevaluation of Philadelphia applying both the  
4 activity metric and a D90 evaluation that attempted to  
5 correct for the flaw that was used in the initial  
6 evaluation.

7 This morning I want to show you why that  
8 panel of experts condemned the VA's absorbed dose  
9 metric and why it supported ACMUI's recommendation in  
10 2005.

11 The first slide shows you the related  
12 reporting requirements for manual brachytherapy  
13 procedures. Note that the reporting of deviations  
14 greater than 20 percent is also accompanied by a  
15 requirement to report excess dose to nontarget  
16 tissues.

17 I will show you that while the first of  
18 these requirements is usually addressed by an activity  
19 metric, it's nearly impossible to approach using an  
20 absorbed dose metric. But the second piece, nontarget  
21 tissues, can be easily handled with an absorbed dose  
22 metric. And, in fact, this has been done for prostate  
23 brachytherapy.

24 The flawed evaluation that the VA used was  
25 to use a D90 and to use a D90 applied to a set of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 images that were inappropriate. D90 is a global  
2 estimate of prostate dose, of the many absorbed dose  
3 measures examined in the critical literature. Minimum  
4 dose of 90 percent of the target tissue; that is, the  
5 D90, has been the most useful for clinical reporting  
6 but has been reiterated as late as the Fall of 2009 by  
7 task group 137 from AAPM that the use of D90 and  
8 recommendation of D90 is a clinical recommendation and  
9 is not to be used for regulatory evaluation. In fact,  
10 the earlier report from the task group 64 specifically  
11 stated that within the task group report.

12 Here in front of you is a prostate implant  
13 that identifies and demonstrates some of the  
14 parameters that are problematic for the application of  
15 D90. While this particular implant has a D90 of 95  
16 percent of the prescription dose, note that the  
17 interior of this prostate, more than half of it, is  
18 being dosed at greater than 150 percent of the  
19 prescription dose.

20 The reporting requirement is that we dose  
21 within 20 percent of the prescription dose. Using D90  
22 makes you believe that the D90 value can be within 20  
23 percent of a prescription dose, but the actual  
24 physical dose to the prostate is very heterogeneous,  
25 involves substantially greater dose than the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prescription dose.

2           Also, as an aside, note that this  
3 particular dose distribution is valid only for one day  
4 in the lifetime of this implant. That point will  
5 become significant on later slides.

6           The other thing to notice here, two  
7 things, one is that D90 is based on a red contour that  
8 you can see there. And that is a physician's guess at  
9 where the prostate ends on this CT. So while the CT  
10 shows bony anatomy very nicely and can show soft  
11 tissues to some degree, demonstrating where the  
12 prostate starts and stops is such a problem that we  
13 have studied that within the literature as a separate  
14 entity of itself. And I direct you to Robert Lee's  
15 publications on that issue.

16           Also note that the separation between the  
17 D90, which represents excellent coverage, that green  
18 isodose, which is outside the prostate, and the blue  
19 isodose, which would indicate a medical event, is less  
20 than three millimeters, one to two millimeters in  
21 places. And, yet, the amount of swelling that we  
22 would see in a prostate can easily be five to six  
23 times that amount.

24           The next slide shows you a palladium  
25 implant, where swelling is the issue. Here the D90

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 was determined immediately following surgery. And,  
2 although the prostate was only increased to 35 cc, 20  
3 percent from the volume study, you can see that this  
4 reduced the D90 to 80 percent, which makes it  
5 reportable.

6 Thirty days after the implant, a  
7 redetermination found the D90 to be 99 percent. So at  
8 30 days, this implant was not a medical event. Yet,  
9 the AU, the authorized user, has done nothing in the  
10 interim. So what if this patient didn't come back at  
11 30 days? Was this a medical event because of the day  
12 one evaluation? That was the flawed application in  
13 Philadelphia.

14 Which D90 actually represents the actual  
15 dose delivery? I mentioned that these images occur as  
16 only one point in the trajectory of the dosing of the  
17 prostate. For palladium, most of the dose was  
18 delivered in the first 17 days. Over half of it has  
19 been delivered long before this patient shows up for  
20 the 30 days.

21 So which of those two images reflected the  
22 dose that the prostate received, the day one, medical  
23 event criteria, or the day 30, which did not?

24 Okay. Here is a similar evaluation of an  
25 I-125 implant. Here immediately post-op, there is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 quite a bit of edema. But the D90 is 94 percent  
2 because of the physician's design. However, when this  
3 patient comes back at 30 days, the D90 is now 120  
4 percent. Is this a medical event?

5 I present right below it the current  
6 criteria for the only phase 3 cooperative group  
7 protocol for prostate brachytherapy that asks the  
8 physician to design D90 to be between 90 and 130  
9 percent.

10 So that is considered a per-protocol  
11 excellent implant. And there is no practitioner that  
12 would argue that a D90 of 120 percent is a defective  
13 prostate implant.

14 So these global measures have several  
15 properties that make them difficult for regulatory  
16 evaluation, highly variable through operator  
17 dependence; i.e., the contouring.

18 Part of it will reflect clinical outcome;  
19 that is, our best estimate of these global measures  
20 that track clinical outcome, is the D90, but the  
21 validity of that tracking is minimal. And the ability  
22 of D90 to reflect clinical outcome has been poor every  
23 time we have examined it within the literature.

24 It also lacks precision, this 20 percent  
25 precision, which was required for regulatory

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 evaluation and specifically has been commented on in  
2 terms of clinical but not regulatory measures by the  
3 AAPM relevant task groups.

4           However, prescribed dose, the definition  
5 which is currently in the part 35, allows 2 categories  
6 of dose for manual brachytherapies: total source  
7 strength time exposure time or dose relating to  
8 absorbed dose.

9           So what is this activity metric identified  
10 by ACMUI, recommended by the VA's blue ribbon panel,  
11 and also presented to the commissioners by a  
12 delegation from ASTRO in the spring? Total source  
13 strength, activity-based metric, measures the  
14 physician's performance, which you have heard a couple  
15 of times already from the platform this morning.

16           Prior to completing the implant, the  
17 authorized user identifies the treatment site and the  
18 total activity to be inserted. During the implant and  
19 afterwards through imaging, the authorized user  
20 determines where seeds have been placed.

21           If 20 percent have been placed outside of  
22 that treatment site, then that is a medical event by  
23 the activity metric. It's a simple one to apply.

24           Here on these two slides, examples of how  
25 the activity metrics rises to the occasion. This is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 practitioner who has decided to spare the anterior  
2 prostate, has also been mentioned this morning.

3           You can see on the far left some  
4 pathologic data that support that notion. This  
5 clinician has placed his seeds in a predetermined  
6 pattern, somewhat in the middle. The actual CT shows  
7 the seed placement with the anterior sparing on the  
8 right. And for this implant, 100 percent of the seeds  
9 have been placed within the target site. The D90 for  
10 the entire prostate is about 69 percent for this  
11 particular prostate.

12           This practitioner had no desire to treat  
13 this patient based on a D90. And the pre-operative  
14 consent and the operative note both reflect that the  
15 physician had no intention of treating the anterior  
16 prostate of the patient and told the patient that  
17 prior to the procedure.

18           So this is an implant conducted not based  
19 on absorbed dose but based on seed positioning. And  
20 although I may personally disagree with that practice,  
21 this patient five years later has an undetectable PSA.  
22 So you certainly can't argue with the outcome and the  
23 logic for it, and this is not unusual in the practice  
24 of brachytherapy today.

25           The next slide shows two cases of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 physicians who differ quite markedly in their  
2 particular style. The first puts all of the seeds  
3 within the prostate and actually uses seeds of a  
4 different activity around the core or the urethra,  
5 making it nearly impossible for a post-implant  
6 evaluation to determine D90 with any accuracy and also  
7 opening up the change that come with the swelling of  
8 the prostate. So the swelling of the prostate  
9 produces large D90 changes when essentially all of the  
10 seeds are placed within the prostate or the target  
11 volume.

12 Below that shows the style of a  
13 practitioner that uses seeds of a greater activity,  
14 places many, if not most, of the seeds outside of the  
15 prostate. The D90 is very insensitive, then, to edema  
16 changes in the prostate, but in each case, the  
17 activity metric works very nicely.

18 Both practitioners have defined the  
19 treatment site in their written directive and in their  
20 consent form. Both practitioners have in the  
21 operative note evaluated the seed placement with  
22 regard to their intent and signed in writing that the  
23 seed distribution was as they applied it.

24 In both cases, the series from these  
25 institutions do very well, although you can see there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 are radical differences in these physicians' designs.

2 So, in conclusion, for the implants to  
3 work and be evaluated successfully in terms of our  
4 regulatory metric, an absorbed dose metric lacks the  
5 objectivity and the precision to be able to apply this  
6 plus or minus 20 percent.

7 The correct placement of seeds alone is  
8 what we can hold the authorized user to account for.  
9 This is what he can control in the operating room.  
10 Controlling the dose depends on the volume changes of  
11 the prostate. And controlling the dose on any  
12 particular day is determined by the time course edema  
13 resolves after the implant. So 60 days will work for  
14 most patients in terms of resolution of edema, but  
15 there are some for which that will not.

16 The estimation of edema half-life goes  
17 from 4 to 30 days. So we need a metric that applies  
18 to everyone. Hold the authorized user to account for  
19 the use of the byproduct material as he intended, not  
20 to our favor, dose distribution.

21 Thank you.

22 FACILITATOR SALTER: Thank you, Dr. Hagan.

23 Our next speaker is Dr. Ronald Ennis. Dr.  
24 Ennis currently serves as Director of the Department  
25 of Radiation Oncology at St. Luke's-Roosevelt Hospital

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 in New York City. He is also an Associate Director of  
2 Continuing Cancer Centers of New York and Associate  
3 Professor of Albert Einstein College of Medicine.

4 As a radiation oncologist, Dr. Ennis has  
5 treated over 1,500 prostate cancer patients with  
6 permanent implant brachytherapy and published many  
7 articles on prostate cancer, including several on  
8 prostate brachytherapy.

9 Dr. Ennis has also served on the ASTRO  
10 Government Relations Committee since 2006.

11 DR. ENNIS: Good morning, everyone. Thank  
12 you for inviting me to participate in this panel.  
13 Thank you for the opportunity to make a statement on  
14 behalf of the American Society for Radiation Oncology.  
15 I am Dr. Ron Ennis, as you heard. And you have heard  
16 my bio a minute ago.

17 So ASTRO, whom I am representing, is the  
18 largest radiation oncology site in the world with over  
19 10,000 members who specialize in the treating of  
20 patients with radiation therapies.

21 As a leading organization in radiation  
22 oncology, biology, and physics, the society is  
23 dedicated to improving patient care through education,  
24 clinical practice, advancement of science, and  
25 advocacy. ASTRO's highest priority has always been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 ensuring patients receive the safest, most effective  
2 treatments.

3           ASTRO believes the current definition of a  
4 medical event for permanent prostate brachytherapy  
5 when it arrives as an estimated absorbed dose is  
6 particularly problematic and requires practitioners to  
7 report events that are medically acceptable.

8           Under part 35, section 35.3045, as we have  
9 heard, it is deemed a medical event if the total dose  
10 delivered differs from the prescribed dose by 20  
11 percent or more.

12           ASTRO believes that such a rule is not  
13 appropriate for prostate brachytherapy. If the NRC  
14 definition is rigidly applied, many medically  
15 acceptable and appropriate implants will be deemed  
16 medical events, treating unnecessary patient  
17 apprehension about physician quality.

18           Furthermore, we are concerned that  
19 dose-based measures are medically inappropriate and  
20 encumber a regulatory body, such as the NRC itself and  
21 the licensing bodies with clinically irrelevant and  
22 costly investigations.

23           A dose-based definition of medical event  
24 is not suitable for prostate implant brachytherapy.  
25 It should also be noted that a medical event is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 significant event in the life of the physician. The  
2 regulatory scrutiny internally within the department,  
3 the hospital, and externally is probably appropriate  
4 for serious events that have actually occurred.

5 One can envision physicians deciding to  
6 avoid such problems to the detriment of the patients  
7 at large. Prostate brachytherapy is an outstanding  
8 treatment for prostate cancer with extremely high  
9 success rates and very low complication rates compared  
10 to most of the other therapies that are available.

11 Furthermore, in our current medical  
12 environment, we cannot ignore the fact that it is by  
13 far the most cost-effective treatment for early  
14 localized prostate cancer. We run the risk of  
15 regulating this extremely effective and -- both  
16 effective from a clinical and cost-effective point of  
17 view treatment regulating it out of existence without  
18 being more careful about the regulations.

19 It is important to also understand that  
20 normal cells tolerate radiation better than cancer  
21 cells. So some exposure of normal tissues to  
22 radiation doses are perfectly acceptable. This is how  
23 radiation is a successful treatment.

24 Safe levels of radiation depend on the  
25 doses, the duration of the treatment, the tissue size

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that is exposed to radiation, and the actual tissue of  
2 organs. Different tissues have different radiation  
3 sensitivities.

4 There is also inherent variability in the  
5 radiation sensitivity of individual patients, most of  
6 which we still do not understand. The only way to  
7 know what is actually safe or dangerous and, thereby,  
8 inform a medical event definition, is to study  
9 patients, what happens to them, and try and determine  
10 the relationships between dose, volume, tissues, and  
11 individual variability.

12 At present, we do not have enough  
13 information of a sophisticated enough nature and a  
14 definitive enough nature to make any strong  
15 recommendations. Even though D90 that we have talked  
16 about before is not proven to be an absolute  
17 definition of success or failure, many patients, many  
18 patients with a D90 less than the holy grail of 90  
19 percent are cured and do perfectly well. Many studied  
20 in the literature show D90 to be a predictor, but  
21 there are actually several that do not and show other  
22 dose levels or no dose level at all as a good  
23 correlation.

24 So this is an evolving science. This is  
25 not given to us as a "This is how to do it, and it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 end of story." It is an evolving science.

2 Similarly, when it comes to dose to normal  
3 tissues, the doses that are safe or unsafe are very  
4 poorly defined. There are some papers that suggest  
5 certain doses, but they really are very poorly  
6 defined.

7 So we need a definition that really  
8 captures what we know now. And it could be obviously  
9 modified in the future. But we need to pay attention  
10 to what we do know and what we do not know. We do not  
11 know in a well-defined way what dose is actually safe  
12 or unsafe and for most of the circumstances.

13 This is what allows practitioners in good  
14 faith to have different styles, as you heard about  
15 before, different ways of applying that literature to  
16 their patients, and different types of patients. And  
17 not only is the physician involved with the patient as  
18 well, patient may be more concerned about one toxicity  
19 versus another. And I might, therefore, decide to do  
20 my implant a little bit different for him.

21 So, for example, I think these couple of  
22 figures will be helpful here. So this is a prostate  
23 demonstrating the prostate and the typical prostate  
24 cancer, where there is more than one tumor in the  
25 prostate, also typical in that you see the tumors are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 on the periphery or the edge of the prostate.

2 Now, the normal tissues are very close to  
3 the prostate. You see those blood vessels and nerves  
4 on the left and right corners there. And those are  
5 important for sexual function of the rectum, which is  
6 not on the cartoon, is right behind the prostate on  
7 the bottom there. But right at the edge there would  
8 be the rectum.

9 Now, if I had a patient, for example, who  
10 was very concerned about sexual function and wanted  
11 this treatment for that, I might purposely be careful  
12 to not give any dose or very little dose to those  
13 neurovascular bundles. Well, that might cause me to  
14 compromise my D90 a little bit, but for the patients'  
15 goals, that might be the right thing to do, especially  
16 if I could be pretty comfortable there is no cancer  
17 over there based on neuroimaging or biopsies that were  
18 done. If I am restricted from that flexibility as a  
19 practitioner, that's not just to my detriment but to  
20 the patients' detriment.

21 Hopefully you can notice the difference in  
22 the size of these two prostates. And, as you have  
23 heard before, the change in the size and shape of the  
24 prostate, as I am trying to demonstrate in these  
25 cartoons and as others have mentioned before, is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 crucial issue in defining things by D90.

2 The missing piece, just to clarify, is the  
3 dose from each seed is very intense, but the energy  
4 and, therefore, the depth of penetration is very low.  
5 Each seed's dose travels only a few millimeters.

6 So it is very sensitive to positioning.  
7 And this is a crucial issue in trying to use a  
8 dose-based measurement because small changes in the  
9 size or shape of the prostate, small changes in the  
10 location of a particular seed can change these metrics  
11 dramatically. You have seen some examples before.

12 In this example, the prostate swelled a  
13 tremendous amount. Now, I as the implanter of this  
14 patient -- for example, this is just a cartoon, of  
15 course, but I cannot predict how much swelling an  
16 individual patient will have. So it's not just that  
17 it might swell, it might not swell. I could easily  
18 have an event through something I have absolutely no  
19 way of predicting and absolutely no control over.

20 One patient's prostate might swell five  
21 percent. If I implant his intensely because I am  
22 afraid he is going to have a 30 percent edema, he is  
23 going to have an "overdose" depending on how you  
24 define that; similarly, someone whom I implant less  
25 intensely but then has a marked increase in the edema

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 of the prostate.

2 So we have to when we do our implants  
3 understand and plan accordingly. And, therefore, we  
4 need a fair amount of flexibility in the dose  
5 prescribed to do a good job for each individual  
6 patient.

7 The cartoon also here demonstrates the red  
8 spots, you know, the hematomas, but they are actually  
9 much larger than that and can space seeds apart.

10 The other thing that is important to  
11 understand here is how that the tumors are on the  
12 periphery of the prostate. And that is crucial  
13 because the tissues are also on the periphery.

14 So I want you to understand the delicate  
15 balance a physician is trying to achieve when he  
16 implants or she implants seeds in the prostate. And  
17 the dose, you want to confine the dose to the  
18 prostate. You want to get the tumors with very high  
19 doses. But you do not want too much dose to the  
20 normal tissues.

21 And that balance is challenging. And we  
22 need metrics and definitions that are flexible enough  
23 and forgiving enough to allow this highly effective  
24 treatment to move forward and advance.

25 Just to further clarify some of the points

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 made briefly before, these are just to show you some  
2 images just to put you in the physician's position to  
3 understand.

4 So on the top are two ultrasound images.  
5 And these are pretty clear. So I think most of us,  
6 even if you had not seen this before, could outline a  
7 prostate accurately. But I challenge you to look at  
8 the images on the bottom, in the bottom left, and tell  
9 me where you think the prostate starts and ends.

10 Now, I can tell you that if I contour that  
11 one way, a D90 will be very different than if I  
12 contour it in another way and all in good faith.  
13 Different people, as alluded to before, -- Robert Lee  
14 has shown this in the literature -- can in good faith  
15 contour the prostate differently and get very  
16 different dose metrics.

17 Similarly here -- and this is if you look  
18 at the top of the ultrasound. So this is towards the  
19 apex or the bottom of the prostate. And, again, where  
20 that apex is, how you define that on your ultrasound  
21 will have a huge impact on what you do. And it's  
22 ambiguous.

23 That doesn't mean this treatment shouldn't  
24 be done, of course, but we have to understand the  
25 realities of what we're dealing with and make sure our

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 definitions match, similarly on the CT at the bottom.

2 So finally, as mentioned before, the  
3 dose-based definitions are intrinsically different  
4 because of the edema problem and the change in  
5 prostate volume and shape over a period of time. The  
6 day one CT scan will give a markedly different  
7 dosimetric outcome than a day 30 implant or a day 60  
8 post-implant CT or day 90 post-implant CT.

9 And, again, as yet, it's not clear what is  
10 the optimal time that that should be done. There is  
11 tremendous controversy in the field among various  
12 practitioners. And there are arguments for and  
13 against the different time intervals.

14 So, to define a medical event on the basis  
15 without some science behind it, without clear  
16 definitions seems inherently fraud. Therefore,  
17 instead of a rule based on absorbed dose, ASTRO  
18 recommends a target-based definition, with 20 percent  
19 of source strength implanted outside the planting  
20 target volume as an appropriate definition of a  
21 medical event for regulatory purposes.

22 This is what is in control of the  
23 practitioner at the time of the seed implantation and  
24 is independent of the problems noted above regarding  
25 prostate volume changes and imaging issues.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1           ASTRO believes that a target-based  
2 definition is a necessary change to ensure that those  
3 implants that could potentially cause serious patient  
4 harm are characterized as such and want those  
5 identified but that those that are not -- but not  
6 defining those that are actually medically acceptable  
7 as medical events.

8           We appreciate the NRC's deliberations on  
9 this issue and look forward to working with the  
10 Commission to revise the definition so that patients  
11 have access to the medically appropriate procedures  
12 they need.

13           Thank you.

14           FACILITATOR SALTER: Thank you, Dr. Ennis.

15           Our next speaker is Dr. Herbert Mower.  
16 Dr. Mower currently serves as the Director of  
17 Radiation Therapy Physics at the Lahey Clinic located  
18 in Massachusetts. He received his doctorate degree  
19 from MIT and is board-certified in radiation oncology  
20 physics by the American Board of Medical Physics and  
21 in therapeutic radiological physics by the American  
22 Board of Radiology. He is a fellow of the American  
23 College of Medical Physicists and the American  
24 Association of Physicists in Medicine.

25           DR. MOWER: Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           There were a few questions that we were  
2 asked.    And I would like to address those very  
3 briefly.   Should the regulations have a specific  
4 section for prostate implant brachytherapy, rather  
5 than combined with all of the permanent implant  
6 brachytherapy?  The response of the AAPM is no.  It  
7 should apply to all, to any permanent implant  
8 brachytherapy, not just to prostate.

9           Should the criterion for defining a  
10 medical event for permanent implant brachytherapy be  
11 activity-based only?  AAPM strongly says yes on this  
12 and that the written directive should be at the time  
13 of the implant.  This is because prior to the implant  
14 when the doctor first looks at the prostate and sees  
15 it ordered, you may see one size but due to taking  
16 various hormones and whatnot, the size of the prostate  
17 may change in between.

18           And what you want to treat on the day of  
19 the implant is what is there on that day, not what was  
20 there two, three, four, six, eight months prior to  
21 that.

22           Also, we do frequently do real time  
23 planning in the operating room.  The physician is,  
24 therefore, aware of exactly what it is that he is  
25 trying to treat at that time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           Should the criterion for defining a  
2 medical event for permanent implant brachytherapy be  
3 dose-based only? No says the AAPM but activity-based.

4           Should it be a combination of the two?  
5 No. Activity-based.

6           Should the NRC require training on how to  
7 identify medical events? Our feeling is no. This is  
8 part of what is done in the overall training to our  
9 staff each year. And it's written up in the  
10 licensee's license as part of their training program,  
11 which can be reviewed by the NRC, but there is no  
12 reason for the NRC to be doing that training.

13           Major professional organizations have  
14 recommended standards for when a dose to the treatment  
15 site for permanent prostate implants is assessed. NRC  
16 staff is considering adding a time requirement to the  
17 regulations for this purpose. What is the appropriate  
18 time frame?

19           And we said, for various reasons, that it  
20 should not be a time frame. This can vary from the  
21 same day to one month depending on quantification  
22 availability for individual licensees, whether or not  
23 a patient is able to make it back at a future period.  
24 So you may decide to do it earlier for one patient  
25 than another.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           We have a fair number of patients at our  
2 institution that come from out of the country. And  
3 trying to target them to come back at a specific date  
4 or a very narrow range of dates for a follow-up CT and  
5 evaluation is often difficult, if not impossible.

6           And then if you had something like 9/11,  
7 what does that do to the whole thing? Does everybody  
8 end up with a medical event because all the airplanes  
9 are canceled for several days and people can't get to  
10 your facility?

11           One of the other things the AAPM would  
12 like to recommend to the NRC is that as we go forward,  
13 we use the term "source strength," rather than  
14 "activity," current standards for the professional  
15 international society organizations.

16           And supposedly we went to the SI Unit  
17 several years ago. And we would kind of like to see  
18 that this not be on the level of the United States as  
19 things were when we went to the scientific units and  
20 whatnot, rather than English units, for various  
21 things, which, of course, we all know happened legally  
22 by Congress.

23           We changed over to the metric system just  
24 prior to the war, Civil War. And we haven't quite  
25 caught up yet in the United States with what we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 decided to do way back then. And we would think that  
2 we should be a little bit faster in going with the  
3 scientific units.

4 FACILITATOR SALTER: Thank you, Dr. Mower,  
5 for getting us back on time. Our next panel  
6 presentation will be from Maureen Eisner. Ms. Eisner  
7 is presently the Director of Patient Advocacy and  
8 Medical Ethics at Westchester Medical Center and is on  
9 faculty at New York Medical College and William  
10 Patterson University.

11 She earned her Master's in health advocacy  
12 from Sarah Lawrence College and has been involved in  
13 patient advocacy and bioethics for almost 20 years.  
14 She participated in the first clinical ethics  
15 credentialing and privileging project in the United  
16 States and currently serves as the Co-Chair of the  
17 Ethics Committee at Westchester Medical Center.

18 MS. EISNER: Thank you.

19 I just wanted to start with the definition  
20 of a health advocate. According to Sarah Lawrence  
21 College, advocates support and promote the rights of  
22 the patient in the health care arena, help build  
23 capacity to improve community health and enhance  
24 health policy initiatives focused on available, safe,  
25 and quality care. And I think that is the reason that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 everybody is here today.

2 Activity-based versus dose-based.  
3 Certainly the objective here I believe is protecting  
4 the patient from harm while trying to give curative  
5 treatment, which is the primary objective. If harm  
6 has occurred, disclosure should be mandated unless it  
7 goes under therapeutic exception. This is certainly  
8 as disclosure to the patient.

9 If therapeutic exception exists, then this  
10 should be disclosure to a surrogate always. Dosing  
11 needs to be high enough to be curative but with the  
12 least amount of complications.

13 Minimum activity and maximum activity of  
14 the seed should be used as part of the consideration  
15 of how to handle corrective treatment in the future  
16 care of the patient. And I think that is why it is so  
17 important to look at both.

18 Definition of a medical event should be a  
19 combination of activity and dose-based criteria.

20 On the issues of training time and other  
21 requirements, training needs to be a necessary  
22 requirement for defining a medical event. Standards  
23 need to be analyzed as to defining a medical event by  
24 harm, benefit analysis. I think we heard by the  
25 survey that there were some inconsistencies to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 understanding of what a medical event was by one of  
2 the presenters.

3           When trying to identify time requirements,  
4 time frames should include minimum and maximum  
5 definitions from the time of dosage. Regulations  
6 should have a specific section for prostate implant  
7 brachytherapy, rather than combining it with all other  
8 permanent implant therapy as there are distinct risks  
9 and issues involved for the prostate implantation  
10 being that it is so close to other vital organs.

11           Going to inform consent. And I think I  
12 heard some issues about the physicians feeling  
13 somewhat uncomfortable with the definition of a  
14 medical event because it had sort of a negative  
15 connotation to that. I think part of that is part of  
16 the informed consent and really having the patient  
17 understand what the issues are here and having a more  
18 transparent view of it.

19           So patients need to have a clear  
20 understanding that placement of seeds can move and  
21 dosing can be difficult. So lower doses may need to  
22 be given, and additional therapy may be needed, as  
23 opposed to higher dosing, where if there is a medical  
24 event, organ damage may not be reversible.

25           Transparency should always exist,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 understanding the risks of exposure of radiation to  
2 self and others, and patients should be empowered to  
3 make an informed decision based on outcome, quality of  
4 life as it relates to the specific patient's lifestyle  
5 and preferences; risks; benefits; and, again,  
6 lifestyle changes.

7 Questions that all physicians should  
8 answer when they are discussing this therapy with  
9 their patients. What were the clinical findings where  
10 treatment options exist? And what happens if the  
11 patient doesn't get treatment? Purpose or rationale  
12 for the recommended treatment? What is involved for  
13 course of treatment or procedures? How often will the  
14 patient need treatment and how many treatments?  
15 Benefits, side effects, precautions to be taken? What  
16 happens if the treatment does not work? What  
17 treatments will be available if this treatment fails?  
18 How are the side effects different for different  
19 treatments?

20 Surgical versus radiation therapy. The  
21 outcomes, are they equal in terms of curing or  
22 controlling the cancer? How will each impact on  
23 quality of life? Certainly issues of incontinence and  
24 impotency.

25 Conflicts of interest and additional

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 issues. There need to be stringent guidelines to  
2 reporting and transparency so there is not any  
3 inclination not to report an event that may cause harm  
4 to the patient.

5 A conflict may exist because the physician  
6 needs to report the medical event to the referring  
7 physician so that he or she can report to the patient.

8 There may be concern that this may impact  
9 future referrals. And also presently there are some  
10 insurance companies that are not paying for this  
11 therapy, which is limiting access of patients that can  
12 benefit.

13 Thank you.

14 FACILITATOR SALTER: I would like to thank  
15 all of our panelists. Why don't we give them a round  
16 of applause for taking the time --

17 (Applause.)

18 FACILITATOR SALTER: -- to prepare their  
19 positions and that of their organizations?

20 What we are going to do right now is take  
21 about a 30-minute break. We will get back together at  
22 10:45. And at that point, we will begin the open  
23 dialogue between the panelists. So get some  
24 refreshments, and we will see you in 30 minutes.

25 (Whereupon, the foregoing matter went off

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the record at 10:09 a.m. and went back on the record  
2 at 10:46 a.m.)

3 FACILITATOR SALTER: Welcome back. The  
4 second part of our morning program I think is going to  
5 be an exciting one. The panelists, free from their  
6 requirement to provide a formal presentation, will now  
7 be able to engage in open dialogue with each other.

8 And, again, for those of you -- I know we  
9 had some people join us after we had some of our  
10 opening remarks. I just want to remind everyone that  
11 the morning part of our program is for the panelists,  
12 both to present and engage in a dialogue, and for the  
13 audience to listen. We won't be going to the audience  
14 for comments this morning, but we have all afternoon  
15 to do that.

16 If you would like to speak this afternoon,  
17 we ask that you fill out a blue card. And you can  
18 drop it off at the front desk. There should be plenty  
19 of them on the table, but there is also an additional  
20 supply out in the back. You can also sign up to get  
21 on the NRC mailing list for issues related to medical  
22 regulations.

23 If you would like to get on the mailing  
24 list but you don't want to make a comment this  
25 afternoon, we have yellow cards for that. But if you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 have any questions, you can always ask the ladies at  
2 the front desk, and they can explain it to you.

3 But, most importantly, if you want to make  
4 a comment, please fill out a blue card. Again, you  
5 will be able to fill out a blue card this afternoon.  
6 So if you decide later on you want to speak, that's  
7 fine. You have time to fill that out. But if you  
8 know you do, we ask that you fill them out and drop  
9 them off at the desk before lunch.

10 So, with that, it looks like we have  
11 everyone. We have the webinar back on. All right.  
12 So what we're going to do is this is really an open  
13 dialogue for the panelists to bring up issues and  
14 respond to each other, but we are going to start.

15 I am going to just kick it off with Dr.  
16 Hagan. I'm going to ask him to elaborate on issues  
17 related to dose to other organs and tissues.

18 DR. HAGAN: Thanks, Susan.

19 - PANEL DISCUSSION

20 DR. HAGAN: So the blue ribbon panel that  
21 the VA assembled did several separate activities that  
22 were really very helpful. But one of those was to  
23 point out that within the literature, no one had  
24 really examined other than some attention given to a  
25 rectal dose associated with prostate implant what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reasonable constraints there would be on the quality  
2 of an implant if one examined the dose to the rectum,  
3 dose to the bladder, dose to periprostatic soft  
4 tissue.

5 But, at the same time, there was an active  
6 protocol going forward where physicians consulting for  
7 the American College of Radiology had identified at  
8 least at that point 400 prostate brachytherapy  
9 implants as being gold standard implants; that is,  
10 valid in every aspect required by the phase 3 protocol  
11 that was ongoing.

12 And this protocol came on the heels of  
13 effort between RTOG to identify in a systematic way  
14 the ability to be able to do prostate brachytherapy  
15 protocols. So it is the first. It is not quite  
16 closed out yet, but that protocol will probably be  
17 closed out this year and so the meeting is successful,  
18 accrual goals.

19 So that gave us a database that existed  
20 that could speak to dose to other organs and tissues.  
21 And so the ATC WASU directed by Jeff Michalski worked  
22 with RTOG, the Radiation Therapy Oncology Group of the  
23 ACR, the stat section, to review these implants and  
24 determined what dose the rectum and bladder and  
25 non-otherwise described periprostatic soft tissues

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 around the prostate were receiving from well-done  
2 implants. And that data actually is the subject of an  
3 oral presentation, I believe, at the next ASTRO  
4 meeting and will be published this year.

5 The evaluation results were published as  
6 an appendix to a paper that I wrote with Jeff  
7 Williamson that came out in Brachytherapy that was the  
8 reevaluation of the Philadelphia implants using image  
9 correction. But with that, there is an appendix. And  
10 the appendix is this blue ribbon panel's report. It  
11 includes these observations.

12 The observations actually solidified what  
13 we intuitively felt. That is, intuitively, the dose  
14 outside the planted target volume immediately adjacent  
15 to the planted target volume should be one would  
16 expect very close to the prescription dose. And, as  
17 you move away from that target volume, the dose should  
18 fall off precipitously.

19 So if we looked at the highest dose that  
20 the immediately adjacent rectum or the closest bladder  
21 subvolume or the periprostatic tissue immediately  
22 adjacent to the target and looked at the highest dose  
23 to a very small volume, that dose ought to be very  
24 close to the prescription dose. And that was indeed  
25 the finding.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           It was not quite exactly the case. I  
2 think the highest dose to one cc of bladder for --  
3 with a confidence interval of 95 percent was about 93  
4 percent of the prescription dose. And the immediately  
5 adjacent rectum was a little above 95 percent.

6           And so this allowed us to assemble data,  
7 which validated the opinion of the highest dose that  
8 should be tolerated by these treatments based on a  
9 well-done prescription. And then you could apply the  
10 regulation.

11           The regulation, which I showed in one of  
12 the earlier slides, requires you to report as a  
13 medical event a case where other organs and tissues  
14 are dosed to greater than 50 percent more than the  
15 expected dose. So if the expected dose to the hottest  
16 volume is approximately the prescription dose, then  
17 you should report as a medical event an implant which  
18 delivers to that same small volume a dose that's 150  
19 percent of the prescription dose.

20           And that was the recommendation of the  
21 blue ribbon panel to the Under Secretary, so not based  
22 just on intuition of what you think would be correct  
23 but actually vetted by looking at cases from the RTOG.

24           That analysis was done in two steps. One  
25 was to identify the limits of these doses and then to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 set a set of criteria and then to go back into that  
2 data set and pull an additional data set to validate  
3 the criteria. And both showed the well-done implants  
4 had really very narrow constraints on the doses.

5 Absorbed dose works here because we're  
6 away from any one seed's contribution. So absorbed  
7 dose does very nicely outside of the immediate target  
8 site. And this was a finding of this evaluation.

9 FACILITATOR SALTER: Okay. Dr. Ennis,  
10 would you like to make a comment? If I forget to  
11 introduce you before you make your comment, I would  
12 just ask that you do that for the folks on the webinar  
13 so they know who is speaking. So Dr. Ennis?

14 DR. ENNIS: Sure. So, I mean, I think  
15 those are interesting findings, but I do have some  
16 concerns or questions about them. A) I think the  
17 purpose here is not to define what a high-quality  
18 implant is, which those guidelines may be more  
19 relevant to, as opposed to what is an egregious event  
20 that needs to be reported to the NRC and to your  
21 hospital board, et cetera. I think those are very  
22 different criteria and very different endpoints and  
23 play different roles.

24 Number two, what we're looking for are  
25 doses or activities that are correlated with very poor

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 outcomes. And that is not what we have. We just have  
2 what good implants kind of look like, as opposed to  
3 what a terrible implant looks like.

4 So it's not really getting at while there  
5 may be the consensus of experts and we may need to  
6 rely on that definition that was more tightly  
7 correlated with a true what we're looking for might be  
8 better.

9 I do think this is 20 percent of your  
10 activity outside. That's egregious. I think everyone  
11 here agrees with that. And that makes sense as a  
12 definition for now.

13 We get more intelligence. We get more  
14 information. We learn more from the science of  
15 brachytherapy. We think we could move into  
16 definitions that are based on data. But I would  
17 caution developing definitions that are based on  
18 opinions of experts, as opposed to real data.

19 DR. HAGAN: Let me respond to that.

20 FACILITATOR SALTER: Okay. That's Dr.  
21 Hagan.

22 DR. HAGAN: Yes. So the rule asks for you  
23 to report based on the expected dose. So the issue  
24 was we have no reason to understand what the expected  
25 dose was. So what is the expected dose?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1           So the expected dose is based on your  
2 planned or the authorized user's planned implant. And  
3 so if you plan an implant and then you're also  
4 planning the dose to neighboring tissues as well by  
5 default and because this has not been looked at  
6 before, so the question is, what are the expected  
7 doses? So using a 95 percent confidence limit on the  
8 upper dose to these non-target tissues gave us an  
9 evaluation of the expected dose.

10           I absolutely agree that then the question  
11 is, how do you use that data? And what you are trying  
12 to do is to find the egregious violation. The rule  
13 currently says you want to be within 50 percent, 150  
14 percent of your expected dose. So you can't be 50  
15 percent greater than your expected dose.

16           So what this effort did was to define the  
17 expected dose for a prostate and those tissues  
18 relative to the prostate, but the rule is what  
19 determines when it becomes reported, meets a reporting  
20 requirement, and the current rule is 150 percent.

21           And you could easily argue that that rule  
22 is inappropriate for prostate brachytherapy. My guess  
23 is that's a rule determined by a committee somewhere  
24 and it may apply to one procedure and not apply well  
25 to another procedure. And 150 percent of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 prescription dose has no real clinical relevance in  
2 terms of outcome for prostate brachytherapy. I  
3 couldn't agree more with that.

4 FACILITATOR SALTER: Dr. Zelac had a  
5 comment.

6 DR. ZELAC: The fact that we need to as  
7 regulators look at basically two things in this  
8 procedure, one, was the physician able to accomplish  
9 what he or she intended.

10 And I think that primarily relates to the  
11 treatment site. And if you wish to do it in terms of  
12 source strength implanted, that sounds reasonable. I  
13 don't think there's any question about that.

14 The other question that also relates is  
15 the dose to the other organs or tissues, organs at  
16 risk, which is clearly part of what has to be  
17 considered in medical practice anyway. And the point  
18 is how far from what had been intended should be  
19 considered still acceptable, not necessarily resulting  
20 in harm to the patient but indicative of something  
21 about the procedures and protocols that requires at  
22 least a look, if not a correction.

23 So having some concern about other tissues  
24 and organs seems to be an appropriate thing for the  
25 regulation to address, but the question is, at what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 level? And because clearly we not only have the  
2 immediate organs at risk that have been considered by  
3 the physicians presumably during the planning of the  
4 treatment or in the conduct of the treatment but also  
5 the question that Dr. Welsh brought up before about  
6 tissues that are at a distance that are receiving low  
7 doses and this criterion or some criterion also  
8 possibly applying to them as well.

9 So it's really two issues I think that  
10 need to be considered. One is, do we have a need, as  
11 some people will say, to have consideration of the  
12 doses to doses, not activity implanted but actual  
13 doses, absorbed doses, to other tissues and organs and  
14 at what level? And, two, how do we handle more  
15 distant organs, where, as Dr. Welsh had pointed out,  
16 the doses are low? And doubling as an example,  
17 expected dose is not going to have any clinical  
18 significance on the outcome for the patient.

19 FACILITATOR SALTER: Dr. Ennis, did you  
20 want to respond?

21 DR. ENNIS: So, I mean, I do think that in  
22 some ideal fashion, using dose would be sensible. It  
23 would have to be very tissue and organ-specific. We  
24 just don't have that knowledge to make some  
25 intelligent comment about what volume of bladder, what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 volume of rectum ought to get a particular dose, which  
2 would be defined as an egregious event.

3 I think, you know, for better, for worse,  
4 the best we can do with 20 percent of your seeds or  
5 your activity is outside and in those other tissues.  
6 That's a very big problem. And that should be a  
7 medical event. I don't see us being able to be more  
8 sophisticated than that at this time.

9 In terms of the low dose to the  
10 surrounding organs, it is inconsequential. I don't  
11 know how we define that. I think just dropping that  
12 whole issue. I mean, five centigray to the femoral  
13 heads, I mean, it's completely meaningless. And if  
14 you double that to ten centigray, it's still  
15 completely clinically meaningless.

16 FACILITATOR SALTER: Dr. Welsh? And I  
17 would just remind the panelists to speak into the  
18 microphone so that the folks on the webinar can hear.

19 DR. WELSH: I would agree with what Dr.  
20 Ennis has just said about the need for either dropping  
21 the present definition or improving it so that it is  
22 more meaningful and relevant to general genuine  
23 clinical practice.

24 I'll read what the present part  
25 35.3045(a)(3) states as a medical event, "The dose to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the skin" -- and keep in mind the skin is something  
2 that we really don't keep track of very carefully or  
3 have any reason to for prostate brachytherapy -- "the  
4 skin or an organ or tissue other than the treatment  
5 site that exceeds by 50 rem" -- and, again, 50 rem is  
6 a tiny dose when we are talking about giving 150 gray  
7 as a prescription for the prostate -- "to an organ or  
8 tissue and 50 percent or more of the dose expected  
9 from the administration defined in the written  
10 directive excluding for permanent implant seeds that  
11 have migrated from the correct site subsequently."

12 So, keeping in mind that 50 rem is a very  
13 tiny dose and it may be meaningless, 50 percent could  
14 be medically inconsequential if we're talking about  
15 tiny doses in the first place, and that hopefully we  
16 will be able to acquire some data -- as Dr. Hagan has  
17 pointed out, maybe some of this data will be presented  
18 at ASTRO this year -- that will allow us in the  
19 medical community and NRC as regulators, if necessary,  
20 to even include comment or regulation about normal  
21 tissue doses that at least the figures make some  
22 sense, that they are more appropriate than the current  
23 language indicates.

24 And an important point that needs to be  
25 kept in mind is that 50 percent or 50 rem, as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 presently stated, without a volume or area is  
2 relatively meaningless because with prostate  
3 brachytherapy, the amount of radiation in the area  
4 adjacent to a seed can be quite high. And if you are  
5 talking about point doses, exceeding by 50 percent or  
6 50 rem is quite possible, yet medically  
7 inconsequential.

8 So if something of this sort needs to  
9 remain, it would be strongly recommended that it be  
10 accompanied by a specified volume or area, rather than  
11 the presently ambiguous point doses.

12 FACILITATOR SALTER: Dr. Mower?

13 DR. MOWER: I'm looking at the fact sheet  
14 that was handed out this morning from the Nuclear  
15 Regulatory Commission. I would like to ask possibly  
16 Dr. Zelac or some of the others here to comment on a  
17 couple of the statements that are in here relative to  
18 what is a medical event.

19 The licensee had technical or quality  
20 assurance problems -- I'm not sure most of what we're  
21 seeing here would fall under that -- that it resulted  
22 in an error. Was it an error over what the doctor  
23 intended to do and that it indicates a potential  
24 problem in the medical facility's use of radioactive  
25 materials?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           Those are the things which the NRC has  
2 labeled as part of a medical event. And I'm not sure  
3 that in any of the things that we are looking at here  
4 relative to a couple of millimeters, what the volume  
5 is that we're talking about, how we prescribe the  
6 dose, when we should prescribe the activity relative  
7 to the implant would fall under this outline of what  
8 is considered to be a medical event.

9           FACILITATOR SALTER: Dr. Zelac?

10          DR. ZELAC: The main purpose from my  
11 perspective in having medical events as reportable is  
12 to bring to light situations where the physician had  
13 an intent and that intent was not achieved. There was  
14 a variance of the result from what the plan or the  
15 intention had been.

16          Now, I think we go from there down to the  
17 details of how you define that, but I think that is  
18 the starting point. And I think it applies to the  
19 kinds of things that are in that statement, although I  
20 didn't write it and I haven't looked at it ever.

21          (Laughter.)

22          FACILITATOR SALTER: Dr. Mower?

23          DR. MOWER: I guess a part of that, then,  
24 would go back to, though, when is the official intent  
25 decided upon? When the surgeon goes to operate, is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the intent what they see before they open the body and  
2 look inside to see what is sitting there or is it when  
3 they open the body and see what is there? Is the  
4 intent in prostate brachytherapy when the physician  
5 first sees the patient three months earlier or four  
6 months earlier or is it what the prostate looks like  
7 and what the disease status is and the size of the  
8 prostate on the day of the procedure?

9 FACILITATOR SALTER: Dr. Zelac?

10 DR. ZELAC: Even with the current rule,  
11 which we are attempting to improve, it is possible for  
12 the physician to make the determination of what that  
13 intent should be at the very last second before the  
14 implant begins based on what is observed with the  
15 imaging that is available, what is observed with all  
16 aspects of the procedure, including the condition of  
17 the patient.

18 FACILITATOR SALTER: Dr. Welsh?

19 DR. WELSH: I might just simply reply to  
20 Dr. Zelac's point by saying that sometimes things do  
21 change during the procedure that can influence the  
22 clinician's actions so that they might even differ  
23 from what the intent was at the time the implant was  
24 planted right before the procedure begins.

25 Once you place the first seed or two or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 needle or two in, things can change. Prostate  
2 brachytherapy is actually a dynamic procedure. And I  
3 think, as Dr. Mower was alluding to, the surgeon who  
4 has opened the patient is encountering a dynamic  
5 situation. And things can change on the fly. And  
6 things can change on the fly in prostate  
7 brachytherapy, perhaps not to the same extent, but  
8 there is a definite amount of clinical judgment or  
9 art, if you will, to this science.

10 FACILITATOR SALTER: Dr. Zelac?

11 DR. ZELAC: That's exactly why we're  
12 looking at the rule now, because the rule as it stands  
13 doesn't take into account exactly what you're pointing  
14 out. The fact that there is a dynamic situation and  
15 decisions are being made on the spot is something that  
16 the current rule just cannot consider in its  
17 dose-based form.

18 If we move to an implanted total source  
19 strength for the treatment site itself, I think we'll  
20 overcome most of that, particularly if that statement  
21 from what the total source strength implanted is is  
22 made at the end of the procedure.

23 So that there should still be medical  
24 events, even with that in time entry into the written  
25 directive, but it would be based on, for example, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 wrong radioisotope being used or wrong source strength  
2 being implanted, not on the resultant dose.

3 FACILITATOR SALTER: Dr. Ennis, did you  
4 want to --

5 DR. ENNIS: Just I think those are very  
6 good comments. As long as the physician could at the  
7 end of the procedure be able to modify or amend the  
8 pre-op directive to say "I have purposely implanted  
9 more activity on the left side outside of the prostate  
10 because of what happened during the procedure. And  
11 that would be kind of now considered his intent." And  
12 then that is considered, you know. And then anything  
13 over and above that would be an issue.

14 I think that would make perfect sense  
15 because, as Dr. Welsh mentioned, imaging changes can  
16 happen. You can note edema. You can note both the  
17 quality of the imaging can deteriorate. And you then  
18 have to make a judgment. You may purposely put some  
19 seeds beyond the prostate, beyond your PTV, with  
20 intent for the patient's best interest to make sure  
21 you do a quality implant and control as cancer.

22 You need to be able to define at the end  
23 to the procedure what your real intent was.

24 FACILITATOR SALTER: Let me go to Maureen  
25 Eisner, our patient advocate representative on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 panel, and ask if you would like to make any comment  
2 on this particular issue or something else you heard  
3 this morning.

4 MS. EISNER: Yes. Actually, I would like  
5 to comment on one of the panelists' comments about the  
6 fear of liability and certainly negative implication  
7 of medical events. And I realize that that is an  
8 issue. I think that it is repeatedly coming up.

9 If there is no harm to the patient, there  
10 is no liability. And I think the implications and the  
11 stigma that is attached to the medical event -- and I  
12 don't know if this is possible. Perhaps there could  
13 be different categories of medical events, one that  
14 causes patient harm, one that does not cause patient  
15 harm but has potential to cause patient harm, and  
16 maybe a third that has -- it wasn't the intent of the  
17 physician originally but can be looked at, certainly  
18 for future care of the patient or other patients, so  
19 that there is not the stigma.

20 The other part of it is the consent piece.  
21 I think, again, one of the panelists had commented how  
22 the patient may feel that the physician has not done a  
23 good or reasonable job at this. I think if patients  
24 have an understanding of how much that this is not an  
25 exact science and it has to be looked at, even

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 actually while you are doing the procedure, that the  
2 more the patients have this understanding, it wouldn't  
3 have the stigma that is attached to it. I think that  
4 patients need to know that anyway as well. So perhaps  
5 it might impact on some of these other issues.

6 FACILITATOR SALTER: Dr. Welsh?

7 DR. WELSH: Thank you. I appreciate the  
8 opportunity to speak to some of those comments. They  
9 are very important.

10 I would agree 100 percent that there  
11 probably should be varying levels of definitions of  
12 events, but presently the term "medical event" does  
13 indeed have a very serious negative connotation and  
14 does have an impact on what happens subsequently, not  
15 necessarily medically but legally.

16 I would love to see things other than  
17 medical events defined, such as maybe minor violation.  
18 And the post-implant dosimetry being done after 60  
19 days could be a violation but certainly wouldn't  
20 qualify in my opinion as a medical event, even though  
21 we all agree that it should be done and regulators  
22 need to have a time frame, therefore.

23 The topic of medical event typically is  
24 discussed in the informed consent procedure. And that  
25 is standard practice for many of those who practice

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 prostate brachytherapy, probably not all. It  
2 certainly is a good idea because the patient can,  
3 therefore, understand that there is this category of  
4 regulatory policy wherein if seeds are slightly  
5 different from what the physician intends, it could be  
6 of no medical consequence, but it could be a  
7 regulatory violation. And, therefore, you could some  
8 day be informed that your procedure, while still  
9 medically appropriate, unlikely to cause harm to you  
10 as a patient, still likely to cure you of the cancer,  
11 may be termed a "medical event" for medical legal  
12 reasons.

13           The truth is that when that happens, I  
14 think all who practice radiation medicine,  
15 particularly brachytherapy, are aware that patients,  
16 even if they do understand, will often wind up perhaps  
17 victims of the legal environment.

18           And I have known many situations wherein  
19 an attorney has been consulted and retainer paid and  
20 investigation initiated for no justification  
21 whatsoever and no true medical or legal grounds to  
22 proceed. But it seems to me that it is an unfortunate  
23 reality that such events do occur and that patient  
24 winds up losing twice because of the anxiety  
25 associated with the terminology "medical event" and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the anxiety and cost of pursuing the legal avenue.  
2 And perhaps if this legal avenue were not so likely to  
3 be pursued, we wouldn't have this conversation, but  
4 the fact is that anybody who practices medicine,  
5 particularly brachytherapy, is likely to know exactly  
6 what I am talking about.

7 FACILITATOR SALTER: Can we go back to Ms.  
8 Eisner to respond to that? And then we'll go to Dr.  
9 Ennis and Dr. Zelac.

10 MS. EISNER: Just to respond quickly, I  
11 think that's more a matter of legal ethics. And if  
12 there is really not any basis legally for the lawyer  
13 to take on a case, it would be very difficult, it not  
14 impossible, for that lawyer to win that case.

15 So it is a shame that it is even coming  
16 into this discussion because, really, it should be  
17 about doing obviously what is best for the patient and  
18 not limiting that by some cruel defense of medicine  
19 but certainly not limiting how we are treating  
20 patients because of that fear.

21 FACILITATOR SALTER: Dr. Ennis?

22 DR. ENNIS: So aside from the suit issue,  
23 though, it needs to be understood that a medical event  
24 can have and often does have profound effects within  
25 the physician's practice and hospital environment,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 something declared a medical event as viewed by the  
2 president of the hospital, the QI community of the  
3 hospital as a very serious event. And this can have  
4 very significant effects on the physician's ability to  
5 practice.

6 And, again, if it's not a justified event,  
7 if the physician actually did what was in the  
8 patient's best interest but that his practice suffers,  
9 his privileges are denied. Insurance company may  
10 declare him no longer fit because he's had a medical  
11 event.

12 These things are real things that happen  
13 to real physicians in the real world across the  
14 country. So we need to be very careful that we don't  
15 hurt people in some noble goal but hurt well-meaning  
16 physicians and their potential patients in the future  
17 through regulations that aren't based on the current  
18 reality.

19 FACILITATOR SALTER: Dr. Zelac?

20 DR. ZELAC: Just to add a few things to  
21 what has been said on this topic already. First, a  
22 medical event is not a violation of a regulation. It  
23 is an attempt to bring to light information about  
24 protocols and procedures that may need looking at, not  
25 necessarily a change but at least looking at, because

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the results didn't agree with what the physician had  
2 intended.

3 Secondly, I think it's worth looking  
4 historically at how we got to where we with a term  
5 "medical event." There used to be in the regulations  
6 a term "misadministrations." And it was the response  
7 of the general community to that term which led to  
8 being changed to something which was hopefully more  
9 acceptable and not so onerous in terms of its  
10 implications.

11 Apparently we're not there yet. And I  
12 would be certainly open for any suggestions from  
13 anyone at any time as to what we might call this class  
14 of events other than medical event to identify what it  
15 is but not have the connotations that apparently even  
16 our current term does.

17 FACILITATOR SALTER: Dr. Hagan?

18 DR. HAGAN: Yes. I think an issue is not  
19 so much what we call it but how we treat it. And if  
20 you want a medical event as an entity to be able to  
21 capture what are essentially near miss events; that  
22 is, issues where the practice has deviated but have no  
23 clinical consequence, and at the same time that same  
24 entity to cover those egregious errors, which  
25 obviously require disclosure, disclosure to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 patient, disclosure to the referring physician, I  
2 think you're fighting a losing battle because you have  
3 too large of a spectrum of event consequences that you  
4 are trying to fit into a single definition, a single  
5 label.

6 I think one needs to separate those  
7 actions and create entities that are appropriate for  
8 each.

9 FACILITATOR SALTER: Dr. Welsh?

10 DR. WELSH: I would agree with what Dr.  
11 Hagan has just stated. And, in response to what Dr.  
12 Zelac has pointed out earlier about the term  
13 "misadministration," we all know what the word  
14 "misadministration" has obvious negative connotations.  
15 But now we all know that that term no longer exists  
16 and has simply been replaced by the synonym "medical  
17 event."

18 So to replace a word that has clear  
19 negative connotations with another term that sounds  
20 friendlier but is exactly synonymous leaves us in the  
21 same situation, which is why Dr. Hagan's point about  
22 perhaps having varying levels would be appropriate.

23 The egregious medical event or  
24 misadministration may be appropriately called  
25 something with a serious name. Something that is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 near miss that identifies trends that could lead to  
2 problems down the road perhaps should not be  
3 categorized in the same group with the same term.

4 FACILITATOR SALTER: Dr. Ennis?

5 DR. ENNIS: So I guess it is a question.  
6 One is a question and a comment. The question is,  
7 from the NRC's perspective, the other events that we  
8 are talking about are more of medical QI events. And  
9 in our department, we have a whole QI procedure and  
10 process where we look at these types of things.

11 And does the NRC view itself as a  
12 regulator or decision-maker about QI, medical QI,  
13 processes -- and perhaps they do -- or are they really  
14 only wanting to protect the public from severe  
15 radiation potential events or severe radiation  
16 misadministrations, to use that other term?

17 I kind of thought we were talking more of  
18 the former. The other levels that we are discussing  
19 here, which are a good idea and many institutions  
20 probably do them, could be incorporated in NRC for  
21 sure if that were law. And I would support certainly  
22 the idea of people evaluating those as QI indicators  
23 and measures.

24 We would need to be concerned about  
25 protection of that information. Members of ASTRO

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 certainly would be very concerned about how that  
2 information is handled and who has access to it and  
3 under what circumstances.

4 But done appropriately as a QI tool, it  
5 could certainly be done, although I do think that it  
6 is more of the purview of the individual practices and  
7 hospitals than the NRC.

8 FACILITATOR SALTER: Dr. Zelac?

9 DR. ZELAC: I will speak from what I  
10 believe the position of NRC is. And I will be ready  
11 to stand corrected by anyone from the agency who  
12 thinks differently. But NRC does not want to be the  
13 regulator to whom events are reported that are harmful  
14 to the patient. That's too late.

15 They want to know about these events,  
16 certainly. And those types of events need to be  
17 reported, certainly. But by the time that occurs,  
18 we're too far down the road.

19 And that's the reason for looking at  
20 events, near misses, so that we don't get to the point  
21 where there are patients that are actually harmed, as  
22 compared to other agencies, which will remain  
23 nameless, for which that is the criterion for  
24 reporting. If the patient is harmed, you must report.  
25 But near misses don't get reported.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           We think in the interest of our obligation  
2 for protection of the public, that these near misses  
3 should come to our attention not as violations but as  
4 clear flags that something at the facility, with the  
5 procedures, perhaps with the particular authorized  
6 user, needs to be looked at very carefully so that we  
7 don't get to the situation where there has, in fact,  
8 been actual harm to a particular patient.

9           FACILITATOR SALTER: Ms. Eisner?

10           MS. EISNER: And, of course, I am in full  
11 agreement with that. I think that anything that  
12 overall is for the greater good certainly, you know,  
13 should be looked at and analyzed.

14           And, again, I don't know if it's possible  
15 even to have these categories as far as near misses  
16 and things that can be looked at. So maybe, again,  
17 the stigma isn't there as great, but I think it's  
18 very, very important that we try to prevent harm to  
19 the patient.

20           FACILITATOR SALTER: I would just remind  
21 the panel that this is your opportunity to bring up  
22 whatever issues, make whatever comments you would  
23 like, but if -- oh, there we go. Dr. Mower?

24           DR. MOWER: If we are going to do a major  
25 change and shift and whatnot, I would like to go back

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 to the survey that was sent out by the Organization of  
2 Agreement States. It was commented that no state  
3 mentioned the concept of activity-based reporting.

4 Was this listed as an actual question on  
5 the survey or was that something that could have been  
6 put in as a comment? Because we all know if a  
7 question is there, you will get more responses than if  
8 you kind of leave it up to people to sort of think  
9 about something else.

10 MR. DANSEREAU: I can answer that.

11 FACILITATOR SALTER: Robert Dansereau?

12 MR. DANSEREAU: That was the last question  
13 on the survey. And it was for the states to make any  
14 comment in the area regarding the medical event  
15 criteria. It was just a comment that I had that no  
16 one had made a comment about activity-based. It was  
17 not a question.

18 I think the survey was good, but in  
19 answering questions, it raised more questions.

20 FACILITATOR SALTER: Dr. Hagan?

21 DR. HAGAN: I think it is worthwhile to  
22 note that among the panelists in their original  
23 presentations and comments thereafter, unless I am  
24 missing something, there seems to be a good consensus  
25 on the use of a source strength-based metric for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 determination of dose to the target tissue.

2 FACILITATOR SALTER: Anyone want to  
3 comment on that? Dr. Welsh?

4 DR. WELSH: I'll just follow up with a  
5 couple of points. The Medical Event Subcommittee of  
6 the ACMUI conducted their annual exercise and found  
7 that prostate brachytherapy, which was plagued with  
8 this inappropriate definition of medical events that  
9 is the subject of today's workshop, has led to what I  
10 believe is about tenfold increased incidence of  
11 reporting of medical events compared to what the  
12 baseline truly could be.

13 I would be hard pressed to present actual  
14 data to confirm that. I know that the question has  
15 been posed of the VA series, for example, what  
16 fraction would truly be medical events if we used a  
17 more appropriate definition. But I can say that the  
18 baseline of medical events in permanent implant  
19 brachytherapy, manual brachytherapy is about 0.03  
20 percent; whereas, in permanent implant brachytherapy,  
21 it has been approximately 0.3 percent, tenfold higher.

22 So I personally believe that this is a  
23 consequence of the inappropriate definition that we  
24 hope to correct, but I did hear in a presentation  
25 today that in, I think it was the State of Wisconsin,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 my former home state, that the incidence of medical  
2 events with prostate brachytherapy is just under three  
3 percent and, therefore, not terribly inappropriate.

4 I have to strongly disagree with that. As  
5 anybody who practices prostate brachytherapy on a  
6 regular basis, probably does 100 cases a year or so or  
7 maybe many more. If every year there were three  
8 medical events, that person might become loathe to  
9 continue practicing prostate brachytherapy because, as  
10 we have heard, as much as we don't want this to be the  
11 case, the fact is that medical events are serious for  
12 hospital administration, for patients, and they have  
13 an impact on the physician. Maybe that wasn't the  
14 intent of the term "medical event," but that is the  
15 reality.

16 And, therefore, three percent is far, far  
17 higher than it should be. 0.3 percent is probably too  
18 high. And if we could get to a definition that truly  
19 is appropriate, I suspect that prostate brachytherapy,  
20 which in my estimation is an effective and safe  
21 treatment, will have a medical event rate of  
22 approximately 0.03 percent. And that would be  
23 something that I hope we can attain through  
24 appropriate definition.

25 FACILITATOR SALTER: Ms. Eisner?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MS. EISNER: I'm going to comment. And I  
2 think the fact that the number of medical events, the  
3 number is going up, I don't know necessarily that is a  
4 bad thing. And you are looking at ways in which  
5 overall you could help improve the treatment. And  
6 maybe I am misunderstanding, but I think that is what  
7 you are saying, that these numbers are going up.

8 I think we are looking at it as -- and  
9 excuse me for saying this -- on how it is impacting on  
10 the physician. But I think, really, the focus needs  
11 to be looked at as how it's impacting on the patient  
12 and whether or not it is helping.

13 If it is truly not helping the patient  
14 going forward, then I don't see any purpose for it.  
15 But if it is helping in analyzing how the patient  
16 should be treated and, again, near misses and things  
17 that might be looked at before the patient is actually  
18 harmed, then I don't see it as necessarily a bad  
19 thing.

20 FACILITATOR SALTER: Dr. Ennis, would you  
21 like to comment?

22 DR. ENNIS: So, I mean, I think what you  
23 have heard as the thrust of the presentations show  
24 that the definition that is being required to be  
25 reported are irrelevant to the patient's outcome, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 patient's care.

2           They don't correlate at all with  
3 successful cancer cure or complication rates. They  
4 are an arbitrary definition that when applied rigidly  
5 -- and the reason for the increase is people are  
6 starting to apply it rigidly. It's not that the  
7 implants are changing in quality. It's just that the  
8 rule is being applied more rigidly. And suddenly  
9 people are saying, "Oh, my. This is considered a  
10 medical event."

11           Now, I have dozens of patients who were  
12 treated this way in the past. And they are doing  
13 great. They're cured of the cancer. They're potent.  
14 They have continence.

15           So the problem is not that we're trying to  
16 not learn and not improve. The problem is that the  
17 definition is very onerous in its implications and is  
18 irrelevant to patient outcome. It does not correlate  
19 with any important patient outcome.

20           FACILITATOR SALTER: Dr. Mower? And then  
21 we'll go to Dr. Welsh.

22           DR. MOWER: I'm probably the wrong person  
23 to comment on this since I tend to be a physicist and  
24 work more with physical-type things and whatnot. And  
25 it was alluded to earlier by one of the speakers the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 current usage of medical event when one starts talking  
2 to the patient, there may not be a physical problem,  
3 but what is this doing to their psyche and their  
4 psychological outlook on things?

5 And are we creating more problems there  
6 for the patient and the patient's well-being than we  
7 really need to under the guise of saying that we're  
8 looking for something else? And possibly one of the  
9 clinicians could respond to that.

10 FACILITATOR SALTER: Dr. Welsh?

11 DR. WELSH: I think I am going to just say  
12 exactly what you just stated in other words. But, to  
13 reply first to Ms. Eisner's important point that the  
14 patients need to be aware of anything that could  
15 impact their health, their chances of cure, their  
16 chances of side effects, it is critically important  
17 that we always keep the patient first.

18 And I do believe -- I could be wrong, but  
19 I do believe that most practitioners of brachytherapy  
20 do keep that in mind. The patient comes first.

21 Having said that, the current definition  
22 of medical event is such that, as Dr. Ennis has just  
23 stated, many procedures that are perfectly acceptable  
24 medically are inappropriately titled "medical events."  
25 And, therefore, many clinicians routinely say, "If

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 it's a medical event, my patients have to be aware of  
2 that because I don't want them finding out from a  
3 state regulator or the Nuclear Regulatory Commission  
4 that a medical event has occurred. I want them to  
5 hear it from my own mouth that this was a medical  
6 event and explain what that truly means in terms of  
7 its significance," maybe nothing in terms of medical  
8 significance, but the conversation provokes a great  
9 deal of patient anxiety.

10 As I think you can appreciate as a patient  
11 advocate and any physician who has had to participate  
12 in this, that can be a very uncomfortable experience  
13 for the patient to let the patient understand that  
14 this is a medical event, there may be a lot of  
15 paperwork, there may be individuals contacting from  
16 the state and others, and that in the end, it has no  
17 medical consequence.

18 Sometimes patients will become anxious and  
19 start to wonder about the validity of what the  
20 physician is saying if there is inconsistency between  
21 what the physician is saying about medical consequence  
22 and what the state or the Nuclear Regulatory  
23 Commission is saying about this being a deviation from  
24 physician intent and, therefore, being titled "medical  
25 event."

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           So the patient anxiety factor is something  
2           that always needs to be considered. And, therefore,  
3           it's another justification for making sure that we get  
4           the definition right for all parties involved.

5           FACILITATOR SALTER: Ms. Eisner?

6           MS. EISNER: And I agree with you, Dr.  
7           Welsh. Certainly we don't want to give patients  
8           anxiety. However, if there wasn't any harm done to  
9           the patient and the patient understands it is for the  
10          greater well-being of all patients that these things  
11          be looked at, I think most patients have the  
12          sophistication to understand that.

13          And mixed messages should never be sent.  
14          I agree with you. And I think if other people are  
15          contacting them, certainly that should be explained in  
16          the same way.

17          I think, again, defining it in different  
18          categories might be something that might be helpful to  
19          the patient. But if the information is helpful  
20          overall, it should be looked at. And, again, like I  
21          said before, if it's not, then that is something else  
22          that should be analyzed.

23          Thank you.

24          FACILITATOR SALTER: Again, this is your  
25          opportunity. Dr. Zelac?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ZELAC: Because we as the regulators  
2 have to take all of this information and do something  
3 with it in terms of actually putting something down on  
4 paper that will be both useable for us and liveable  
5 for the physicians.

6 I would like to revert to some of the  
7 questions that were in the Federal Register notice  
8 that we wanted answers for if possible or at least  
9 input on from the various groups represented here.

10 They're not, as I might ask them, phrased  
11 exactly the same as in the Federal Register notice but  
12 close enough that I think we'll get to where we want  
13 to go. And, by the way, the fact that I am asking  
14 these questions now of the panelists certainly is not  
15 to preclude input from others in the audience this  
16 afternoon, either in the way of a comment on what you  
17 hear or an opposing statement perhaps.

18 The first one, should the medical event  
19 regulations have a specific section for prostate  
20 brachytherapy, rather than being combined with all  
21 other permanent implant brachytherapy?

22 And we have heard from the AAPM, but I  
23 would like to hear from others as well. Should there  
24 be separate regulations for prostate?

25 FACILITATOR SALTER: Dr. Ennis?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ENNIS: So I think that not  
2 necessarily -- there are two situations, it seems to  
3 me, where separate regulations may, although  
4 difficult, be needed. One is when the tissues are  
5 manipulated after the seeds have been implanted.

6 And in certain types of situations, not  
7 prostate but lung is a good example. But there are  
8 head and neck implants as well where permanent seeds  
9 may be put in the location.

10 And then the surgeon then goes ahead and  
11 completes the surgical closure, perhaps do a  
12 transplant of tissue, a graft, et cetera, that could  
13 displace the seeds. Again, it's become somewhat out  
14 of the user's control.

15 So a regulation that deals with that  
16 uncertainty and that variability needs to exist  
17 separate from prostate and potentially others, where  
18 there is no further manipulation of tissue where that  
19 class I think could have a similar regulation.

20 DR. ZELAC: This is Dr. Zelac. So what  
21 you're saying, then, is that the 20 percent of source  
22 strength within the treatment site or outside of the  
23 treatment site would not be an appropriate criterion  
24 for whatever you want to call the report for those  
25 types of treatments. Is that correct?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. ENNIS: There might need to be some  
2 modification of that to allow for the fact that  
3 surgical manipulation has caused this to occur.

4 DR. ZELAC: Okay.

5 FACILITATOR SALTER: Anyone else want to  
6 comment on the combining prostate implant  
7 brachytherapy with all other permanent implant  
8 brachytherapy? Dr. Welsh?

9 DR. WELSH: So while I and the ACMUI  
10 subcommittee don't have very strong feelings on this  
11 particular matter, I think that we perhaps are  
12 slightly differing from Dr. Mower and the AAPM's  
13 perspective simply because of what Dr. Ennis has said  
14 about rearrangement of seeds during completion of the  
15 procedure, during certain brachytherapy procedures.  
16 Head and neck was a good example. Lung brachytherapy  
17 is another example wherein seeds can wind up in a very  
18 different location on subsequent follow-up CTs  
19 compared to what they might have looked like in the  
20 operating room.

21 Prostate brachytherapy, on the other hand,  
22 is fraught with its own challenges, as we have  
23 discussed many times about anatomical size and shape  
24 changes following the implant, challenges with  
25 contouring the prostate itself.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           And, therefore, the ACMUI subcommittee at  
2 one time advocated two separate categories: one for  
3 procedures in which there was significant  
4 rearrangement or at least potential for significant  
5 rearrangement upon completion of the surgical implant  
6 procedure and those where this is not the case but  
7 does have a separate potential problem, such as edema  
8 and atrophy.

9           What this really amounts to is  
10 non-prostate and prostate. But, having said this, I  
11 don't think that there was a strong feeling on the  
12 part of the ACMUI and the subcommittee for separating  
13 the two. If an appropriate definition could encompass  
14 all, it would be great, but at the time this subject  
15 was being debated, we were having some challenges  
16 coming up with some of the definitions. And certainly  
17 the re-proposed rule made it difficult to not  
18 categorize things in separate fashion.

19           So at this point if we come up with a rule  
20 that will work, maybe there is no reason for prostate  
21 versus non-prostate.

22           FACILITATOR SALTER: Anyone else?

23           (No response.)

24           FACILITATOR SALTER: I think one of the  
25 panelists had touched on the imaging modality, when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 and what type of imaging modality, to verify seed  
2 placement. And I believe we had a question in the  
3 Federal Register on that. Would anyone like to make a  
4 comment on that? Dr. Ennis?

5 DR. ENNIS: Well, defining a specific time  
6 I think would be problematic because currently it is a  
7 tremendous debate within the community about what is  
8 the proper time.

9 Some of it does depend on the seed that is  
10 used because of the varying half-lives. But there  
11 really is not a consensus because there is just not an  
12 answer to that question. It depends on edema of the  
13 patient, et cetera, that you can't even predict.

14 Some outside number. I could see why the  
15 regulators would want some outside number to make sure  
16 it gets done. And that would be potentially  
17 reasonable.

18 Again, to declare, as Dr. Welsh had said  
19 before, it an actual medical event, if it's not done,  
20 particularly if it's due to the patient's  
21 noncompliance or travel, et cetera, and these are the  
22 realities of life, that ought not be a medical event,  
23 but some level of requiring some outside level, 60-90  
24 days I think would be reasonable, beyond that, to try  
25 and regulate that and what type of imaging to be done.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           Again, everybody pretty much uses CT right  
2 now. That is clearly suboptimal. There is research  
3 going on into newer modalities, but, you know, we are  
4 not there yet in terms of being able to incorporate  
5 that into a regulatory environment.

6           FACILITATOR SALTER: Dr. Welsh?

7           DR. WELSH: I might just follow up with  
8 mentioning that, as Dr. Hagan's slides illustrated,  
9 there is a huge discrepancy or difficulty that is  
10 naturally encountered when comparing ultrasound to CT.

11           Ultrasound often allows us to identify the  
12 prostate during the inter-operative stage of the  
13 procedure with a reasonable degree of certainty and  
14 accuracy. CT, as we all know, does not have the same  
15 level of certainty and accuracy.

16           And, therefore, we're putting the seeds in  
17 under ultrasound guidance and then estimating the  
18 post-implant dosimetry based on CT, the CT modality.  
19 And that is an inherent challenge because we're going  
20 from one modality to another, in addition to all of  
21 the anatomic changes that are occurring in terms of  
22 volume, size, and shape, which is the possibility that  
23 you could have the prostate defined by one user in the  
24 operating room and a different user during the  
25 post-implant dosimetry.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           You have inter-observer differences as  
2 well as inter-modality differences, which, as we all  
3 know, there are intra-observer differences. So these  
4 are just being magnified one after another after  
5 another, which underscores again the point where  
6 volume-based metrics really are inappropriate and  
7 hopefully will come to an understanding with something  
8 that is more source strength.

9           FACILITATOR SALTER: I just want to remind  
10 the panel that we're not trying to limit you to these  
11 questions, but if there is no other comment, I will  
12 defer to Ron if he has another question that he wants  
13 to ask. Dr. Zelac?

14           DR. ZELAC: Let me just point out on the  
15 one that we have been discussing now, first, if the  
16 patient doesn't show up, for whatever reason, it  
17 clearly is not going to be a medical event, period.  
18 That's patient involvement, patient intervention that  
19 prevented, precluded a physician from doing what had  
20 been intended. So that should kind of be taken off  
21 the table.

22           In terms of the criterion that I think we  
23 are starting to focus in on, the amount, the total  
24 source strength implanted within the treatment site  
25 itself and variances from that, 20 percent outside of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the treatment site, when should that determination be  
2 made? Should it be made promptly after the procedure  
3 is "completed," the implantation is done, or should  
4 there be a waiting period for when imaging is going to  
5 be done for dosimetric purposes later?

6 FACILITATOR SALTER: Dr. Ennis?

7 DR. ENNIS: I would see no reason to just  
8 not to just use the dosimetric imaging that's planned  
9 to be done at a later time. In terms of what we are  
10 now kind of talking about as a definition of the high  
11 percentage of seeds outside of the prostate, they are  
12 going to remain there. You don't have to look at day  
13 one to see that they're going to be there and just  
14 using one CT scan.

15 We don't really want people to have two CT  
16 scans and all the implications have two CT scans and  
17 cost to the health care system, radiation exposure to  
18 the patients, et cetera, that's unnecessary. I don't  
19 think it would be wise to require two sets of scans.  
20 And I don't think it would interfere with the  
21 application of the definition that we're discussing.

22 FACILITATOR SALTER: Okay. Dr. Welsh?

23 DR. WELSH: I might just add that I think  
24 most practitioners, certainly the ACMUI, I suspect  
25 ASTRO and everybody else, agrees that post-implant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 dosimetry is an important component of a prostate  
2 brachytherapy program. And it really should be done.  
3 Many society recommendations have stated this clearly.

4           However, it becomes tricky in terms of the  
5 regulatory aspects of it. Just as D90 is used for  
6 reporting in the medical literature but is not  
7 appropriate for regulation, I have to wonder about a  
8 time frame for post-implant dosimetry, which is  
9 perfectly reasonable in the clinical world but becomes  
10 fraught with challenges in the regulatory world.

11           And although a 60-day imposition might  
12 make sense from a medical perspective, I would caution  
13 that it could lead to some difficulties in the  
14 regulatory world, ignoring for a moment the patient  
15 who doesn't show up at all. But what about the person  
16 who shows up on day 61 because of a simple oversight  
17 clerically? Is that going to be a medical event? I  
18 would submit that it probably should not be. So I  
19 think that there could be a lot of difficulties.

20           I appreciate the converse that the NRC  
21 must face wherein they say that if post-implant  
22 dosimetry is necessary, you can't say that without  
23 having some kind of timeline because you could catch  
24 somebody who has not done the post-implant dosimetry  
25 and they just say, "Oh, we typically do it at two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 years post-implant" or something and get away with it.

2 As unlikely as that might be, it does  
3 illustrate that having some kind of timeline is  
4 probably appreciated by regulators and, therefore,  
5 ACMUI is flexible on this. But 60 days is a  
6 controversial point at this moment.

7 I would love to see what others feel about  
8 this.

9 FACILITATOR SALTER: Dr. Zelac?

10 DR. ZELAC: All morning long we have been  
11 hearing about activity implantation into the treatment  
12 site. And when there is variance from what had been  
13 intended, when a fraction of that is planted  
14 elsewhere, that that should be brought to the  
15 attention, whether we call it a medical event or  
16 something else.

17 The question I would ask, however, is, is  
18 20 percent the appropriate number? Should it be  
19 something else?

20 FACILITATOR SALTER: Dr. Ennis?

21 DR. ENNIS: Well, we had no basis for  
22 deciding any percentage, obviously. As I have been  
23 arguing about the dose, we don't have any evidence  
24 that 20 percent is bad either. But I do sense a  
25 strong consensus among practitioners and members of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 this panel and other people that that is egregious  
2 enough that any expert or any reasonable practitioner  
3 who is practicing would look at that type of implant,  
4 say, "This is terrible. This is clearly an  
5 inappropriate application of radiation."

6 At least for a starting point or a point  
7 to move forward from this seems to be a consensus in  
8 our community at least that that is a definition that  
9 practitioners agree is a misapplication of radiation  
10 to a significant degree.

11 FACILITATOR SALTER: Dr. Welsh?

12 DR. WELSH: I might add that I agree that  
13 we don't have scientific solid data to say 20 percent  
14 is the absolutely appropriate number. But I think  
15 most of us, using a little common sense and judgment,  
16 agree that 20 percent is quite reasonable.

17 There could be a regulatory challenge that  
18 might be encountered when practitioners use variant B  
19 in Dr. Hagan's presentation. Variant A was with all  
20 the seeds within the prostate. Variant B was where  
21 the practitioner chooses to put some in  
22 extra-prostatic location.

23 And both of them work very well. Both of  
24 them have equivalent clinical outcomes in terms of  
25 cure and side effects, but here is where the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 terminology that has traditionally been used becomes a  
2 little bit too vague when we talk about the target  
3 site.

4 And here is where I think that it might be  
5 appropriate to use modern terminology, such as gross  
6 tumor volume, clinical target volume, and planning  
7 target volume.

8 And in Dr. Hagan's slides, both  
9 practitioners have the seeds within the planning  
10 target volume. And both were done appropriately in  
11 accordance to what the authorized user wanted to do.  
12 But it could be very difficult for a regulator who is  
13 not fluid in this particular subspecialty to not label  
14 the second approach where the seeds are outside the  
15 prostate as a medical event unless we have a tighter  
16 definition for target volume.

17 FACILITATOR SALTER: Dr. Ennis? And then  
18 Dr. Hagan.

19 DR. ENNIS: I'm glad you brought it up  
20 because I was assuming we were talking about planting  
21 target volume. So that means what I intended to  
22 implant. And that is purposefully not the prostate.

23 Very few people only implant the prostate  
24 itself for a lot of the reasons that we have discussed  
25 before. Most brachytherapists will purposely implant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 at least a little bit, maybe a few millimeters, some  
2 even more than that, beyond the prostate, with  
3 intention and with excellent outcomes. Some of the  
4 best outcomes are in centers who do this as a  
5 conscious thing.

6 So in terms of the correlation between the  
7 physician's intent and the outcome that NRC is looking  
8 to, it seems clear that we need to at the time of the  
9 written directive say, "Okay. This is what I'm  
10 planning to do to the planting target volume" and then  
11 measure that against the post-implant analysis.

12 FACILITATOR SALTER: Dr. Hagan?

13 DR. HAGAN: Just to add sort of some real  
14 world experience to the 20 percent number, when the  
15 blue ribbon panel looked at cases from the  
16 Philadelphia VA Medical Center, it was clear that  
17 there were implants that looked inappropriate.  
18 Without any quantitative eye, just examining the  
19 implant, the implant appeared to be inconsistent with  
20 an implant, the intent of which was to treat the  
21 prostate.

22 Now, they actually were very few in  
23 number. And when we looked at those that had 20  
24 percent of activity outside of the planting target  
25 volume, out of 116 implants, there were 17. All of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the rest had on average 96 percent of the source  
2 strength material within the planting target volume.  
3 But there were 17 where that was not the case where 20  
4 percent number was exceeded.

5 Out of those that clearly looked  
6 inappropriate to the panel, some of those that were at  
7 20 percent really didn't bother the panel. Those that  
8 were 25, 35, even 40 percent were clearly  
9 inappropriate to even the untrained eye. And these  
10 very trained eyes picked up perhaps a dozen of the 17.

11 But, to make Dr. Ennis' point, these  
12 patients as a group are doing very well. And their  
13 incidence of these patients with 20 percent of seeds  
14 outside the planting target volume are doing very  
15 well. And their incidence of biochemical recurrence  
16 is very low and absolutely in keeping with the  
17 published literature.

18 So, even correlating 20 percent with a  
19 clinical outcome, you know, it's not going to happen.  
20 So we set a limit that's based on experience, but at  
21 the same time, we are well beyond the safety factor  
22 that would be built in in order to be able to  
23 demonstrate an implant that clearly has harmed the  
24 patient in terms of under-coverage.

25 FACILITATOR SALTER: Well, we are about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 two minutes from 12:00 o'clock, which is our break  
2 time for lunch. I want to thank all of the panelists  
3 for everything this morning: your presentations, your  
4 dialogue. The panel members are asked to come back  
5 and sit at the head up here on the stage after lunch  
6 so that if someone wants clarification on something  
7 that one of the panelists said, they can ask it and  
8 they can respond.

9 In addition, you all will also be able to  
10 comment during the public comment period if there is  
11 something that you would like to bring up that you  
12 didn't have a chance to here during the facilitative  
13 dialogue.

14 So, with that, I just want to --

15 PARTICIPANT: Can we leave things in the  
16 room over the break?

17 FACILITATOR SALTER: Can we leave things  
18 in the room over the break? Can you go ask if they're  
19 going to lock the room and we can get an answer to  
20 that before we break?

21 And we are getting back at 1:30 for public  
22 comment. And so I would again remind you to fill out  
23 a blue card if you would like to make a comment.

24 And, to just kind of close up our morning  
25 session, I am going to ask Mike Fuller to come up and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 let's have some closing remarks.

2 MR. FULLER: Thank you, Susan.

3 I would like to echo Susan's comments. We  
4 do really truly appreciate the comments and the  
5 discussion that we have had this morning.

6 I have one other announcement I would like  
7 to make. And this is good news for those of us who  
8 have been working on these workshops. I did get  
9 confirmation just before the last session that the  
10 Houston workshops are now up on the website. So folks  
11 can go to the meeting website and register for the  
12 Houston workshops now.

13 And I can verify that the location is  
14 going to be at the Marriott Texas Medical Center  
15 facility there on August 11th and 12th.

16 Now, we have sent out this link to our  
17 meetings website numerous times, but I don't think I  
18 have ever -- well, I will just go ahead and read it  
19 out for those of you who want to jot it down.

20 I think there may be a number of folks  
21 that are out on the webinar that are planning on  
22 attending the workshops in Houston. So maybe this  
23 will be useful.

24 Again, the website for our medical  
25 rulemaking workshops is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 www.blsmeetings.net/nrcmedicalrulemakingworkshop.

2 Again,

3 www.blsmeetings.net/nrcmedicalrulemakingworkshop.

4 And, with that, I guess we will go ahead  
5 and break for lunch. Everybody be back around 1:30 or  
6 so. Wait a minute. Around 12:15, the room will be  
7 locked up. And then we'll open it up shortly before  
8 the 1:30 time that we are due to be back.

9 (Whereupon, a luncheon recess was taken at  
10 12:01 p.m.)

11

12

13

14

15

16

17

18

19

20

21

22

23

24

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:33 p.m.)

3 FACILITATOR SALTER: We are going to go  
4 ahead and get started with the second part of our  
5 presentation. So just a couple of quick reminders in  
6 case you weren't here this morning or in case you  
7 were, remind you to turn off your -- or put your  
8 electronic devices on the silent mode so that we don't  
9 interrupt the meeting. If you need to take a call,  
10 that's fine. We just ask that you go outside of the  
11 room to do that.

12 And also we want to remind everyone that  
13 we are transcribing this meeting. So if you can just  
14 keep the sidebar conversations down?

15 Again, you know, yelling comments out from  
16 the audience, there's just no way to really capture  
17 those comments. And we want to make sure that we get  
18 everything on the record and that we have an  
19 opportunity to look back and reflect on all of the  
20 comments that were made. So just ask that if you  
21 would like to make a comment, that is why we are here.

22 And I will ask you to come up to the  
23 microphone to make your comment. And I will ask you  
24 to introduce yourself and any organization that you're  
25 affiliated with. But please do not come up to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 microphone until I ask you to do to that or until I  
2 call on you.

3 We don't have too many people that want,  
4 that requested to make a comment. I just want to  
5 remind you if you decide that you would like to make a  
6 comment, feel free to fill out a blue card and bring  
7 it up to me.

8 So we're going to start off. Again, I  
9 will just remind everyone that you are going to hear a  
10 lot of different perspectives, a lot of different  
11 positions. We want to make sure that we show respect  
12 to everyone, even if we don't agree with that  
13 position.

14 I think our panelists did a wonderful job  
15 this morning of exhibiting that behavior for us. So  
16 we just want to follow that through the rest of the  
17 day.

18 So we only have, like I said, a few people  
19 who asked to make comments. And so once these comments  
20 are done, I have a comment that came in that I will  
21 read. We will go to the webinar to read any comments  
22 that came in from folks participating on the webinar.  
23 And then we're going to look and see where we are, how  
24 much time we have left. And we may go back to the  
25 panelists and start another dialogue with them. But

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 we will see how it goes.

2 For right now, we are going to begin the  
3 public comment period. And so I would ask the first  
4 -- actually, I'll just say the four speakers that we  
5 have, first we'll begin with Robert Stanton. Is  
6 Robert here? Okay. And then we're going to go to  
7 Subir Nag and then Pat Zanzonico and then Chandan  
8 Guha. So that's kind of the order, but I will call  
9 you when it is your turn. And we're going to start  
10 with Mr. Stanton.

11 MR. STANTON: Thank you.

12 - PUBLIC QUESTIONS AND COMMENTS

13 MR. STANTON: Good afternoon. This is  
14 more an opinion. And then the question can be opened  
15 up, and people might want to comment. I see the  
16 question of the medical event being used as a  
17 surrogate to talk about good medical practice. And  
18 that's not necessarily the intent of any individual,  
19 but that's the way it gets conglomerated together.  
20 Using a technical term, it's getting squished.

21 The safe use of radioactive materials I  
22 feel is the purview of the NRC and similar  
23 organizations functioning under agreements, but the  
24 practice of good, safe, effective medicine is not  
25 really the purview of that safety agency.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1           Now, I support activities to do that. I  
2 do believe that quality assurance is necessary for all  
3 medical practice. For example, the American College  
4 of Radiology and other similar organizations accredit  
5 external beam radiation therapy departments. And in  
6 the state I live in, New Jersey, in order to get a  
7 license to operate a linear accelerator department and  
8 treat patients, you have to be accredited by one of  
9 those agencies.

10           But that is different from a regulatory  
11 statute and inspection by non-medical personnel in  
12 this activity to review us. It's peer review, review  
13 by other medical professions, other physicians, other  
14 physicists. I'm a physicist. But that's not what I  
15 see coming out of an extension of the NRC mandate for  
16 evaluating radiation implants, prostate implants.

17           So that is the comment I want to make.

18           FACILITATOR SALTER: So now I am going to  
19 give the panel an opportunity to respond to that  
20 comment. And I can see Ron wants to make a comment.  
21 So I will go to him first.

22           DR. ZELAC: The Commission itself -- I'm  
23 not talking about staff, but the Commission itself has  
24 put out in the past a medical policy statement, four  
25 specific statements relating to how we would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 involved in the radiation, medical use of radioactive  
2 materials.

3 One of those statements has to do  
4 specifically with patients. And what it says,  
5 paraphrasing, is that the Nuclear Regulatory  
6 Commission will get involved with the protection of  
7 the public, which includes patients to the extent of  
8 trying to assure that what the physician ordered is  
9 what the patient gets. And it's from those words that  
10 we have gone to where we are now in trying to  
11 implement. Have we gone too far? Should we be doing  
12 less?

13 Those are clearly questions to be  
14 addressed. But that's where it came from, and that's  
15 why we are where we are at the moment.

16 FACILITATOR SALTER: Dr. Ennis?

17 DR. ENNIS: I appreciate the comment. We  
18 more or less agree. I do think there is a difference  
19 -- and where we draw that line might be somewhat  
20 debatable -- between quality assurance and protecting  
21 the public from radioactive misuse.

22 There are organizations that are coming  
23 together, patient safety organizations, in which data  
24 is being compiled on near misses and things like that  
25 under the protection issues so that physicians can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 learn best practices and learn to improve while not  
2 being exposed to potential liability issues that seems  
3 that ASTRO I think that is the appropriate venue for  
4 these types of QI initiatives and medical improvement  
5 initiatives to the distinction, at least in the past,  
6 from an NRC regulatory point of view of you have  
7 misused radiation and risks to the patient.

8 FACILITATOR SALTER: Any other comments?  
9 Robert Dansereau?

10 MR. DANSEREAU: In New York, we are  
11 proposing regulations to require accreditation from  
12 either American College of Radiology or the ACR. And  
13 we had sent that out to all of our linear accelerator  
14 registrants. And we did not get any opposition to  
15 that notion.

16 So we're moving forward to that similar to  
17 what New Jersey already has in place. So we feel that  
18 to have a peer review like that process is very  
19 valuable.

20 FACILITATOR SALTER: Dr. Hagan?

21 DR. HAGAN: Yes. I agree with Ron's  
22 comments and concerns and agree more or less with  
23 them. I think the application of D90 is part of the  
24 engine which put us here today. And so I think the  
25 application of a specific metric that it was itself

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and continues to be one that's under evaluation has  
2 its supporters and its detractors. To apply that and  
3 to apply that in a rigorous way transgresses into  
4 making a clinical decision, as opposed to a regulatory  
5 one.

6 FACILITATOR SALTER: All right. So we  
7 will move on to our next comment from Subir Nag. I  
8 stole this microphone. So you're going to have to go  
9 over there.

10 DR. NAG: Fine. Thank you very much for  
11 this opportunity. I have to start with the  
12 disclosure. I have been a member of the ACMUI before,  
13 and I have been deemed an expert from ASTRO, ACRO,  
14 ABS, et cetera.

15 However, the comment I am making today is  
16 in my capacity as a person with over 35 years  
17 experience in implant and other permanent implants,  
18 but these are totally my private views, rather than my  
19 official views.

20 We have been in this for over 35 years.  
21 Let's go back a little bit on the historical aspects.  
22 When we first started doing permanent implants, how  
23 were we prescribing or what were those directives?  
24 These written directives were very simple. We had the  
25 volume that you needed to implant. You take those.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 You multiple that by five, and that was the number of  
2 millicuries required to implant a certain volume.  
3 Those were prescription in terms of activity.

4 Now, activity name has not turned. And it  
5 changed. You saw strength. So that's why now you  
6 have to prescribe by source strength.

7 So the whole onset of those for permanent  
8 implant only came after we did the implant. We found  
9 how many of these patients looked at implant goals.  
10 And when we kept on multiplying how many millicuries  
11 you need to prescribe, and now you treat with CT and  
12 three-dimensional dosimetry. You found what those  
13 became. So it was only, of course, not significance,  
14 not for a prescription. That was a mistake to use  
15 that through a written directive.

16 So it started with and should be wanting  
17 it to stay at an activity or source strength method of  
18 prescription. When you transplanted those and found  
19 out what the prognostics are that you engaged in,  
20 that's a different method, not a prescription method.  
21 I want to make this very clear to the regulators and  
22 to everyone questioned here.

23 The second thing, why would you then need  
24 to do something different for the removal implant  
25 versus a permanent implant? Why you are doing that or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 removing for a permanent implant, why don't you do  
2 that for the removable implant?

3 The reason is very simple. In the  
4 removable implant, you decide what you want to  
5 implant. And then you have the time to do your  
6 calculation. You have the time to calculate your  
7 dose. You then can prescribe what those -- everything  
8 is under your goal. And then you remove it.

9 So if there are certain things that have  
10 changed, you can have that under your control. You  
11 cannot have that with a permanent implant when you  
12 have done that at the end. So in a permanent implant,  
13 if you have given a certain millicurie or certain  
14 source, if there are certain factors that are  
15 happening in the patient, whether the patient was  
16 getting it out or an e-mail or some other thing that  
17 is happening or a certain coming in and putting in a  
18 flap or anything like that, those factors should not  
19 change or should not matter what you did in the  
20 prescription, how long you did the prescription? If  
21 it went to the place where you did and that was your  
22 intent, then that is what you should be judged upon  
23 and not what happened inside the body.

24 So I hope this -- not many places have  
25 given this very clearly. And that's what I'm trying

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 to explain why there is a difference between a  
2 permanent implant and removable implant and why some  
3 of the confusion has taken place over the year.

4 Then what are the dangers that happen that  
5 are under the control of the authorized user? Well,  
6 we had a certain number of millicuries we wanted to  
7 implant into the organ, whether it's prostate, whether  
8 it's some other organ. And then the volume can  
9 change. We have heard many times the volume changed.  
10 So what if the volume changes? Well, if the volume  
11 changes, the dose changes inversely. And, therefore,  
12 your dose will change.

13 So you cannot then talk about those. You  
14 have to talk about, you know, what millicurie went in.  
15 So, for example, in permanent implant in the liver,  
16 you want to give a certain dose, but you cannot. So  
17 you give a certain number of gigabecquerel. And that  
18 goes into the liver. And in those permanent implants,  
19 it is activity going in.

20 The timing of the symmetry, we have talked  
21 many times. Whether you are doing it on day one --  
22 and people have been asking, should it be 60 days, 30  
23 days? Again, it depends also on the isotope.

24 In iodine-125, the half-life is 60 days.  
25 So probably it makes sense to do the symmetry at 30

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 days. Many do it on day zero, day 30, the volume will  
2 change. But in a palladium implant, the half-life is  
3 17 days. Why should you do the same with the  
4 palladium population that you do for iodine? So the  
5 number of days will depend on how you do your implant,  
6 how you had planted your implant.

7 Then in imaging modality, many, of course,  
8 have talked about, your dose that you will get will  
9 depend on the imaging modality ultrasound with the CT,  
10 with the MRI, the contouring, whether the contouring  
11 was done on -- the volume has always been different  
12 from the way it was implant.

13 There was a meeting right here in New York  
14 in 2002. I was part of that meeting. We had about 12  
15 of the top radiation oncologists and physicists who  
16 were involved in prostate implant at that time. We  
17 all went to the same prostate contours. And we were  
18 told to draw the contour of what we thought was the  
19 prostate. And that was adherent radiation more than a  
20 factor of two. Then if on the same prostate we wanted  
21 to see what the dose would have been, it would have  
22 been growing by a factor of two.

23 The planting margins. We talked about the  
24 planting margins. Therefore, the planting margin is  
25 different by individual petitions. So that when we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 are talking about a treatment site, talking about --  
2 Dr. Zelac had talked about treatment sites -- we have  
3 pointed out several times that you cannot say  
4 treatment sites to mean exactly. It should be called  
5 as the planting target volume.

6 It's the volume you intend to implant, not  
7 the prostate volume. The prostate volume is  
8 immaterial. Depending on your philosophy of implant,  
9 if you want to do belly implant, central implant,  
10 where did you plan to put the seed so that if your  
11 dose, it depends on volume.

12 The other thing, you are doing it for a  
13 D90 and you say more than 120 percent will be a  
14 medical event, we are going to see that if you look  
15 through all of the reports over the years, you get  
16 better control on those groups who have more than 120  
17 percent. So you're going to have people who have  
18 medical events are going to have better control rates.

19 So this is totally ridiculous. So I'm  
20 just pointing it out, how ridiculous it will be if we  
21 are going to insist on a dose-based matrix.

22 The other thing is a 20 percent deviation,  
23 in addition to permanent implant, the implant was a  
24 removable implant. This 20 percent is something we  
25 would want NRC to think about. Why? Because there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 are two different areas you have to think about. One  
2 is your target volume. If you get the higher dose in  
3 your target volume, you are going to get a better  
4 control rate.

5 So if you get more than 120 percent, you  
6 are going to get a better control rate with the  
7 resource so long normal but not yet overdose. So if  
8 you are getting higher than 120 percent in your target  
9 volume, that's fine. I don't think that should be a  
10 problem, more than the normal but not yet overdose.

11 So then you say, "Well, the normal  
12 resource is not yet more than what that yellow one, 5  
13 sievert or 50 millirem and more than 50 percent  
14 overdose the normal, will that work?" No because the  
15 50 percent overdose is fine, but the 50 millisievert  
16 came from something from a total body exposure, not  
17 from permanent implant, where the volume is extremely  
18 small.

19 Fifty millisievert or 50 millirem was more  
20 like -- more volume by itself. The whole body  
21 exposure, yes, but external means whole body exposure  
22 at 15 millisievert is fine. For a permanent implant,  
23 50 percent overdose and millisievert cannot work  
24 unless the expected dose was already very high. If  
25 the expected dose was extremely low. Fifty percent of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 an extremely low dose is certainly by itself.

2 The other question that you had talked  
3 about and others had talked about was when it is  
4 something that the public should worry about and  
5 people say, "Well, it's not necessarily a problem."  
6 No matter what you call it, the moment you have a  
7 medical event, number one, but basing it, number two,  
8 the massive volume of work that is required within by  
9 the NRC, by the institution but not by itself. Plus,  
10 if it was an underdose, many places will now seek  
11 additional treatment that is not only unnecessary but  
12 could be harmful.

13 So, first, you think you have an  
14 insufficient dose to the patient or to someone else.  
15 "Oh, yes. You have insufficient dose. You need  
16 another external." And then you would really add harm  
17 because you had an inappropriately called medical  
18 event.

19 So I would say that the current definition  
20 would work, but if they are a patient practice, like  
21 volume changes and so forth that are happening because  
22 of the patient practice, those should not be called  
23 medical event.

24 So having all of this, I have also been an  
25 NRC consultant. And I have examined many medical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 events or potential medical events. And what I have  
2 seen is that if you use an activity-based definition  
3 of medical event, you can really catch the bad actor  
4 and not in the same net as those that are proffered.  
5 So I would support a definition of medical event that  
6 is activity-based and definitely not support a  
7 dose-based medical event. And you really should not  
8 separate out prostate from non-prostate from permanent  
9 implant because they are all permanent implant. What  
10 you should do is word your definition in such a way  
11 that it will work both for prostate and non-prostate.

12 Thank you very much.

13 FACILITATOR SALTER: Thank you.

14 I am going to go back to the panel and see  
15 if anybody wants to comment on that before, anything  
16 that Dr. Nag said before we move on. Dr. Zelac?

17 DR. ZELAC: Thank you for that very  
18 comprehensive coverage of our essentially subject  
19 matter for the day. I appreciate very much getting  
20 your opinions on these various issues.

21 There are two statements that you made  
22 that I would like to at least comment on. The first  
23 had to do with what is now in the regulation defined  
24 as the treatment site.

25 The regulations that we have now were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 formulated in 2002. And at that point in time, the  
2 intent of the Commission was to move from what had  
3 been a very prescriptive regulation in many respects  
4 towards a more performance-based regulation. And,  
5 with that in mind, where there could be  
6 simplification, where it didn't have to be very  
7 specific about something, it was introduced.

8 Treatment site was intended to permit the  
9 physician to make the definition of what the treatment  
10 site should be, be it PTV, GTV, CTV, whatever. It was  
11 up to the physician to make that decision and to go  
12 forward with that.

13 So, you know, the fact that it is open to  
14 input on an individual basis by the involved physician  
15 to his or her preference is what we thought was the  
16 way to go. If you are suggesting that we be more  
17 specific and more essentially prescriptive in saying  
18 PTV, as opposed to treatment site, we can go in that  
19 direction or at least we can consider going in that  
20 direction, but I'd like to be sure that that's what  
21 you were really intending.

22 DR. NAG: I think I should --

23 FACILITATOR SALTER: Just introduce  
24 yourself again so the people on the webinar know.

25 DR. NAG: Sorry. Subir Nag.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I should like to clarify. What I am  
2 saying is that the treatment site that the physician  
3 is intending, really, where we are planning to put our  
4 radioactive materials, what that, therefore, really is  
5 the planning. However, the mistake that the people,  
6 the inspectors and the people, who are trying to find  
7 out if this is a medical event or event is that they  
8 are thinking it is prostate organ.

9 So I think maybe we need clarification  
10 introduced that the treatment site where we are going  
11 to is the planting area that the authorized user  
12 intends to place the radioactive material such that  
13 the area they want to treat will be treated.

14 So you need to have that clarification.  
15 Otherwise, the person who is examining it thinks that,  
16 well, treatment means the prostate and, therefore, if  
17 the prostate is not getting the dose or the  
18 millicuries you want to have, it is, therefore, a  
19 medical event.

20 So I think it is more a clarification that  
21 is needed for the people who are both prescribing and  
22 to the inspectors.

23 DR. ZELAC: So you're basically saying  
24 that the use of the words "treatment site" is okay as  
25 long as there is a clarification as part of the rule

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 as to what that means --

2 DR. NAG: Yes.

3 DR. ZELAC: -- by definition?

4 DR. NAG: Yes. Yes. That the treatment  
5 site refers to the area that the authorized user  
6 wishes to place the radioactive material into, which  
7 is the same as the planting target volume basically.

8 And the margin that you are allowed to  
9 have would be up to the authorized user. He's the  
10 only one who knows where he is planning to put the  
11 seed.

12 DR. ZELAC: Okay.

13 FACILITATOR SALTER: Does anyone else on  
14 the panel want to comment on the treatment site  
15 descriptor? Dr. Welsh?

16 DR. WELSH: Thank you.

17 I think that the term "treatment site" may  
18 have originated in an era that predates the precision  
19 of our definitions that we use today, such as gross  
20 tumor volume, clinical target volume, planting target  
21 volume.

22 And now if it is clear that NRC and  
23 inspectors understand that the so-called treatment  
24 site is up to the physician's discretion as GTV, CTV,  
25 or PTV, I think that it would be perhaps preferable to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 be less prescriptive and to allow the continuation of  
2 the term "treatment site" so long as it is understood  
3 that treatment site might in some cases be synonymous  
4 with PTV, in most cases synonymous with PTV.

5 Perhaps less prescriptive is better in  
6 this context as long as inspectors and NRC understand,  
7 and it sounds like they do now.

8 FACILITATOR SALTER: Dr. Hagan?

9 DR. HAGAN: Just one addition is that  
10 whatever our metric turns out to be, that treatment  
11 site flexibility is helpful, but the written directive  
12 should include the authorized user's definition of the  
13 treatment site for that procedure. So we can hold the  
14 authorized user to accomplishing that which he  
15 intended.

16 FACILITATOR SALTER: All right. So let's  
17 bring up our next --

18 DR. ZELAC: Excuse me?

19 FACILITATOR SALTER: Oh. Sorry. Dr.  
20 Zelac wants to make a comment?

21 DR. ZELAC: No. I wanted to bring up  
22 another issue with what Dr. Subir Nag has said.

23 FACILITATOR SALTER: Okay.

24 DR. ZELAC: And this has to do with the 50  
25 rem, 50 percent. The 50 rem was there essentially to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 provide a minimum dose, below which there would be no  
2 consideration of a medical event.

3 Now, what we have clearly heard several  
4 times today is that if you are going to have a 50  
5 percent as one of the criteria -- and that's still  
6 debatable whether it should be 50 or 100 percent or  
7 whatever, that there still needs to be a minimum dose  
8 below which you simply do not consider this as a  
9 potential medical event. So if the question is, if  
10 it's not 50, if that's too low, where should it be?

11 DR. NAG: Subir Nag. From a linear  
12 standpoint, that has to relate to some normal, usual  
13 arrangement. It cannot be the same for official with  
14 a 10,000 centigray or some other official, where you  
15 are going to harm the official with 1,000 centigray.  
16 So it has to have some relation for the normal, usual  
17 event.

18 But the problem is then you will have to  
19 state that for the rectum, 6,000 centigray perhaps.  
20 Then you have to take for each individual organ what  
21 that limit would be.

22 That cannot be 50 centigray because that  
23 small dose, 50 percent of that dose would be of no  
24 significance at all. But if you take that in the  
25 rectum, it cannot be more than 6,000. If there are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 60,000 or 60 Gray once you explain and you are having  
2 50 percent more than that, definitely that would be  
3 helpful.

4 So there has to be some correlation with  
5 normal tissue.

6 DR. ZELAC: Well, this is Dr. Zelac.

7 That clearly presents a regulatory  
8 challenge to set up something of that nature, but I  
9 hear what you are saying.

10 FACILITATOR SALTER: Dr. Welsh wanted to  
11 comment.

12 DR. WELSH: Again, as I've said before,  
13 whenever we are talking about doses that if exceeded  
14 would represent a medical event, it must be tied to a  
15 volume or an area. Otherwise it's essentially  
16 meaningless and extremely difficult to enforce.

17 And so when we are talking about parallel  
18 organs versus especially parallel organs versus serial  
19 organs radiobiologically, it is critically important  
20 and a standard to define an area or volume. Otherwise  
21 any number that we come up with is not going to have  
22 much value.

23 FACILITATOR SALTER: All right. So let me  
24 ask -- Dr. Welsh?

25 DR. WELSH: Dr. Nag brought up a number of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 important points. So I'm just going to comment on one  
2 of the points brought up, which was the post-implant  
3 dosimetry deadline. And we have heard that it is a  
4 very controversial point. We don't even have  
5 consensus within the ACMUI subcommittee.

6 AAPM has recommended no deadline, but I  
7 can appreciate and many can appreciate that if we are  
8 saying that if post-implant dosimetry is appropriate  
9 and should be done, a regulator is going to say you  
10 have to have some kind of deadline. Otherwise this is  
11 unenforceable.

12 And, as Dr. Nag has brought up, the  
13 isotope chosen, palladium-103, cesium-131, iodine-125,  
14 they have different half-lives. And, therefore, it  
15 can be a little bit challenging to have a one size  
16 fits all for the deadline.

17 But it might be reasonable to say that if  
18 a deadline is proposed, that it be well beyond not the  
19 half-life of the isotope so much but the edema  
20 half-life. Otherwise you would always have potential  
21 challenges with this volume concern. And, therefore,  
22 a minimum of 60 days might be appropriate if NRC  
23 insists on having a deadline at all.

24 FACILITATOR SALTER: Any of the panelists  
25 want to? Dr. Zelac?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. ZELAC: Just a comment. The question  
2 of what the time factor should be has come up several  
3 times. Our understanding was that typical clinical  
4 practice for the longest isotope that was used for  
5 implantation, longest life isotope, iodine-125, was to  
6 make the determination of source position and,  
7 therefore, the dose determination at 30 days typically  
8 or less but typically not more than 30 days.

9 So the working group that put together the  
10 re-proposed rule said "Okay. If standard practice  
11 would be for the longest lived isotope doing this at  
12 30 days, give them twice as much time. Put the limit  
13 at 60 days and say it should be completed by 60 days."  
14 That's where the 60 days had come from. And, you  
15 know, that was kind of the rationale for establishing  
16 it to begin with.

17 Clearly if the patient doesn't show or is  
18 not available, you know, that is patient intervention.  
19 And all bets are off in terms of there being a medical  
20 event because of it not being done within the 60 days.  
21 But that's where it came from.

22 FACILITATOR SALTER: Dr. Nag, please go up  
23 to the microphone.

24 DR. NAG: I'm Dr. Nag.

25 I think, Dr. Zelac, what you say is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 correct. I think 60 days is a practical suggestion.  
2 Now, what if the patient doesn't come? I think that's  
3 very easy to show. What the physician has to do is to  
4 say that, you know, you have to make an honest attempt  
5 at getting the patient back, normally at 30 days, or  
6 zero days, one day, or 30 days. These are the three  
7 most commonly used.

8 And if the physician makes an honest  
9 attempt at getting the patient back and documents, we  
10 have written a letter that the patient hasn't come  
11 back, at 45 days hasn't come back, and at 60 days, we  
12 have lodged, really, a time for the patient to come  
13 back, the patient has not come back or the flight was  
14 not available or whatever, if that is not documented,  
15 that will not be a medical event. I think it can work  
16 out perfectly.

17 FACILITATOR SALTER: All right. So Dr.  
18 Nag has brought a number of topics up. And it seems  
19 like we had some good discussion going. Before we  
20 move on, I just want to offer the panelists a chance  
21 to comment on any of the comments that Dr. Nag made.

22 (No response.)

23 FACILITATOR SALTER: All right. So let's  
24 have our next speaker come up. It's Pat Zanzonico.  
25 And I am going to ask you to go over there, one,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 because I took this mike and, two, you're blocked by  
2 the podium from seeing the whole panel. Please just  
3 introduce yourself and any affiliations you have.

4 DR. ZANZONICO: Good afternoon. I'm Pat  
5 Zanzonico from Memorial Sloan-Kettering here in New  
6 York City. And I'm also a member currently of the  
7 ACMUI.

8 I actually have questions more than  
9 comments. The first is there seems to be a consensus  
10 on an activity-based definition, rather than a  
11 dose-based definition for medical events in permanent  
12 implant brachytherapy of the prostate from all I hear.  
13 And it makes sense to me.

14 The question I have is, would there be  
15 scenarios, practically speaking, where such a  
16 definition would not capture a clinically significant  
17 medical event?

18 And I'm thinking, for example, where there  
19 is unintended seed bunching within the prescribed  
20 treatment volume. So that by the 20 percent activity  
21 criterion, it would not be a medical event. But, yet,  
22 it would be not what was intended. And it would have  
23 a clinically significant impact. And if that's the  
24 case, what practical additional criteria could be  
25 introduced to capture that as well?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 FACILITATOR SALTER: So let's go to the  
2 panel first. Comments on that question? Dr. Hagan?

3 DR. HAGAN: Well, that's an issue that I  
4 think each of us have thought about and opined and  
5 identified comments from outside and inside  
6 brachytherapy community about. Practically, looking  
7 at those implants from Philadelphia, there was one  
8 implant where the activity metric would not have  
9 picked up a significant deviation from the planned  
10 D90. And that implant was one where the physician  
11 changed his design coverage to eliminate part of the  
12 prostate. And so when reevaluating that implant in  
13 terms of total coverage, there was some reduction of  
14 anterior coverage.

15 So it identifies two things that are very  
16 helpful in terms of answering that. And that is that  
17 the source distribution at the end of the procedure is  
18 something the physician routinely comments on as part  
19 of the operative note. And requiring that attestation  
20 so that there is a verification by the physician at  
21 the end of the procedure that his source distribution  
22 is as he intended it I think gives you a way to not  
23 only control but to verify with a written signature,  
24 with written attestation the possibility that you  
25 raise that seeds have an unusual and an unplanned and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 unsatisfactory distribution has occurred.

2           The only condition that you could imagine  
3 where that happens is where the seeds have been poorly  
4 distributed within the prostate. And, yet, an  
5 incorrect statement, a misstatement is made in the  
6 operative note. So requiring the operative note to  
7 comment on the seed distribution I think gets you  
8 about as far as you're going to get with that kind of  
9 evaluation.

10           Trying -- and we have done this, and there  
11 is a small literature on it. Trying to apply some  
12 sort of sectoring technique to the prostate evaluation  
13 to do seed counting per sector sounds like a good  
14 idea, but in practice, it's very difficult. And once  
15 the physician has decided to omit part of the  
16 coverage, then sectoring the residual volume to try to  
17 answer that question becomes an impossible event --

18           FACILITATOR SALTER: Any other panelists  
19 want to weigh in on that?

20           DR. ENNIS: I agree.

21           FACILITATOR SALTER: Dr. Ennis?

22           DR. ENNIS: Sorry. Dr. Ennis.

23           FACILITATOR SALTER: Yes?

24           DR. ENNIS: Also, just trying to help NRC  
25 think ahead, there are trends to start to move towards

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 implantation of tumor more intensely or exclusively  
2 and not necessarily trying to treat the whole  
3 prostate. We would want to introduce a regulation  
4 that would immediately stymie the progress of the  
5 field.

6 So allowing, as Dr. Hagan said, an  
7 attestation of what my intent was, what it might be,  
8 maybe it is not a protocol or whatever, and then  
9 confirming that with the post-implant dosimetry is the  
10 most flexible way I think to deal with this problem  
11 while still giving the regulators definitions that can  
12 be verified against intent.

13 FACILITATOR SALTER: Good. Do you have a  
14 second?

15 DR. ZANZONICO: Yes, I have another  
16 question. And this is related to the issue of  
17 terminology, but I think it has more tangible  
18 implications than just semantics.

19 There has been some discussion about is  
20 there an alternate term for "medical event," less  
21 ominous, having less medical, legal implications? The  
22 term I was thinking of is a "sentinel event." And the  
23 importance of it is not so much the difference between  
24 a sentinel event and a medical event but that if it's  
25 reasonable to build into the regulatory definition of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 such an event, that by definition it has no clinical  
2 impact on the patient, but it simply signals an  
3 apparently suboptimal practice that if unchecked could  
4 eventually lead to a clinically adverse event.

5 So it seems that that kind of in-between  
6 type of term would overcome a lot of the objections of  
7 the clinicians and others to a medical event and all  
8 that it implies. Yet, it would satisfy the desire of  
9 the regulators to be able to identify these sorts of  
10 suboptimal things that can and should be addressed  
11 before progressing.

12 So, Dr. Zelac, is that something that is  
13 appealing at all to regulators and so forth?

14 DR. ZELAC: I can only speak for myself,  
15 and the answer is yes.

16 FACILITATOR SALTER: Any other panelists  
17 want to? Dr. Hagan?

18 DR. HAGAN: Yes, but back to the same  
19 issue, the issue is not so much what you call it but  
20 what you do with it. If you call it a sentinel event,  
21 which is an excellent description of how we use this  
22 event, but you require disclosure to the patient and  
23 you require reporting to a referring physician, then  
24 the pejorative implication is there, no matter what  
25 you call it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           So I think that you need not only to have  
2 a new lexicon, but you need to have a divided intent  
3 of multiple reporting classification or at least two  
4 reporting classifications.

5           DR. ZANZONICO: Well, my thought was that  
6 it would not be a disclosable event. It sort of gets  
7 into the work of quality control, but, again, it does  
8 seem to satisfy the concern of the regulators to be  
9 able to identify suboptimal practice before it reaches  
10 the level of clinical impact.

11           FACILITATOR SALTER: Mr. Dansereau? And  
12 then we'll go to Dr. Ennis.

13           MR. DANSEREAU: In our proposed  
14 regulations for QA for therapy, we do have proposed a  
15 recording of near misses. In our proposed regulations  
16 for QA for therapy, we do have a provision in there  
17 for near misses. We would expect the facility to  
18 record that event, look at the event, look at it for  
19 generic implications, and take any steps they feel  
20 appropriate to avoid an occurrence that would be worse  
21 or maybe meet the definition of a medical event.

22           FACILITATOR SALTER: Dr. Ennis?

23           DR. ENNIS: So I think the physician  
24 community welcomes opportunities to improve their  
25 quality. We just need to make sure the key issues

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 earlier, discoverability, protection, anonymity. If  
2 it's available to a lawyer, if it's available on the  
3 website, it's a huge problem that will not foster  
4 growth and development and quality improvements.

5 And, again, that seems more like a quality  
6 assurance, a patient safety organization-type  
7 activity, but I suppose it might be able to be done by  
8 NRC as well. Those kind of parameters I think are  
9 key.

10 FACILITATOR SALTER: Dr. Zelac? And then  
11 we'll go to Dr. Welsh.

12 DR. ZELAC: When I gave a yes to your  
13 question, it was presumptive of there being, in fact,  
14 two classifications, what we now are calling medical  
15 events, which would have serious clinical consequences  
16 or potentially serious clinical consequences for the  
17 patient involved and the sentinel events, the  
18 precursors, if you will, something like a near miss.

19 But in terms of what would be done with  
20 that information, I think yes, the facility should be  
21 utilizing it for self-improvement, but some of those  
22 have implications for other facilities as well. And  
23 to that extent, those types of occurrences ought to be  
24 noticed to the regulatory body as well.

25 DR. ZANZONICO: They could be anonymized

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and still serve the same purpose.

2 FACILITATOR SALTER: I think we were going  
3 to go to Dr. Welsh. And then we'll go to Ms. Eisner.

4 DR. WELSH: I'll continue with the same  
5 logic and line of discussion here. I do agree that it  
6 might be very reasonable to have another category, in  
7 addition to medical event. And "sentinel event"  
8 seemed like a very reasonable term for it.

9 I think that things that qualify as  
10 medical events would probably be something that would  
11 need to be disclosed to a patient. I would think that  
12 things that fall into the sentinel event category  
13 could be things that would have absolutely no bearing  
14 on patient outcome whatsoever, such as post-implant  
15 dosimetry was done on day 61, instead of within the  
16 first 60 days, or written directive was not put in the  
17 chart or physicians and physicists at this institution  
18 were not trained in definition of medical event.

19 Those should not be medical events, but  
20 they could fall into this second category, the  
21 sentinel event, and have no bearing on patient outcome  
22 and, therefore, not be something that needs to be  
23 disclosed.

24 I might disagree with some others about  
25 whether or not something that does meet the definition

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of medical event should be disclosed to the patient.  
2 My personal perspective is that it should because I  
3 wouldn't want my patient to find out from somebody  
4 other than me that their care was labeled as a medical  
5 event.

6 Irrespective of that, I do concur with the  
7 concept of a sentinel event or some other terminology  
8 that is not so concerning and distressing.

9 FACILITATOR SALTER: Ms. Eisner?

10 MS. EISNER: I certainly agree with the  
11 sentinel event.

12 FACILITATOR SALTER: Can you just pull  
13 that a little closer? Our folks on the webinar are  
14 telling us they're having trouble hearing.

15 MS. EISNER: I also agree that near misses  
16 or anything that could impact overall on other  
17 patients as well should probably be reported to a  
18 regulatory agency as well as internal review to be  
19 looked at.

20 As far as being reported to the patient or  
21 not, personally I would want to know, but there may be  
22 a patient that might not. And patient autonomy should  
23 come into play with that. A patient could simply be  
24 asked, "If something happened," maybe a directive or  
25 something, "would you want to know about it if it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 doesn't impact on your are or outcome?" And many  
2 patients might, and some patients might not.

3 But I think, again, the transparency is  
4 important and the trust be there. Like Dr. Welsh  
5 said, you know, certainly he would want to share with  
6 his patients that. And that might be part of how the  
7 physician views his obligation to the patient.

8 DR. ZANZONICO: Again, this is a purely  
9 informational question, but, just to clarify, does the  
10 time of post-treatment dosimetry have any clinical  
11 impact? I mean, intuitively to someone really  
12 unfamiliar with this, it seems for a permanent  
13 implant, it would not because there is nothing that  
14 can correct it that can be done after the fact or am I  
15 wrong about that? Is there a clinical significance of  
16 the time of post-treatment dosimetry?

17 FACILITATOR SALTER: Dr. Welsh?

18 DR. WELSH: I'll take the first stab at an  
19 answer to that. I would say it most definitely does  
20 have clinical importance. And, as we have said many  
21 times, the prostate is a bit unusual. And this is why  
22 I personally feel that it might deserve a separate  
23 category in that it does swell after it has been  
24 traumatized by numerous needle sticks and foreign body  
25 implantation, irrespective of the radioactivity.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           And, therefore, you will have changes,  
2 including edema, which tend to resolve on an  
3 exponential curve back to its original volume, but  
4 that curve is nothing like the decay curve of  
5 radioisotopes. It is very dependent on the individual  
6 and varies significantly from patient to patient.

7           If we picked the time for post-implant  
8 dosimetry and mandated that it had to be at a certain  
9 time and that time was within resolution of the volume  
10 changes, the edema resolution, you would wind up with  
11 significant problems if we adhered to a dose-based  
12 criteria.

13           If we don't have a dose-based criteria,  
14 it's still a problem because we often would like to  
15 know what the D90 is for our patient, not with respect  
16 to regulatory purposes but just as a clinical  
17 guideline for ourselves and something that will tell  
18 us what to expect with this particular patient's  
19 outcome.

20           I think that if there is to be a deadline,  
21 it must be beyond the edema half-life. And that  
22 half-life typically would be within a 60-day period.

23           I know that there is some debate in the  
24 literature about when the best time for a D90  
25 calculation might be with I-125. Most of us feel that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 30 days is quite reasonable, but I have seen estimates  
2 that go beyond that, which is why I don't feel very  
3 strongly that we have to say 60 days is the limit.  
4 I'm flexible, but I would say shouldn't be anything  
5 less than 60 days.

6 DR. ZANZONICO: This is Pat Zanzonico  
7 again. Is there any upper limit? In other words, is  
8 there a point where, for example, you may get --  
9 "atrophy" perhaps isn't the right word, but you get  
10 the opposite of swelling that can give you a spurious  
11 result so that there should be an upper limit as well  
12 in that respect.

13 FACILITATOR SALTER: Dr. Ennis?

14 DR. ENNIS: So what you have in play are  
15 really two things dynamically at the same time, which  
16 makes it so difficult. It's not just the edema  
17 half-life but the seed half-life, so for different  
18 seed half-life.

19 A cesium implant really needs to be  
20 scanned at some earlier time and an iodine implant for  
21 the same type of edema, but we don't know quite now  
22 what that ought to be and particularly for that  
23 particular patient because they don't know what his  
24 edema is going to be.

25 So I really think we have to leave a lot

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of flexibility to the clinician to make the right  
2 judgment. We can put some outside end time, 60 to 90  
3 days or something like that, as probably being  
4 reasonable and allowing clinicians to practice.

5 Some people like to do day one. And that  
6 is reasonable. Cesium, maybe they should do it at day  
7 7, palladium 17 days, something like that. So there  
8 needs to be a lot of flexibility in terms of you can  
9 have an error, though.

10 And if you wait too long and the prostate  
11 has shrunk down and the dose that you are calculating  
12 is not really what was delivered because you waited so  
13 long the prostate now is worse. So there is a  
14 potential on that side to prescribe that and to  
15 decide, us sitting here, what that is without -- we  
16 really have no clue.

17 Also, you know, for an implant that is too  
18 weak, for example, you can fix that. You kind of  
19 alluded to is there anything you can do with this  
20 information?

21 You can re-implant to get some external  
22 being to make up for that. And although that is not  
23 often necessary or done, it can be done. So that  
24 there is something that can be done with the  
25 information over and above just documentation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 FACILITATOR SALTER: I'm going to give Dr.  
2 Nag an opportunity to also respond to that.

3 DR. NAG: This is Subir Nag. Yes. I want  
4 to make some comments to what has been discussed just  
5 now that prostate is different from other organs. I'm  
6 sorry. I don't think so.

7 If you implant -- and I have implanted  
8 other organs. If you have a solid organ, pancreas,  
9 lung tumors, not the surface implant but actual lung  
10 tumors, when you implant them, there is some edema  
11 afterwards.

12 And there are two regressions. One is the  
13 regression of the edema. Second is the regression of  
14 the tumor. So when you have given enough of those,  
15 the tumor also regresses.

16 So there is some initial increase in the  
17 volume. And then the increase in the volume goes from  
18 the regression of the edema and regression of the  
19 tumor volume. So both of those are taking place.

20 Now, why are people doing the dosimetry at  
21 30 days? It would make more sense to do the dosimetry  
22 at day one. The reason that we're doing the dosimetry  
23 at day 30 was when you did the dosimetry at day one.  
24 Because of the larger volume, they were finding they  
25 were getting a much lower dose. And still they said,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 "Well, let's wait for the edema to put down and then  
2 do it."

3 But if you are waiting too long, then if  
4 you have a problem, you can't do much about it because  
5 the time period had gone. And, therefore, when people  
6 generate that between the dosimetry on day zero and  
7 normally you are not using the dosimetry parameter but  
8 you are using an activity-based parameter, you found  
9 insufficient activity, you could still make the  
10 decision, then, "Do we put in more seed" -- many  
11 people were doing a second implant afterward -- "or do  
12 you add external beam?"

13 So that is the reason why some people do  
14 it on day one, some people do it on day 30. I think  
15 it applies to prostate as well as to other organs.

16 FACILITATOR SALTER: Okay. So just going  
17 back to the panel before we go to our next speaker,  
18 are there any other comments? Dr. Welsh?

19 DR. WELSH: I would agree with what Dr.  
20 Nag just said, that if we come up with the appropriate  
21 definition, there is no need to distinguish between  
22 prostate and other types of permanent implant  
23 brachytherapy.

24 So that is why it is critically important  
25 to come up with the appropriate definition. I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 that the ACMUI ASTRO definition will fit that bill.

2 If NRC does not adhere to these  
3 recommendations, I think that the question still  
4 remains on the table in that prostate. Lung tumors  
5 inside the pancreas are examples of organs that do  
6 experience volumetric changes, anatomic changes  
7 secondary to edema, atrophy, tumor regression, et  
8 cetera; whereas, the lung implants, the mesh implants,  
9 are susceptible to seed rearrangement as part of the  
10 operative procedure and, therefore, might be  
11 conceptually quite different.

12 However, the currently proposed definition  
13 may very well be appropriate for both categories. And  
14 therefore, there may be no need for subcategorization,  
15 after all.

16 FACILITATOR SALTER: All right. So I am  
17 going to ask our next speaker to come up to the mike:  
18 Chandan Guha. And if you can just introduce yourself  
19 with any affiliation or --

20 DR. GUHA: Yes. So I'm Chandan Guha,  
21 professor and Vice Chair, Department of Radiation  
22 Oncology at Albert Einstein College of Medicine in  
23 Montefiore.

24 I have been doing seed implants for more  
25 than 15 years. And I really thought that it was --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 all the panel discussions, you know, many of the  
2 issues that we deal with every day are being raised.

3 So I really have two comments,  
4 clarification, which I would come to, but before that,  
5 I would like to start with an introduction about what  
6 I am saying and why I am saying.

7 Imagine the two things we are dealing  
8 with. One is this definition of prescription or  
9 written directive. The other is the event. So these  
10 are the two things we are discussing in this  
11 rulemaking event.

12 So let's imagine that I prescribe a  
13 surgeon, that you go to operate on the prostate, you  
14 remove the whole prostate. That was the prescription  
15 which was given to the surgeon.

16 We know very well that the surgeon goes  
17 with his best of interest, tries to remove the whole  
18 prostate, and, yet, over and over again, you will have  
19 positive margins, which is basically meaning cancer  
20 being left behind.

21 The surgeon comes back and says, "That's  
22 okay. You know, I tried to remove the prostate,  
23 whatever I could see, but things were left behind."  
24 That's the biology of the disease.

25 So prescription is good. Prescription is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 intent. And that is our written directive. And this  
2 whole prescription issue belongs to a subjective  
3 process and objective criteria.

4 As we saw over and over again, there are  
5 many subjective things, some of which we know about,  
6 such as volume increase of the prostate, the  
7 half-lives, the edemas, which will be led to  
8 overdosage or under-dosage. And there are many other  
9 things which we don't know because the science is not  
10 good enough, such as the biology of the disease,  
11 whether the prostate cancer crawled out of the  
12 prostate, the sensibility of the patient, one, to  
13 patient B. These are all so subjective, but we don't  
14 know how to define them.

15 The only thing which is objective in all  
16 of this parameter as a physician is that when I go to  
17 implant, I know the volume which I got. That is the  
18 only objective thing I have to guide and to shape the  
19 prostate because I am measuring imaging to find out  
20 how the prostate looks.

21 I also know the type of seed I want to  
22 implant. You know, it can be that I intended to go  
23 for iodine-125 but somehow there was a mistake and I  
24 ended up with cesium or palladium. That's a  
25 misadministration.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 I know the activity which I chose per  
2 seed. And to make that volume work, how much I have  
3 to implant, how many seeds I have to implant, which  
4 means, therefore, the only thing which is objective  
5 for me at that day is my inter-operative plan.

6 I looked at it. I consulted my physicist.  
7 And I decided as a technician that there are certain  
8 areas which I will not implant, there are certain  
9 areas I will implant more.

10 So all the volume, the octant and sextant  
11 or whatever you call it, quadrants, it doesn't matter.  
12 I'm seeing part cut. There will be a procedure how  
13 the dose distribution is. And I did it according to  
14 what I felt was there.

15 Now, prostate implant, I frequently, all  
16 my patients, I tell them to come back. Now I am going  
17 to do a post-op CT scan. From the patient's point of  
18 view, all they ask, "Can you see my cancer?" They  
19 don't care, you know, what you are doing because I  
20 can't change anything in the seed implant once it has  
21 been done. They only care for whether I can check my  
22 cancer.

23 And most of the time I keep on telling  
24 them "No, no, no. This is really done for my quality  
25 assurance. This is for my science. This is for my --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 you know, so that everything is good for you," et  
2 cetera. But, in reality, from the patient point of  
3 view, this post-implant dosimetry and other things,  
4 which we do all the time from their perspective. It's  
5 not the cancer which we see.

6 So, with that, you know, brief  
7 introduction about that different specialties have  
8 different prescription, it's okay for the surgeon to  
9 have positive margins and leave cancer behind. And  
10 it's not a misadministration.

11 And, yet, we have all of this discussion  
12 about what we are going to do because radiation is  
13 very different. And we don't want to harm our  
14 patients. And, therefore, we need some kind of  
15 consensus.

16 So I have just two comments and  
17 clarifications, really. The question of  
18 inter-operative plan, can that be your written  
19 directive that I checked? You know, I know that I am  
20 under-dosing, 84-year-old. Deliberately I am  
21 under-dosing the bladder neck and very deliberately  
22 under-dosing the anterior prostate.

23 I'm more or less "overdosing" because I  
24 put two, three seeds where the cancer appears to be  
25 there. I put seeds in the seminal vesicles. Maybe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 it's the best of the prostate where the cancer could  
2 spread and left other areas because I don't want them  
3 to have any side effects.

4 So, therefore, when I check the plan, cut  
5 to cut to cut, and I sign that plan, that is my  
6 written directive. That is my prescription.

7 So that I will wait for your answer on  
8 that, and then I will go to the next point.

9 FACILITATOR SALTER: Okay. Would anyone  
10 like to address that or respond to that? Dr. Zelac?

11 DR. ZELAC: I presume we were directing  
12 that more to me than anyone else.

13 (Laughter.)

14 DR. ZELAC: The current regulation that we  
15 have with NRC permits, as I mentioned before, for a  
16 pre-implantation written directive statement. What  
17 the intent of the physician was, to make changes or  
18 modifications up until the time that the first seeds  
19 are implanted. Once that is done, that's all. It's  
20 finished. And that's essentially the target that the  
21 physician has stated that he or she is trying to  
22 achieve.

23 However, the same regulation also calls  
24 for completion of the written directive once the  
25 procedure, the implantation procedure, is done. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 at that point, the clinician who has conducted it then  
2 makes another entry, either in terms of dose or in  
3 terms of total source strength implanted in time,  
4 which in this case for permanent implant simply has to  
5 be stated that it's permanent.

6 So, even with the current regulation, as  
7 it stands, there is time at the end for the physician  
8 to enter what it was that was, in fact, achieved. And  
9 that being the case, the medical event is only then  
10 based on whatever information the physician put in to  
11 complete the written directive.

12 So if at a later time, for example, it was  
13 determined that the activities of the seeds were  
14 incorrect, the wrong seeds had been picked, you know,  
15 you had two batches and you picked the wrong one and  
16 the written directive had been completed by entering  
17 in the total source strength implanted and you are  
18 more than 20 percent away, clearly that would be a  
19 medical event.

20 If the physician had chosen to say, "Well,  
21 based on what I had originally thought in terms of  
22 where I wanted to put dose, put activity, which  
23 resulted in dose, I can state what I believe the  
24 resultant dose to be. Maybe they might do a dose  
25 determination right then, first day, day zero. You

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 know, so that's what the physician would be held  
2 against in terms of determining whether or not a  
3 medical event had occurred.

4 So that kind of flexibility is here, but  
5 the inter-operative planning, where you essentially  
6 are deciding on the fly what it is that you want to  
7 accomplish and working towards that. It can be  
8 accommodated with the current regulation, but it's a  
9 little cumbersome, frankly. And that's something we  
10 would like to try to change.

11 DR. GUHA: What is the conversion?

12 DR. ZELAC: It relates exactly to the fact  
13 that it is very difficult to come up with a clinical  
14 metric that has meaning that can be placed into the  
15 written directive in terms of dose. That is the  
16 problem.

17 You have D90. It doesn't fly. As far as  
18 I'm concerned, it doesn't fly. It's okay for  
19 under-dosing, but it really has no bearing for  
20 overdosing for prostate implant.

21 So my position -- and this is my position,  
22 not the agency's necessarily, is that there is a lack  
23 of a good metric to use if dose was what the physician  
24 chose to finish the written directive with, much  
25 better to finish it in terms of total activity

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 implanted. You know --

2 DR. GUHA: Any comments from Dr. Ennis or  
3 Dr. Welsh?

4 FACILITATOR SALTER: Dr. Welsh?

5 DR. WELSH: Thank you. First I'll try to  
6 reply to your question. I'll start by saying while I  
7 don't disagree with the current regulations, I find  
8 them a bit confusing and somewhat cumbersome. I think  
9 your point was, can the written directive be modified  
10 intra-operatively while you are observing things in  
11 the operating room while the seeds are being placed,  
12 needles are being placed, and the real time planning  
13 is being used.

14 That is something that we often do now.  
15 Most institutions have moved in that direction. So it  
16 is a dynamic process, but things can change compared  
17 to the static situation at the time the first seed is  
18 placed. Things do change.

19 And, therefore, I would be in favor of  
20 having a written directive that could be modified up  
21 until the patient has left the recovery room. I think  
22 this is one of the proposals that the subcommittee and  
23 others have put into effect which would allow  
24 intra-operative observations to be incorporated into a  
25 written directive.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 I hope that answers your question. I  
2 would like to just say that as an editorial, you  
3 started out by mentioning that sometimes surgeons will  
4 aim to remove the prostate, but that is not achieved.  
5 There often is positive margin.

6 There are things that can be done about  
7 that, but it is not a federal event. It's not  
8 reported to an agency, a federal agency, like the  
9 Nuclear Regulatory Commission. And that puts  
10 brachytherapy at a bit of a disadvantage.

11 And it does remain important to emphasize  
12 that this is a safe and effective treatment with a  
13 proven record that can be tarnished by some of the  
14 recent negative publicities that have occurred  
15 surrounding the fact that the term "medical event" is  
16 too loosely applied and the current definition is in  
17 sore need of some upgrading and repair because in my  
18 opinion a medical event using the current definition  
19 is not nearly as significant as leaving a positive  
20 margin during a surgical procedure. Yet, one doesn't  
21 have to be reported. The other one does. Of course,  
22 they both need to be reported to the patient.

23 FACILITATOR SALTER: That's okay. Why  
24 don't you finish your question?

25 DR. GUHA: Okay. So that brings me to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 second point, but that's really right that while a  
2 positive margin, for example, now we all had robotic  
3 surgery, which is coming in most community practice.  
4 And, as we all know, when we do the robotic spectrum,  
5 there will be more and more positive margins.

6 It's not an event. It's not an event to  
7 be reported. It's just between me and my patient. So  
8 obviously the definition of events come into play,  
9 that that will be the connotation of event versus  
10 connotation of this is my best therapy which I can  
11 provide. And in the process, we have an art where we  
12 will have extra-prostatic extension or extra-prostatic  
13 seeds, et cetera, you know, needs to be considered.

14 One of the things I would really think  
15 from patient advocacy point of view or from our point  
16 of view, why am I so confidently saying what I am  
17 saying about that it doesn't matter, the D90s and so  
18 forth, is because over 15 years, very importantly, I  
19 have taken all of the data which all of my patients  
20 had. And I know how my patients are doing over this  
21 period of time.

22 So I would really encourage that, both  
23 from a regulatory point of view or insurance point of  
24 view or whatever point of view, like when we get  
25 accreditation, we have a tumor registry. So why not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 have registries for all of our patients which are  
2 going through the treatment?

3 And over time, if I have to be accredited  
4 by X or Y, a board, then my registry gets the same in  
5 five years, how many patients had what. I mean, what  
6 was my D80/D90? It's immeasurable. It's like over  
7 the time that this was my practice pattern and this  
8 resulted in good patient care.

9 And so that registry would help a  
10 regulatory commission to figure out whether the  
11 practice is good or bad. I would like that.

12 FACILITATOR SALTER: Thank you, Dr. Guha.  
13 So anybody want to make any final comments? Ms.  
14 Eisner?

15 MS. EISNER: Certainly, from the patient  
16 advocacy perspective, I think that is an excellent  
17 idea and certainly would provide excellent information  
18 I think for following patients as well as making  
19 recommendations for other patients.

20 FACILITATOR SALTER: Dr. Ennis?

21 DR. ENNIS: I think the implications of  
22 what Dr. Guha is suggesting are that this be done  
23 across the house of medicine in that a surgeon, a  
24 radiation oncologist, a medical oncologist maintain a  
25 registry of their patients and report their outcomes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1           That again feeds into this notion of there  
2 being a patient safety issue across the house of  
3 medicine, as opposed to a regulatory, a radioactive  
4 sources issue.

5           MS. EISNER: I'm not sure if that's what  
6 he meant or not.

7           FACILITATOR SALTER: Pull that microphone  
8 a little bit closer.

9           MS. EISNER: Yes. I'm not sure if that's  
10 what he meant unless I misinterpreted what he said.

11          DR. GUHA: Well, I meant both. So yes. I  
12 mean, from a radiation point of view, we can have a  
13 history. When I teach my students, I tell very easily  
14 that you can give a gram of Cisplatin. There are many  
15 ways you can excrete it, you know, through the urine,  
16 through this, through that. You gave one gray of  
17 radiation. You cannot excrete it. So, therefore, all  
18 this discussion is because of this idea about  
19 radiation and the "terror of radiation."

20          So yes, we need it raised before  
21 radiation, but I completely agree with Dr. Ennis that  
22 if we had a regulatory commission for the surgeon to  
23 leave positive margins behind and the amount of money  
24 which is being spent to treat these patients and the  
25 toxicity they have, I mean, that's of a larger

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 magnitude than what we caused with the seed implant,  
2 you know, the toxicity.

3 I mean, I can tell my patients, look at  
4 their eyes, and always say that when I do a  
5 combination of radiation or seed implant with  
6 aggressive disease, I am giving the best treatments  
7 with the least of toxicity. I can do the same for  
8 many other people.

9 FACILITATOR SALTER: Thank you. All  
10 right.

11 Anybody want to? Dr. Ennis?

12 DR. ENNIS: I just wanted to clarify with  
13 Dr. Zelac. So the NRC is okay with the notion for Dr.  
14 Guha that at the end of the procedure, you noticed  
15 what you intended to do and if you purposely put seeds  
16 more intensely where the tumor was and less intensely  
17 at the bladder neck because it was an old guy and you  
18 didn't want to cause complications, that's acceptable?  
19 And that creates the standard to which your  
20 post-implant dosimetry would be assessed?

21 DR. ZELAC: This is Zelac. There is more  
22 detail in that proposal and in that explanation of  
23 what is possible than appears in the regulation. The  
24 regulation simply says at the conclusion of the  
25 procedure, you enter either the dose or you enter the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 total source strength implanted and the treatment  
2 site, period.

3 You know, in terms of how the sources were  
4 distributed, where they went, whether they went into  
5 the bladder, rather than the prostate, that's not part  
6 of it, you know, unfortunately. And that is part of  
7 what we are trying to change.

8 FACILITATOR SALTER: All right. We have  
9 three more speakers that have signed up. We have  
10 Ralph Lieto, Leon Malmud, and Jean St. Germain. So we  
11 will start with Ralph Lieto.

12 MR. LIETO: I was hoping to be last. My  
13 name is Ralph Lieto. I am a medical physicist. I am  
14 here as part of representing the AAPM.

15 I had a couple of comments and questions.  
16 I had a clarification question for Mr. Dansereau on  
17 the summary slide. You mentioned that there were  
18 1,200 cases that had been reviewed and that 3 percent  
19 of those 1,200 cases were found to be medical events  
20 based on the dose-based criteria?

21 MR. DANSEREAU: I don't have details on  
22 that because that was assessed by the licensees. And  
23 in that regulatory information statement from  
24 Wisconsin, that's how the data was presented. The  
25 licensees did that based on the regulations in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Wisconsin, which are compatible with NRC's.

2 MR. LIETO: So it's based on a recent  
3 review of events that have not yet been reported into  
4 a database?

5 MR. DANSEREAU: I don't know if those  
6 events have been reported or not. Those events are  
7 from 2003 forward.

8 MR. LIETO: That's a lot of cases.

9 MR. DANSEREAU: Just in clarification --  
10 well, not in clarification, but in one of my slides, I  
11 think I indicated that I think there is some training  
12 needed. And if things aren't clear, perhaps those  
13 licensees, their interpretation of the criteria was  
14 different than what Dr. Welsh was presenting because  
15 three percent versus .03 percent is quite a  
16 difference. I think that reflects training, a need  
17 for training.

18 MR. LIETO: I wanted to follow up also on  
19 the issue that I think Dr. Guha brought up about the  
20 written directive and the timing of when that written  
21 directive is completed.

22 I think there's been a consensus, at least  
23 Dr. Hagan got a consensus, that everybody I think here  
24 agrees that the criteria should be an activity-based  
25 criteria, but I am not sure if there is a consensus on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 when that endpoint of that is. Is it when the  
2 physician is done implanting or does he actually have  
3 to make a final change into the written directive if  
4 it is outside the 20 percent?

5 I think that is part of the things that,  
6 one of the things that, is trying to be addressed in  
7 the proposed rulemaking, is that very specific issue.  
8 But I think it would be very important that either the  
9 panel or at least from the workshops that are in  
10 progress, that there is a consensus reached as to the  
11 timing of when that should occur. Should it be when  
12 they are done with the implant before the patient is  
13 released from licensee control?

14 In other words, I think there is a timing  
15 factor here, but it is very critical I think as to  
16 when, shall we say, the drop-dead moment is for when  
17 you start to -- I guess bad terminology --

18 (Laughter.)

19 MR. LIETO: -- the last moment at which  
20 you can determine when the medical event is going to  
21 be assessed. So I think that's one thing that I think  
22 needs to be addressed there.

23 I have a comment about using terminology.  
24 I think it was also pointed out it's not what we call  
25 it. Okay. It's how we address it. I was pretty much

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in agreement to that.

2 And no offense to Dr. Zanzonico.  
3 "Sentinel event" is not, is not, the term you want to  
4 use. If anybody has been involved with the Joint  
5 Commission, first of all, they have a sentinel event  
6 that is defined for radiation events. It's aimed at  
7 radiation machines and so forth.

8 But if you have a sentinel event or use  
9 the term "sentinel event" coming out of a Nuclear  
10 Regulatory Commission, you are going to be talking  
11 about events that are on par with wrong site surgery,  
12 amputation of the wrong realm, kidnapping of an infant  
13 from a nursery. I don't think we want to go there.

14 So I think that's one term that we  
15 definitely don't want to use. So if you want to come  
16 up with different ones, I think that one should be put  
17 to bed.

18 I have a question. It has to do with if  
19 we assume that the definition is going to be changed  
20 for defining when a medical event occurs as an  
21 activity-based criterion and that it's based on the  
22 activity at the time the patient is released. Would  
23 there still need to be in regulatory space the  
24 requirement for a post-dosimetry assessment at some  
25 time period?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 I don't mean that you wouldn't do that  
2 from a clinical standard of care standpoint, but would  
3 that even need to be a part of the medical event  
4 definition?

5 FACILITATOR SALTER: Dr. Hagan?

6 MR. LIETO: And I know it's a clinical  
7 question, but to me, I think it's getting into the  
8 practice of medicine.

9 DR. HAGAN: I'll jump in there because  
10 there are a couple of comments that may be useful. I  
11 think you are right in that the question is whether  
12 that post-implant dosimetry needs to be done in  
13 regulatory space up until this point has been tied to  
14 the potential -- and in some places Wisconsin is an  
15 excellent example where absorbed-based metric is being  
16 used in regulatory space. And at that point, then  
17 you're constrained.

18 The NUREG, which put out guidelines on how  
19 an adequate or an excellent program in brachytherapy  
20 and specifically prostate brachytherapy was referred  
21 to at times could be organized in order to abide by  
22 the regulations and at the same time have a practical  
23 program, suggested that a percentage -- these are the  
24 regulators, not saying this carried regulatory  
25 authority but that what were the design parameters of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 a good program so that you could produce high  
2 confidence that that program is abiding by the  
3 regulations.

4 And so a requirement that a percentage of  
5 procedures would have post-implant dosimetry, that  
6 NUREG guidance, which is very helpful, was promulgated  
7 before the professional organizations had actually  
8 moved to saying that they believed that from a  
9 clinical standpoint, each implant should have its own  
10 post-implant dosimetry.

11 So I think the question about whether you  
12 should do it now has been largely trumped by the  
13 professional organizations, who say "Yes, you should  
14 do it on every case." It's still an open issue of  
15 whether the regulatory agency would say a program that  
16 includes this is a program that provides high  
17 confidence, but actually gathering data from the  
18 clinical evaluation should be beyond the purview of  
19 the regulator.

20 FACILITATOR SALTER: Dr. Welsh?

21 DR. WELSH: I might add that although  
22 post-implant dosimetry has traditionally been  
23 associated with "excellent" programs, nowadays it  
24 might be more appropriate to say that if you don't do  
25 it, you're not even meeting standards.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1           So, for that reason, if you don't do  
2 post-implant dosimetry, you're not meeting minimum  
3 standards anymore in 2011. And, therefore, although  
4 it's difficult to see how it might properly fit into  
5 regulatory space, I feel that it probably does have a  
6 role because of all -- and this is an example of one  
7 area wherein the review of the VA event series has  
8 caused an alteration of opinion and recommendations.

9           I personally think that programs should  
10 insist on post-implant dosimetry as minimum standards.  
11 And if it is not done, it probably is something that  
12 is not meeting minimum standards and maybe is in  
13 violation, but that's just --

14           MR. LIETO: I guess the reason I was  
15 asking is because if it is from the standpoint of  
16 determining what is a medical event, there are a lot  
17 of things in the various radiation therapies that are  
18 done, both radiopharmaceutical and in seed implant,  
19 where there are standards of care and practice that if  
20 you're just doing quality care, you are going to do  
21 these, but they are not in regulatory space.

22           From looking for things to being as  
23 non-prescriptive as possible, if it's not required in  
24 terms of the medical event definition, it might be one  
25 thing to be left out, but that's just a comment.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 FACILITATOR SALTER: Dr. Ennis? And then  
2 Dr. Welsh.

3 DR. ENNIS: So two comments. So on the  
4 current discussion, even if we're having an  
5 activity-based definition, if that definition means 20  
6 percent or more of the activity must be within the  
7 organ, how is one going to ascertain that without a CT  
8 scan, which is what you use for post-implant  
9 dosimetry. And to require two CT scans, a regulatory  
10 one and then another one, is just a lot of --

11 MR. LIETO: Well, you're going into the  
12 treatment. You've got a plan. You're going to give,  
13 say, 100 seeds. All right? You put in 100 seeds.  
14 All right? You do your surveys and everything before  
15 the patient is released. The patient has got 100  
16 seeds. And the --

17 DR. ENNIS: So maybe the point to  
18 understand is that because of the quality of imaging,  
19 the edema, et cetera, it is possible to walk out of  
20 the OR thinking you had done what you prescribed and  
21 then to find out on your CT scan that seeds are not  
22 where you thought they were, you didn't do things  
23 properly.

24 This is what happened in some of the VA  
25 cases, for example. Imaging issues lead to -- the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 problem, now, these are QA things that need to come to  
2 the regulatory bodies. They need to get addressed  
3 with the physicians. The program needs to be  
4 addressed.

5 But you cannot tell that without a CT scan  
6 or some other highly accurate cross-sectional imaging.  
7 You can't just tell based on the ultrasound at the end  
8 of the implant. You can't tell in the ultrasound at  
9 the end of the implant. So there is no method other  
10 than a CT scan. So we shot up one CT scan or some  
11 imaging that is both regulatory and clinical  
12 post-dosimetric. I don't see a reason to have two,  
13 and I don't think you can get away regulatory-wise  
14 with none.

15 In terms of what to call the event, I  
16 agree sentinel is really not an ideal. Perhaps  
17 recordable, as is being used in New York State or  
18 proposed in New York State for lower events in the  
19 external beam world, would be the appropriate thing.  
20 It would also make the radiation oncologist's life  
21 consistent across the external beam or brachy. Most  
22 of us do both. So to use different terminology would  
23 be awkward. So perhaps a recordable event for both  
24 types would make sense.

25 FACILITATOR SALTER: Dr. Welsh, did you --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. WELSH: I think Dr. Ennis has just  
2 said it very well and clearly.

3 FACILITATOR SALTER: Did you have --

4 MR. LIETO: Just one other comment. I  
5 believe Dr. Zelac had brought up the point that the  
6 NRC was interested in the dose to other tissues and  
7 organs for these brachytherapy seeds implants to  
8 determine whether the dose was above or below some  
9 type of a yet-to-be-determined metric. And I am  
10 wondering, why are we doing it just for seed implants  
11 when you don't do it for HDR, you don't do it for  
12 external, for iodine-131 or any of the other types of  
13 therapy treatments that we use for radionuclides  
14 across the board?

15 I mean, in fact, even since the days of  
16 cobalt-60, I mean, you would see. I mean, it wasn't  
17 unexpected to see skin effects from the treatment with  
18 cobalt-60. Yet, there was never any interest by the  
19 NRC to determine what the respective doses were to  
20 these other individual organs and tissues from those  
21 other various treatments. And invariably you are  
22 probably going to find that they would exceed this 50  
23 rem, 50 percent threshold.

24 So maybe a suggestion from Dr. Welsh's  
25 subcommittee of ACMUI. Maybe that is a metric that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 needs to be removed from the sub rules.

2 FACILITATOR SALTER: Dr. Zelac?

3 DR. ZELAC: I don't agree with the  
4 statement that you just made with respect to the doses  
5 to other tissues and organs. The fact that it applies  
6 to permanent implant brachytherapy is, in fact, simply  
7 a carryover from its appearance elsewhere in the  
8 regulations.

9 The same thing would apply for a gamma  
10 beam treatment, you know, a gamma knife treatment, for  
11 example, or if there were teletherapy to being done  
12 for a teletherapy. It's the same regulation. It's  
13 simply being applied across the board to all  
14 modalities. It's not being simply inserted only for  
15 permanent implant brachytherapy.

16 FACILITATOR SALTER: Anyone else? Dr.  
17 Welsh?

18 DR. WELSH: I might go. Mr. Lieto, are  
19 you finished with your questions? I would like to  
20 reply to one of your earlier questions or at least  
21 comment on it that we heard in one of the  
22 presentations from Dr. Dansereau that maybe 1,200  
23 cases were reviewed and approximately 3 percent were  
24 found to be medical events.

25 And this while superficially may seem like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a low number, it's significantly discordant with the  
2 Medical Event Subcommittee report, which showed in  
3 general 0.03 percent medical events in brachytherapy  
4 and 0.3 percent in prostate specifically. 0.3 percent  
5 I think is way too high. I think it is way too high  
6 because it is a reflection of the inappropriate  
7 definition.

8 So an order of magnitude beyond that is  
9 three percent, which is way, way too high. And I  
10 think anybody who practices brachytherapy who has  
11 three or more medical events a year is going to  
12 quickly start to question about whether this is really  
13 worth it in the long run after a few years of that.

14 So I think the point was brought up that  
15 if there is a tenfold discrepancy between the states'  
16 finding and the subcommittee's findings in terms of  
17 medical events, it underscores the fact that maybe  
18 there really is an important need for additional  
19 training in terms of how to define a medical event  
20 because when you look closely, you find that a lot of  
21 these very good implants are medical events if you  
22 apply the definition very strictly.

23 Having said that, I think that it would be  
24 a mistake to go back and apply the definition very  
25 strictly because we will find that yes, maybe three

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percent, five percent, or some ridiculous number of  
2 our prostate implants would meet the definition of  
3 medical event. And that is unnecessary.

4 FACILITATOR SALTER: Dr. Zelac?

5 DR. ZELAC: Not to continue on the same  
6 line but to go back to one of your earlier questions,  
7 which I think, in fact, ought to be addressed by the  
8 panel here, you asked about when is the procedure  
9 complete?

10 The current regulation says that the  
11 written directive needs to be completed before the  
12 completion of the procedure. And that is exactly the  
13 problem.

14 MR. LIETO: Right.

15 DR. ZELAC: It's indeterminate. It's not  
16 specified. So the question comes up when is the  
17 procedure complete and what we had intended to do with  
18 the proposed regulation was to put in when the  
19 procedure was complete, namely before the patient  
20 leaves the post-operative recovery room.

21 MR. LIETO: Does it say before procedure  
22 is complete or before administration?

23 DR. ZELAC: When does a written directive  
24 need to be completed?

25 MR. LIETO: Right. That's right, yes. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thought they were all said before administration or is  
2 it --

3 DR. ZELAC: No. The current rule says  
4 that the written directive needs to be completed  
5 before the completion of the procedure. So if the  
6 completion of the procedure wasn't by some physician,  
7 it is being interpreted as being when the dose was  
8 determined, which could be a year later or two years  
9 later in some cases.

10 MR. LIETO: Is that something that could  
11 be handled in guidance space, as opposed to regulatory  
12 space?

13 DR. ZELAC: My personal position is that  
14 it should be in the regulation because it's not  
15 enforceable if it's in the guidance.

16 FACILITATOR SALTER: Dr. Hagan?

17 DR. HAGAN: Yes. That specific question  
18 is one that's caused a lot of confusion. I agree with  
19 Dr. Zelac that we need to have more specific language.

20 And I would like to make a further  
21 comment, modify your definition of the endpoint. I  
22 think a number of us have looked at that specific  
23 issue as part of the ASTRO task group. It becomes  
24 difficult. It sounds logical to start with.

25 But it becomes difficult to say before the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 procedure is complete before the patient leaves the  
2 recovery room because there are practitioners where  
3 these procedures are performed in the department and  
4 imaged directly from the procedure room and brought  
5 back to the procedure room without going to recovery.

6 So to make the definition specific upon  
7 the patient's actual presence and recovery from a  
8 practical standpoint just doesn't work. But if you  
9 modify that slightly to say that the procedure is  
10 considered complete when the patient leaves the  
11 control of the authorized user or the control of the  
12 physician, then we have not identified a practice for  
13 which that definition doesn't work. And it maintains  
14 the same intent I think with your initial definition.

15 We are here I think largely because the  
16 regulation today is confusing, saying that the  
17 revision may occur, a written directive up to  
18 completion of procedure, and written for delivery of  
19 either temporary sources or sources where the activity  
20 can be controlled and the time of delivery can be  
21 controlled.

22 So a one-to-one correlation was that while  
23 the completion of the procedure was completion of  
24 administration, but for a permanent implant, the dose  
25 continues for infinity. So it becomes difficult. It

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 becomes impossible to tie the completion of the  
2 implant with dose delivery. And so with the current  
3 regulation, it's confusing.

4 So I agree with Dr. Zelac wholeheartedly  
5 in that we need regulatory language that specifies  
6 that in a helpful way.

7 FACILITATOR SALTER: All right. What I am  
8 going to propose is that we take our break. We're a  
9 little late, but that's okay. I'm going to say let's  
10 take our 15-minute break. So come back at 3:30.

11 And when we come back, we're going to go  
12 take -- we have a couple of questions off the webinar.  
13 So we're going to go and hear from some folks on the  
14 webinar by reading their question. And then we will  
15 hear from Dr. Malmud. Jean St. Germain and Mary  
16 Moore, will be the next speakers. If you would still  
17 like to sign up to make a comment, just fill out a  
18 blue card and let me have it. Thanks.

19 (Whereupon, the foregoing matter went off  
20 the record at 3:13 p.m. and went back on the record at  
21 3:34 p.m.)  
22

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1       \*\*FACILITATOR SALTER: Welcome back. We are going to  
2 get started with our second round of public comments.  
3 We have a number of speakers. We have some folks on  
4 the webinar who wanted to make a comment or ask a  
5 question.

6               Before we get started, I wanted to just  
7 give you a quick reminder that insider your packets,  
8 there are NRC public meeting feedback forms. There  
9 are two forms: one for today and one for tomorrow.

10              So I just wanted to let you know if you  
11 are not coming back tomorrow, please make sure to fill  
12 out today's form. Even if you are coming back  
13 tomorrow, please make sure to fill out today's form.  
14 You can leave it with us here today or you can take it  
15 with you and mail it in. But it is very helpful for  
16 the NRC to get your feedback on the meetings, how it  
17 went, suggestions on how we can do better in the  
18 future. So please take some time to fill that out.

19              What we are going to do now is Gretchen  
20 Rivera-Capella over at our webinar station has a  
21 couple of comments and a couple of questions from the  
22 folks on the webinar. So we would like to go and do  
23 that now. And I'm going to turn it over to Gretchen.

24              MS. RIVERA-CAPELLA: Hi. Yes. The first  
25 question or I should say comment is from Zoubir Ouhib

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 from Boca Raton Regional Hospital. And he is saying  
2 that when a physician decides to put more seeds within  
3 the prostate, that differs from the intended plan  
4 while keeping the same total number of seeds ordered.  
5 It only makes sense that change of plan has to be in  
6 agreement with the clinical findings; i.e., past  
7 pathology report, et cetera. That is the first  
8 comment.

9 The second comment is from Steve  
10 Mattmuller. And, actually, I can answer this one for  
11 the benefit of everybody. He is asking, are copies of  
12 the presentations available to those of us who are, as  
13 they say, in the cloud? So the answer for that will  
14 be yes. The presentations are going to be available  
15 on the public workshops website. And also we can make  
16 them available in ADAMS for the public.

17 The third comment will be from Cheryl  
18 Rogers from Wisconsin. And it's a clarification.  
19 She's saying that, to clarify, the 1,200 medical  
20 events were indeed reviewed by the licensees under  
21 their own self-identified criteria to best reflect the  
22 authorized users' intent were used to classify medical  
23 events. The medical events have been reported.  
24 Process improvements have been identified also.

25 So we have this comment also. And Zoubir

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Ouhib again, Boca Raton Regional Hospital, it is  
2 giving us another option for the medical event  
3 terminology. And he's saying, how about deviation  
4 event at different levels, three years or so for  
5 another terminology for the medical event?

6 I have two questions now. The first one  
7 will be from Zoubir Ouhib from Boca Raton Regional  
8 Hospital. And he's saying that in terms of seed  
9 activity, is the panel in favor of having a single  
10 unit for activities, such as U, and eliminating  
11 millicuries? This could potentially eliminate some of  
12 the medical events due to miscommunication between the  
13 vendor and the user.

14 So now we would like you to --

15 FACILITATOR SALTER: Pose that to the  
16 panel. Do you need us to repeat that question? Dr.  
17 Welsh?

18 DR. WELSH: I'll start off by saying that  
19 I agree with having a single unit and using source  
20 strength, rather than activity. And if we omit the  
21 possibility of using millicuries in terms of in favor  
22 of air current strength, it might get rid of some of  
23 the unnecessary medical events that have occurred,  
24 albeit quite rarely, because of the confusion between  
25 terminologies. So I'm in favor of it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 FACILITATOR SALTER: Anyone else on the  
2 panel? Dr. Mower?

3 DR. MOWER: I think in my comments that I  
4 made this morning, VA supports this position of going  
5 to the new units.

6 FACILITATOR SALTER: Anyone else?

7 (No response.)

8 FACILITATOR SALTER: All right. Gretchen,  
9 one final question I think we had from someone on the  
10 webinar?

11 MS. RIVERA-CAPELLA: Yes, we do. The last  
12 one would be from Alan Jackson from Henry Ford Health  
13 System. When the physician is actually performing the  
14 procedure, isn't it done in accordance with his or her  
15 wishes as long as the correct sources are ordered?

16 Related is, how do you deal with  
17 positional errors? Off by one micrometer is obviously  
18 okay, but off by ten centimeters probably isn't. How  
19 do you deal with the fact that post-assessment is  
20 somewhat fuzzy due to edema, et cetera?

21 FACILITATOR SALTER: Dr. Hagan?

22 DR. HAGAN: One comment I would make is  
23 that while edema is present whether you are scoring on  
24 a source strength-based metric or an absorbed dose  
25 metric, the so-called activity metric is much less

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sensitive to edema. And so there needs to be a  
2 substantial inability to discern margins and to repeat  
3 the original PTV before you corrupt the activity  
4 metric just apparent in being able to score seeds in  
5 or outside of the target volume.

6 FACILITATOR SALTER: Dr. Welsh?

7 DR. WELSH: I might add that, although  
8 superficially it would seem challenging to have a  
9 regulation that would allow a physician to, say,  
10 provide an attestation that the seeds were placed in  
11 accordance to his or her desires, objectively you do  
12 have either a preplan or an inter-operative plan that  
13 is generated that does have seed distribution that is  
14 designed to provide the dose that the physician  
15 intends to provide to the GTV, CTV, or PTV.

16 If on post-implant dosimetry all the seeds  
17 are bunched in one area or they're all in one octant  
18 or something bizarre, it would be obvious that that is  
19 not in accordance with the physician's plan. So  
20 having a physician attest that he or she has placed  
21 the seeds in accordance to the desired location is in  
22 my opinion still a very reasonable approach. And it  
23 is something that can be challenged or validated or  
24 refuted because of comparison with the post-implant  
25 dosimetry and the treatment plan.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 FACILITATOR SALTER: Dr. Zelac?

2 DR. ZELAC: Simply a follow-on question  
3 for Dr. Welsh. Do you think that the attestation  
4 alone is sufficient or does it need to be, either  
5 through the regulation or some other way, linked to  
6 one or the other of the treatment plans, you know,  
7 something that is more quantifiable than simply a  
8 statement that "This is the way I wanted it"?

9 DR. WELSH: My reply would be that  
10 hopefully this would never happen that you would have  
11 an unscrupulous physician who knew that there was  
12 something egregiously wrong performed and that they  
13 would say, "This is the way I planned it." I think  
14 that you could quantitate things by checking the  
15 preplan or inter-operative plan on which the seed  
16 placement was aimed to achieve and then compare that  
17 with the post-implant dosimetry.

18 And if there is a huge discrepancy that  
19 the physician has provided an attestation that these  
20 seeds were placed in accordance to my desires, you  
21 know something has gone awry.

22 In most cases, I would suspect that there  
23 would be some kind of an explanation or modification  
24 to the written directive to explain why the  
25 post-implant dosimetry is going to be so very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 different.

2 FACILITATOR SALTER: Dr. Hagan?

3 DR. HAGAN: Yes. I think a practical way  
4 to police this issue is through clinical peer review.  
5 And if we are requiring a practitioner to become  
6 accredited from ACR, then a clinical peer review  
7 process is a requirement for accreditation.

8 So I would not like to see that  
9 requirement also replicated in the regulation, but in  
10 terms of regulatory guidance, I think that that would  
11 be an absolutely appropriate comment or suggestion for  
12 a valid program; that is, that clinical peer review is  
13 accomplished in a prescribed manner.

14 And so ACR, for example, typically  
15 requires peer review every six months of the process.  
16 And so clinical peer review would pick up the  
17 discrepancy between a pre-implant plan and a  
18 post-implant evaluation and the discordant operative  
19 note or attestation that was associated with it.

20 FACILITATOR SALTER: All right. Thank  
21 you. And thank you, Gretchen. Thanks for everyone  
22 participating on the webinar. You can still type in  
23 your comments or questions. And we will try to get to  
24 those before we finish this afternoon.

25 We are going to start with the next three

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 speakers that we have: Dr. Malmud, followed by Mary  
2 Moore and Peter Mas. So I would ask Dr. Malmud to  
3 come up.

4 I did want to mention that Jean St.  
5 Germain said that her question had been addressed by  
6 another member of the audience asking their question.  
7 So she is not going to be making a comment.

8 These are the only three that I have  
9 remaining. If you filled out a blue card and I  
10 haven't called your name, then I lost it. So just  
11 fill out another one and let me know. You can still  
12 fill out the cards throughout until about 4:30. I  
13 would say then we're going to have to cut it off.

14 With that, I will turn it over to Dr.  
15 Malmud.

16 DR. MALMUD: Thank you.

17 First of all, I would like to say that we  
18 have been struggling with this issue for a number of  
19 years in the ACMUI. I am Leon Malmud. I am professor  
20 of radiology at Temple University School of Medicine  
21 and Dean Emeritus there. I am currently Chair of the  
22 ACMUI.

23 And, therefore, I have had an opportunity  
24 to watch the attitude and approach of NRC to this  
25 problem as well as the approach of the clinicians,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 both radiation oncologists and physicists, with this  
2 issue.

3 We all have a common goal, which is  
4 optimal patient care. And the goal is to reduce the  
5 number of errors to zero if humanly possible, though  
6 we recognize there will always be a few.

7 The approach is very different from NRC  
8 and from the clinicians, but the goal is the same.  
9 NRC is very concerned about proper interpretation of  
10 regulations so that they are effective in protecting  
11 patients. And, yet, at the same time, do not encumber  
12 the therapists with risks that they would like to  
13 avoid, unnecessary risks, which, of course, would then  
14 result in the limitation of that therapy to the  
15 patient.

16 And, from the physicians, they want the  
17 freedom to practice medicine in a way in which they  
18 are not encumbered by unnecessary regulations, which  
19 do not improve the outcome of care. And, with that,  
20 we struggle, all of us together.

21 Now a couple of comments. The first one  
22 is about renaming things. We could call it a bouquet  
23 event, but that would not change it. It would neither  
24 be a fragment nor felicitous bouquet to anyone who  
25 received it. So I wouldn't change the name. I would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 just leave it as it is.

2 I am not aware that dementia precox has  
3 been cured by renaming or schizophrenia or that senior  
4 Alzheicosis has been cured as yet by renaming it  
5 Alzheimer's disease.

6 There are a few issues that have come up  
7 that I would like to comment about. I think we're  
8 trying to measure the unknown with the unknown. And  
9 that is very risky. We don't know what the incidence  
10 is. We truly don't know what the incidence is of  
11 complications.

12 We need a database. Someone has to  
13 achieve that database. It will take years to  
14 accomplish. It might be ASTRO. The medical body that  
15 is entrusted with radiation oncology. And if it can't  
16 be, for reasons of expense, then it would have to be  
17 the NRC. But we do need a database.

18 What is a complication? Is a complication  
19 merely irradiating some soft tissue next to the  
20 prostate beyond what we anticipated it should get or  
21 is it a perforation of the urinary bladder or the  
22 rectum? Is it a seed that has wandered over the  
23 course of two or three years from the prostate into  
24 the lung? These things happen. Are they documented?  
25 Is it required that they be documented? Is there any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 log of these events? I suspect the answer is in some  
2 instances no.

3 So in order to regulate, it is necessary  
4 that we know what we are regulating. I remember in  
5 medical school, one of the professors in a moment of  
6 candor said, "We're not teaching you what we know,  
7 what you need to know. We are teaching you what we  
8 know." And you will learn a lot more later on. And  
9 he proved to be correct.

10 So the need to regulate is for the  
11 protection of the public, but regulating something  
12 that is not quantifiable is very difficult, perhaps  
13 impossible. So we struggle.

14 With respect to the issues on the table  
15 today, take a look at the prostate. It is a  
16 relatively easy organ to look at in terms of one's  
17 mind's eyes. But a prostate can have four diseases  
18 going on at the same time: benign prostatic  
19 hypertrophy, chronic prostatitis, prostate cancer, and  
20 perhaps even at STD. And that is the organ that is  
21 going to be treated with seeds.

22 That organ will be varying sizes  
23 independently of the treatment with the seeds. And  
24 its response to the seeds may be to shrink or it may  
25 be to even get larger for a long period of time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           There is no database to tell us what is  
2 going to happen. And, therefore, how can we judge the  
3 therapist based upon an organ that is changing size  
4 for reasons that have nothing to do with the therapy  
5 or for reasons that have to do with the therapy but  
6 only as an aggravant, not as a cause.

7           What happens when the seeds are sticking  
8 on a string into the bladder? You go to retrieve the  
9 one seed in the bladder and pull out a string of  
10 seeds. Are they considered seeds that were in the  
11 bladder? They may not have been in the bladder. They  
12 may have been in the prostate, might they not have  
13 been?

14           Is it fair to make an accusation of all of  
15 these seeds being in the bladder when, in fact, they  
16 weren't in the bladder? They were in the soft tissue.  
17 The same thing may be said of them being in the  
18 rectum.

19           I don't know how often these things  
20 happen. I'm not a radiation oncologist. I'm a  
21 nuclear physician. But to accuse someone of doing  
22 something wrong when we don't know that they were  
23 wrong is worse than not to accuse them at all.

24           It's better to let a guilty man go free  
25 than to convict an innocent man. The same thing is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 true of a man or a woman who is doing radiation  
2 oncology.

3 So we need to be very certain when someone  
4 does something wrong that it was, in fact, wrong.

5 Where did the 20 percent variance figure  
6 come from? I suspect it came from maybe my own  
7 committee as a compromise figure, but it has no  
8 scientific basis. It's a number. The number could  
9 have been 25 percent. It could have been 50 percent.

10 We know that if the seed is ten  
11 centimeters off target, that is a big error. Anybody  
12 knows that. A child would know that. All he needs is  
13 a little ruler, and you can see that.

14 On the other hand, if the seed is a few  
15 centimeters away, it may or may not have been a bad  
16 judgment.

17 Who keeps records of these? How do we  
18 know which therapist did the implants? These kinds of  
19 figures should be going on in the hospital. The  
20 hospital has a credentialing system. And the hospital  
21 recredentials each of us, at least at two-year  
22 intervals, and should be keeping those data.

23 Well, you say, not all physicians practice  
24 in hospitals. Some practice in independent  
25 freestanding therapy units. But they need to fill out

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 forms as well. They can judge each other. They may  
2 even be in competition with each other, which may make  
3 them even more severe judges than we would like.

4 The point is that we all have colleagues  
5 who are our peers and can fill out these ACR cards or  
6 the equivalent for us in radiation oncology as we do  
7 in nuclear medicine.

8 My point is that I can raise one issue  
9 after another, all of which are proof of the  
10 uncertainty of what we are trying to measure. The  
11 bottom line is that if we measure these uncertainties  
12 too severely, the patient will suffer because the  
13 physician and the physicist will no longer be willing  
14 to provide the therapy because of the risk of  
15 embarrassment.

16 One of my responsibilities when I was Vice  
17 President and Dean of our medical school was to review  
18 every negligence case brought against the institution  
19 and every one of its physicians. We used to do it on  
20 Friday afternoons.

21 It was such an awful experience for me to  
22 see these unfair accusations. Some were fair but the  
23 minority. But I asked to move the meetings to  
24 Wednesdays because I couldn't finish my week on Friday  
25 and go home after listening to this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1           We were the institution, you will recall,  
2           that was sued successfully by a woman who lost her  
3           psychic powers in our CT scanner. If she was psychic,  
4           she should have known she was going to lose them.

5                           (Laughter.)

6           DR. MALMUD: The case was so absurd the  
7           university decided to defend it. And it went to a  
8           Philadelphia jury. The Philadelphia jury saw a deep  
9           pocket and awarded her a million dollars for loss of  
10          her psychic powers in our CT scanner. It cost us  
11          \$100,000 to have the case reversed.

12          Nevertheless, it is very disturbing to a  
13          physician to have her or his reputation smeared for  
14          having practiced medicine the best way that he or she  
15          could. And that concerns me very much because that  
16          will limit the availability of this therapy.

17          In the last year and a half, I know three  
18          individuals personally whom I referred for  
19          consultation with prostate cancer who chose not to  
20          have seed implantations because of the notoriety in  
21          the Philadelphia newspapers about what happened at the  
22          VA.

23          Now, what happened at the VA is not an  
24          issue I am going to discuss because I am not a judge,  
25          and there may have been guilt there or there may have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 been responsibility or there may not have been. We  
2 don't know the details.

3 The point is that that kind of  
4 confrontation denies the public, denies the patient  
5 the therapy that he or she could benefit from. After  
6 all, if there were an ideal therapy for prostate  
7 cancer, there would be one remaining. That would be  
8 the idea. When we don't have an ideal, we have to use  
9 different types of therapy.

10 So these are uncertainties. There are no  
11 certain answers. However, what I would like to see as  
12 an outcome is a compromise, at least for the time  
13 being, in which we do not penalize physicians or  
14 physicists unjustly for uncertainties that are part of  
15 the practice of medicine. So we have to deal with the  
16 existing regulations and how we come at the issue from  
17 the NRC's standpoint.

18 I've worked with NRC people now for eight  
19 years. And I have come to respect very much their  
20 intention, their ability, and their intellect, and  
21 their willingness to see the other person's  
22 perspective. So I think we're working in a reasonable  
23 environment with each other.

24 The current regulations seem to be ones in  
25 which most parties would rather measure the activity,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 not the dose. Let it be the activity, not the dose.  
2 That's what the majority seems to prefer.

3 As far as the 20 percent is concerned, I  
4 would be very reticent to adhere strictly to that  
5 number. I don't know what the number should be.  
6 Maybe there shouldn't be a number. But there has to  
7 be a system in which the innocent are not punished and  
8 in which the patient is protected without punishing  
9 innocent therapists, who are truly doing the best that  
10 they can.

11 There are certain standards that we know  
12 need to be met. I think ASTRO should deal with those.  
13 It was shocking to read in the newspaper that  
14 therapies could be performed with no follow-up when  
15 the standard at the institution, according to the  
16 newspaper, was that they should be followed up.

17 So there needs to be some work done at the  
18 local level but not at the NRC level. That's a  
19 practice of medicine issue. It should be a practice  
20 of medicine issue. But if ASTRO and the radiation  
21 oncologists don't want to regulate themselves, the NRC  
22 is here and willing to do it on behalf of the public.

23 But that means we have given up as  
24 physicians. And I don't think that we should do that.  
25 And I don't think that NRC wants to be bothered with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 more than they have to be bothered by if somebody else  
2 can do the job as well as they can to their  
3 satisfaction.

4 So those are my comments. They're not  
5 questions. They're just statements. But I think the  
6 one thing that concerns me in all of this is that if  
7 physicians practicing the best medicine they can --  
8 I'm not speaking of those who need to be punished,  
9 which the majority doesn't need to be punished. But  
10 if physicians practicing the best medicine they can  
11 are penalized, even by being harassed and being  
12 brought in to defend oneself, is being harassed, -- I  
13 can attest to that having watched so many physicians  
14 being unjustly accused and sued unsuccessfully -- that  
15 we should deal with the issue in a way which we are  
16 trying to deal with now, which is establishing  
17 reasonable criteria together but not to try and  
18 measure the unknown with a known regulation that can  
19 apply uniformly. We're better off without the  
20 regulation.

21 Thank you.

22 FACILITATOR SALTER: Thank you, Dr.  
23 Malmud.

24 If you just want to hold up one second, I  
25 will give the panel an opportunity to ask you a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question if they want or for you to respond to  
2 anything. Dr. Ennis? And then Dr. Hagan.

3 DR. ENNIS: I think those were outstanding  
4 comments and would only say that ASTRO certainly views  
5 its responsibility to help deal with these kinds of  
6 issues and certainly welcomes the opportunity to help  
7 its members improve their quality of care.

8 FACILITATOR SALTER: Dr. Hagan?

9 DR. HAGAN: Yes. Thank you. Thank you  
10 for well-thought-through comments. A comment that at  
11 the same time is sort of a question to Ron is that,  
12 Ron Zelac, if we are in some agreement that a source  
13 strength metric may be emerging and that also the use  
14 of D90 has been problematic, then in the interim  
15 between where we are now and the time that the  
16 regulations are rewritten, how do the inspectors go  
17 forward? And with what rubric do they continue to do  
18 their useful evaluation of the programs?

19 FACILITATOR SALTER: Dr. Zelac?

20 DR. ZELAC: In parallel with the  
21 activities in which we are currently engaged and which  
22 we will be leading towards rulemaking effort, which  
23 will include a proposed rule for comment, NRC is also  
24 clearly aware of the fact that until that is all  
25 accomplished, we will have to be living with the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 current rule.

2 On that basis and because of that fact,  
3 NRC has a parallel effort in place as well to the  
4 rulemaking. And that is the creation of guidance that  
5 will be used by inspectors, available to clinicians to  
6 give them an idea of what they should be expecting in  
7 the way of regulatory review and action on this  
8 matter.

9 In a preliminary way -- and not to say  
10 that this is the final word at all -- there is  
11 available on the NRC website as well currently some  
12 questions and answers which are to elucidate where we  
13 are thinking of being and the direction we are  
14 thinking of going.

15 Those Q&As, as I said, are publicly  
16 available. And I cannot give you the website location  
17 at the moment, but they are clearly going to be  
18 useful, I think both to the practitioners and  
19 institutions as well as to the regulators, until such  
20 time as we have revised regulations available.

21 DR. MALMUD: Thank you, Dr. Zelac.

22 Now I have to say something. Early in my  
23 career, Dr. Zelac and I were at the same institution.  
24 And he was in charge of the Radiation Safety  
25 Committee. I was submitting one research protocol

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 after another. And he was rejecting one after  
2 another.

3 (Laughter.)

4 DR. MALMUD: But he never rejected one  
5 without telling me how to correct it. And I knew he  
6 would do the same thing today. Thank you.

7 FACILITATOR SALTER: Thank you.

8 All right. Our next speaker is Mary  
9 Moore. And then after Mary, we have Peter Mas.

10 MS. MOORE: My name is Mary Moore. I am  
11 the radiation safety officer at the Philadelphia VA.  
12 And I am also a medical physicist.

13 I would like to take this opportunity to  
14 officially thank on my behalf at least my thanks to  
15 the NRC for having these workshops. I think this  
16 outreach to the regulated community is exactly what is  
17 needed and will help I think stem the blurring that  
18 has occurred over the last couple of years between the  
19 regulatory community and the clinical community.

20 We were talking about regulatory space and  
21 clinical space and patient space. As Dr. Malmud so  
22 eloquently put it, the goal of everyone here is  
23 patient safety and optimal patient care. And it's how  
24 we go about it that is the issue.

25 One of the things that I noticed in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 debate where we are talking about D90 versus  
2 activity-based metrics, there is a slippery slope with  
3 the D90 that has not been addressed. And that is  
4 where the regulators become part of a peer review  
5 community and participate in peer review with the  
6 medical community in order to evaluate whether or not  
7 a medical event has occurred.

8 The regulator, the NRC or the agreement  
9 state inspectors, find themselves involved in  
10 evaluating a clinical implant and inadvertently become  
11 part of the medical team. The lines have been very,  
12 very blurred. By recommending a peer review, that the  
13 licensee have peer review, a true peer review, not  
14 just self-identified cases but all brachy, all  
15 external beam, most external beam treatments are  
16 done. They have their new patient reviews and their  
17 weekly chart checks and what have you.

18 If there is a peer review of the brachy  
19 implants using the standards ASTRO, professional  
20 standards AAPM, using those established criteria that  
21 are acceptable and are in the realm of the known and  
22 continue to develop to identify the unknown, then that  
23 should remove the regulator from the possibility of  
24 becoming a medical practitioner. It should strengthen  
25 the consistency and standards, the application of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 standards, by the medical community. And the  
2 communication will evolve as the database is built.

3 So my comment today is to factor in  
4 through recommendation, NUREG if necessary, the  
5 regulations that the peer review be the responsibility  
6 of the licensee. This is what is done with  
7 accreditation programs. It is what the Joint  
8 Commission does as well. And it's a proven method  
9 that has resulted in improved patient care.

10 I think that's it. Thank you.

11 FACILITATOR SALTER: Do we have any  
12 comments?

13 (No response.)

14 FACILITATOR SALTER: All right. Then I am  
15 going to ask Peter Mas to come up. Again, if you  
16 would like to make a comment, just let me know by  
17 giving me a blue card.

18 MR. MAS: You always have to do the  
19 paperwork first. I'm Peter Mas. I'm from the  
20 Hartford Hospital in Connecticut. My role is  
21 primarily radiation safety. I'm a medical health  
22 physicist by training.

23 I was listening to the ACMUI Committee  
24 members. And I was wondering. There has to be a  
25 practice guideline document somewhere. So at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 lunchtime I saw you look at the ASTRO guidelines. If  
2 I can just take a few minutes to run down a few of  
3 these things. They seem to make it quite clear that  
4 post-implant dosimetry must be done, that recent  
5 studies indicate a post-implant CT and imaging should  
6 be at two to six weeks or at day zero or day one after  
7 the procedure is completed.

8 Now, with regards to the written  
9 directives, here we run into the trouble where what  
10 the standards of ASTRO and ACR might vary from what we  
11 are discussing today, a written directive that will  
12 tell the intended dose but they don't indicate the  
13 volume treated, the use of D90, which we seem to be in  
14 agreement that we would rather go to an activity-based  
15 system, rather than a dose, D90, or even a volume  
16 100-based target volume prescribing. The other dose  
17 parameters they should consider reporting are like  
18 rectal doses.

19 But since I am also involved in doing  
20 procedures at the hospital like the cert cases, why  
21 don't we establish or would it be possible to  
22 establish a maximum dose to other regions type of  
23 limitation for these implants so we don't have to be  
24 haggling over five millimeters from where the seed  
25 lies. We're looking at whether or not the dose to a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 volume beyond it might be more than what we establish  
2 as an acceptable upper limit.

3 And, finally, regarding the medical event  
4 notion, it was along the same lines. I'm sorry. If  
5 we could identify an area where we would be exceeding  
6 an intended dose or a dose limit, as opposed to just  
7 using the current standard or the rather nebulous  
8 current standard that we're trying to apply? That  
9 pretty much was just the nature of my comments that we  
10 have this document that currently exists.

11 We as an ACR-accredited institution and  
12 JACO-accredited institution seem to want to follow it  
13 because we'll be held accountable to that standard  
14 should something go awry with one of our implants.

15 That was all. Thanks so much.

16 FACILITATOR SALTER: Follow-up comment on  
17 that?

18 (No response.)

19 FACILITATOR SALTER: All right. What I am  
20 going to do right now -- oh, Dr. Welsh?

21 DR. WELSH: I might just provide a little  
22 bit of feedback on some of the points that were  
23 brought up. ASTRO has recommended post-implant  
24 dosimetry be performed. And ACMUI and I think all of  
25 us would concur with that sentiment.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1           The two to six weeks may be the ideal time  
2 to perform that study. I'm not personally sure. But  
3 I certainly don't think that a regulator should impose  
4 something that may or may not be first considered and  
5 discussed and approved by organizations like ASTRO and  
6 ACMUI, et cetera. Sixty days may be the appropriate.  
7 I'm not 100 percent sure yet. But that's something  
8 that is being considered right now.

9           As far as a maximum dose to other organs,  
10 this point has been brought up many times and  
11 discussed on several occasions. And I think that a  
12 critical point to keep in mind when dealing with  
13 prostate brachytherapy, in particular -- and this may  
14 be why prostate is different from other forms of  
15 brachytherapy -- there are no serial organs  
16 radiobiologically in the vicinity of the prostate;  
17 whereas, there are parallel organs.

18           And when we are dealing with the  
19 radiobiology of parallel organs, it is probably more  
20 appropriate to specify a dose-volume relationship,  
21 rather than a single point dose of maximum importance.  
22 So those are my comments to some of the questions that  
23 you brought up.

24           FACILITATOR SALTER: Any other comments?

25           (No response.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 FACILITATOR SALTER: All right. What I am  
2 going to do now is we have a statement that came into  
3 us that we were asked to enter, to read. And so I am  
4 going to do that now.

5 The statement is from Michael Peters, who  
6 is the Director of Legislative and Regulatory Affairs,  
7 the American College of Radiology. And his statement  
8 is "The American College of Radiology, a professional  
9 organization representing 34,000 diagnostic  
10 radiologists, radiation oncologists, interventional  
11 radiologists, nuclear medicine physicians, and medical  
12 physicists, appreciates the opportunity to provide the  
13 following statement on the topic of medical events in  
14 permanent implant brachytherapy.

15 "The issue of defining medical events in  
16 permanent brachytherapy has been discussed at length  
17 by the NRC's Advisory Committee on the Medical Uses of  
18 Isotopes in several meetings, reports, and  
19 recommendations over the years.

20 "At its May 2011 meeting, the ACMUI  
21 members voted to support the concepts provided to NRC  
22 by the American Society for Radiation Oncology. We  
23 urge that the deliberations and recommendations of the  
24 ACMUI be duly reflected in the language and  
25 implementation of the future rule.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1            "We agree with other stakeholders that a  
2            20 percent deviation of total dose delivered from the  
3            prescribed dose is not an appropriate determinant of  
4            reportable medical events in permanent brachytherapy.  
5            The reasons for this have been thoroughly reviewed in  
6            past ACMUI Permanent Brachytherapy Subcommittee  
7            discussions and recommendations.

8            "NRC rulemaking staff should work closely  
9            with the ACMUI and other radiation oncology  
10           stakeholders to develop an appropriate source strength  
11           activity-based metric.

12           "Due to the complex nature of permanent  
13           brachytherapy practice in the real time  
14           inter-operative decision-making involved, physicians  
15           must be given the flexibility to modify the total  
16           source strength administered during the procedure if  
17           in their professional judgment a change would result  
18           in better care for their patients than the total  
19           source strength estimated during the planning and  
20           development of the so-called pre-implementation  
21           written directive. Thus, NRC should not require that  
22           written directives for these procedures be finalized  
23           prior to the delivery of care.

24           "Thank you." So I just wanted to read  
25           that in. It came into us. I'm not sure. They

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 weren't able to be here or participate in the webinar,  
2 but they wanted to make sure that that statement was  
3 read.

4 And we do have a little bit more time.  
5 And so I am just going to throw out an issue that I  
6 heard touched upon, but perhaps we didn't really get  
7 into a dialogue on it. There were a couple of  
8 different opinions on it. And it's the issue of  
9 training to determine medical events.

10 I heard some folks say it should happen,  
11 other folks say it's not necessary. So I would just  
12 throw that out as an issue that I think we didn't  
13 really discuss fully. So if there's anybody that  
14 wants to make a comment on that or, Ron, if you want  
15 to clarify anything on that?

16 DR. ZELAC: A review of medical events  
17 that have occurred with respect to permanent implant  
18 brachytherapy suggests that in some cases, certainly  
19 not all but in some cases, there was not apparently  
20 adequate recognition on the part of either the  
21 authorized users that were involved, nor the medical  
22 physicists that were involved in what constituted a  
23 medical event.

24 What circumstances should result in a  
25 report being filed? And it was on that basis that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 suggestion had been made that training in medical  
2 events, what they are, what the requirements are  
3 should become a specific part of the regulation.  
4 That's where we are, and that is what the question is.

5 FACILITATOR SALTER: Dr. Ennis?

6 DR. ENNIS: I think I'm not sure of the  
7 need, although I can certainly see the value when a  
8 NUREG comes out. I think with modern technology, it  
9 would be possible to do it in a way.

10 And the key for I think ASTRO would be  
11 that it not impose a tremendous burden, both in terms  
12 of time or finances on the practitioners already very  
13 busy and overwhelmed with regulations, but a short  
14 web-based tutorial with documentation that you  
15 completed it I think would be an acceptable way to do  
16 that and achieve the goals of making sure everyone is  
17 aware of the NUREGs.

18 FACILITATOR SALTER: Dr. Welsh?

19 DR. WELSH: My personal sentiments are  
20 that it is reasonable to have training and education  
21 on definition of medical events for precisely the  
22 reasons that you have outlined, that sometimes  
23 individuals, just through ignorance of the policy,  
24 have not identified medical events because of  
25 ignorance.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1           Additionally, if we are going to make  
2 changes -- and that is what the purpose of this  
3 workshop is -- I think it would be very valuable for  
4 people to be educated on those changes that might come  
5 from this.

6           Certainly people who are following this  
7 closely, everybody in this room, will make themselves  
8 aware of new definitions, but there might be some  
9 practitioners who would have difficulty availing  
10 themselves of the new rules and regulations.

11           The question in my mind is not whether  
12 this is a good idea. I think it clearly is. But to  
13 what extent does it need to be mandated? And what  
14 would be the consequences for someone who has not  
15 gotten that particular training? That's where I think  
16 it steps into some questionable grounds.

17           And I would like to know perhaps from NRC  
18 staff what was in mind for punishment, for lack of a  
19 better term, if somebody is not educated on this.

20           FACILITATOR SALTER: Dr. Zelac?

21           DR. ZELAC: Yes, yes. I can't say with  
22 any certainty, but it appears to me that if there was  
23 a requirement for training to be provided and, in  
24 fact, there is not evidence that it was provided,  
25 that's a violation of the license, the same as if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there's a requirement that a written directive be  
2 completed and there isn't a written directive  
3 completed, that's a violation.

4 FACILITATOR SALTER: Dr. Welsh?

5 DR. WELSH: So then I would have to reply  
6 that if it is going to be as stern as a violation, I  
7 would probably not be officially endorsing the mandate  
8 for training and education. Conceptually I think it  
9 is a great idea, but if there is a consequence that's  
10 associated with it, I am not necessarily in favor of  
11 it any longer.

12 FACILITATOR SALTER: Any other comments?

13 Dr. Nag? Introduce yourself.

14 DR. NAG: Subir Nag. Back to this same  
15 point about a policeman-like mentality. If you have  
16 regulation, you look at the regulation, you start an  
17 infringement of this regulation. You weren't driving  
18 on the highway at 65 miles per hour. If you were  
19 driving at 66 miles per hour, is that a violation?  
20 Answer "Yes" or "No"? It is yes. But if you are  
21 going to arrest everybody who was going at 66 miles  
22 per hour, that would become onerous.

23 So it's very similar here. Why is it that  
24 for years we have been doing permanent implant? There  
25 were no problems. Certainly in 2007 or 2008, when the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 newspaper came out, you had a huge headline "Botched  
2 Therapy at Hospital." And it came out. Everybody who  
3 is doing an implant is doing that thing because before  
4 that, we were aware that the D90 was not but before  
5 long you had the seed into the prostate.

6 No one was calling it a medical event, but  
7 if you applied very strictly the way it was written,  
8 it became a medical event. Did that mean all the  
9 implants had been bad? No. It was the way the  
10 regulations were being interpreted.

11 The same way I would like to caution the  
12 NRC that when you are making the rule, make it in such  
13 a way that if you apply relevant theory and I'm sure  
14 many inspectors may apply relevant theory, you are not  
15 going to get an unintended consequence.

16 For example, a very obvious example is the  
17 rule of 50 percent and 5 rem. That rule, if you are  
18 going to apply to every permanent implant done in this  
19 country, I can bet you you are going to have about 30  
20 percent, not 0.3, not 0.03, not 3 percent but 30  
21 percent of all the implants done in this country will  
22 be labeled as medical events.

23 So what I am trying to say is apply -- we  
24 are making the rules. Of course, it might be  
25 possible, but even after that, there will become

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 unforeseen consequences or unintended consequences.  
2 When that happens, apply general common sense when you  
3 apply the law.

4 FACILITATOR SALTER: Thank you.

5 Ms. Eisner?

6 MS. EISNER: Yes. I mean, I am definitely  
7 in favor of a training, whether the regulations train  
8 or not, because there seems to be a lot of confusion  
9 concerning what defines a medical event and how to  
10 proceed with it.

11 However, it seems like the perspective has  
12 been very punitive. And I'm getting from everyone  
13 that, like Dr. Welsh said, certainly it is a good  
14 idea. And it is a good idea, especially if there is a  
15 change in regulation.

16 Certainly training should be the standard.  
17 And I think if you make it easy for a practitioner to  
18 get the training through web-based. I think most  
19 practitioners would want to get that type of training,  
20 certainly to understand better how, you know, how the  
21 regulation was changing and how it impacts how they  
22 practice.

23 So, you know, instead of looking at it as  
24 a punitive, perhaps maybe incentive-based the way CMS  
25 has been, so pay for performance, you know, maybe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 looking at it in a different light because I don't  
2 think that it is helpful to the practitioner and it's  
3 not helpful to the patient to look at it from the  
4 punitive sense. But certainly I think the training  
5 needs to be there.

6 FACILITATOR SALTER: Anyone else?

7 (No response.)

8 FACILITATOR SALTER: What I am going to do  
9 with about the last 15 minutes is I am going to ask  
10 Ron if there were any issues or clarifications or one  
11 final question he wanted to pose to the panel. We  
12 have about 15 minutes left before we have some closing  
13 remarks and just wanted to give you that opportunity.

14 DR. ZELAC: Well, thank you very much.

15 There is one thing I would like to ask  
16 simply because it hasn't been specifically brought  
17 out. And it is important for us as an agency to have  
18 input from this panel and the audience on this  
19 question.

20 I think it has been clear so far that the  
21 comparison of the result of an implant with what was  
22 stated to be the result if not in agreement, should be  
23 considered as a medical event. What I am saying  
24 specifically is at the conclusion of a procedure, when  
25 if we were to go to activity-based, the total source

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 strength that had been implanted was then entered into  
2 the written directive, from our perspective, then, the  
3 practitioner, the institution, the licensee is then  
4 held to that as what, in fact, had occurred. And if  
5 there are differences from that, that, in fact, would  
6 constitute an event, whatever you want to call the  
7 event.

8 So what I am basically asking is, do you  
9 agree that if the total source strength administered  
10 is found to differ by 20 percent or more from the  
11 total source strength documented in the  
12 post-implantation written directive, then that is an  
13 appropriate basis to consider that a medical event has  
14 occurred? Do you agree or not agree?

15 And that is important for us because that  
16 is a potential direction that we may be going with  
17 this proposed rule.

18 FACILITATOR SALTER: All right. So let me  
19 pose that to the panelists first. And then we'll come  
20 out to members of the audience.

21 (No response.)

22 FACILITATOR SALTER: Maybe I'll go to the  
23 members of the audience first.

24 MR. LIETO: This is Ralph Lieto. I would  
25 just add the phraseology that I think we agreed on at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the time the patient is released from licensing  
2 control.

3 DR. ZELAC: Well, this didn't get into  
4 when the post-implantation written directive would be  
5 completed, but assuming that there was a requirement  
6 and it met that requirement, the question is really if  
7 there is now a difference, say, you know, say that a  
8 number is stated as this is the amount, this is the  
9 total source strength that was implanted in this case.  
10 And it turns out later -- and there are a variety of  
11 reasons why it could -- why that total source strength  
12 that was actually implanted differs from the number  
13 that was stated in the written directive by more than  
14 20 percent.

15 Should that be a medical event? That is  
16 the question.

17 MR. LIETO: When you say "later," I am a  
18 little confused. Do you mean that later the patient  
19 has not left the treatment room or whatever, right?

20 DR. ZELAC: It has nothing to do with the  
21 patient being wherever. It's simply that it becomes  
22 apparent later. And we had an example that very  
23 recently a case where there was an implantation and  
24 the wrong batch of seeds got chosen, an older batch,  
25 rather than the batch that had been intended.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Therefore, the total source strength implanted  
2 differed markedly from what the physician had said had  
3 been implanted and, in fact, what had been implanted.

4 MR. LIETO: I understand the situation  
5 that you are talking about, but I think in terms --

6 DR. ZELAC: Later is whenever. It could  
7 be a year later, whenever it came to light.

8 MR. LIETO: Right, but the patient has  
9 been released from licensee control.

10 DR. ZELAC: Right.

11 MR. LIETO: Okay.

12 DR. ZELAC: Yes.

13 MR. LIETO: And that's my point. I mean,  
14 the later the time frame of the specific time frame of  
15 when later occurs, the patient hasn't left the  
16 licensee's control is my point.

17 DR. ZELAC: Well, clearly if it became  
18 evidence that there had been a missed entry into the  
19 written directive to complete it, before the patient  
20 left the facility, I presume that the licensee would  
21 then go to correct that missed entry.

22 MR. LIETO: Right.

23 DR. ZELAC: And so the conclusion would be  
24 that by the time that patient left --

25 MR. LIETO: That's why I was asking for --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 DR. ZELAC: -- would leave correct.

2 MR. LIETO: That's why I was asking for  
3 the clarification.

4 DR. ZELAC: Okay.

5 FACILITATOR SALTER: Dr. Hagan?

6 DR. HAGAN: My only addition other than  
7 agreeing with you and your construct is I think we  
8 should look at that figure 20 percent.

9 MR. LIETO: That's part of the reason I  
10 asked the question.

11 FACILITATOR SALTER: Dr. Ennis?

12 DR. ENNIS: Just echoing, I think this is  
13 the definition that we have been advocating, the 20  
14 percent is arbitrary, as was much more eloquently  
15 expressed a short while ago. And some of the data  
16 from the VA suggests that that threshold may be  
17 slightly too low and 25 percent or so might be a  
18 little more appropriate.

19 It's a little bit of a fine point. I  
20 don't know if we want to debate that right now, but I  
21 guess we can.

22 FACILITATOR SALTER: Dr. Welsh?

23 DR. WELSH: I think right now as the -- if  
24 my understanding of the way things are written is  
25 correct, there is some ambiguity about what can be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 amended in the written directive. And for this to  
2 work out ideally, there may be a need for allowing  
3 some more substantial adjustments to the written  
4 directive based on the intra-operative findings that  
5 go beyond the simple adjustments and amendments that  
6 are currently allowed.

7 FACILITATOR SALTER: Dr. Zelac?

8 DR. ZELAC: I tried, perhaps  
9 unsuccessfully, to focus this question on the  
10 direction that we are going, where we are going to be  
11 with respect to the new rule once it is created, where  
12 it is appropriate for us to be going when formulating  
13 a re-proposed rule for public comment. So that is  
14 what I am seeking, rather than consideration of where  
15 we are and what is going on now, where we should be  
16 going, what we should be doing in the future.

17 And so far I have heard that there seems  
18 to be agreement that this is a reasonable kind of  
19 criterion for declaring some kind of event, whatever  
20 you are going to call it. And the only question is  
21 whether the 20 percent, plus or minus 20 percent, is a  
22 reasonable number to use.

23 FACILITATOR SALTER: Dr. Nag?

24 DR. NAG: I think this was agreed upon  
25 both in the 2005 Medical Event Subcommittee, 2008

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Medical Event Subcommittee, and by ASTRO, by the ASTRO  
2 intended panel, that it would be the first implant  
3 directive.

4 And 30 percent was acceptable to us  
5 because of lack of any other number. If you want to  
6 agree to 25 percent, that would be fine with us, but  
7 for the time being, 20 percent of something we decided  
8 we could live with.

9 Oh, by the way, this has to be the source  
10 strength and not dose. That was the other question.

11 DR. ZELAC: Absolutely.

12 FACILITATOR SALTER: All right. One final  
13 call. Comments? Clarifications? Ron, you have a  
14 couple of more minutes if there is something else you  
15 wanted to raise. Anyone from the audience? Dr.  
16 Zelac?

17 DR. ZELAC: This is the only thing perhaps  
18 worth mentioning. After all of this discussion back  
19 and forth, we started off this morning with a  
20 presentation by myself that went into the history of  
21 where we got, how we got to where we are now.

22 And the first, very first, reference was  
23 to a paper that was written in 2005. It went to the  
24 Commission. And the reason that paper was written was  
25 because the Commission had questioned at that time

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 whether or not the plus or minus 20 percent that  
2 appeared in our regulations as the variance beyond  
3 which a medical event needed to be reported was  
4 appropriate for all of the different modalities that  
5 we regulated.

6 And there was very careful consideration  
7 given by staff to where that number had come from.  
8 And it turns out that it was from the ACMUI and  
9 whether it remained because it had been put forth a  
10 while back, whether it remained as an appropriate  
11 number.

12 The conclusion was that plus or minus 20  
13 percent for all of the modalities -- and this was  
14 based on dose variation -- was appropriate with the  
15 one exception of permanent implant brachytherapy.

16 The reasoning behind the original  
17 recommendation and why it was considered to remain  
18 acceptable is that doses that were greater than 20  
19 percent -- and, again, this is in all modalities --  
20 had at least the potential for resulting in dose to  
21 unintended sites that could be of consequence to the  
22 patient. And the doses under 20 percent from what had  
23 been intended had the potential consequence of not  
24 treating the malady appropriately and adequately.

25 So in both cases, there was potential harm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the patient. And on this basis and on that basis,  
2 then, plus or minus 20 percent remained as a  
3 reasonable number.

4 It probably still is, but for this  
5 modality, we're clearly talking about, although it's  
6 indeterminate whether 20 percent is really the best  
7 place to be, now simply we're talking about total  
8 source strength probably, as opposed to dose, as being  
9 the appropriate criterion.

10 FACILITATOR SALTER: Oh, Gretchen is  
11 signaling that we have a question from the webinar.  
12 This will probably be our final question and comment.

13 MS. RIVERA-CAPELLA: Yes. This one is  
14 from Marleen Moore. And she is saying that from the  
15 final example, the one before Ron just said, of 20  
16 percent activity error highlights that what the NRC  
17 should be trying to pick up as event are plunders.  
18 See also how it's worded for JCO sentinel events.  
19 That's what she typed in.

20 FACILITATOR SALTER: For JCO sentinel  
21 events. All right.

22 WRAP-UP

23 FACILITATOR SALTER: I think what we are  
24 going to do now is we are going to start to close up.  
25 Before I hand it over to Mike Fuller for some closing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 remarks, I want to thank everyone for respecting the  
2 process and each other. It has been a pleasure to  
3 work with you today. I hope I see a lot of you here  
4 again tomorrow.

5 I just want to remind you about the  
6 feedback forms in your folder. Please take some time  
7 to fill those out and let us know what you thought  
8 about today's meeting.

9 We will start again tomorrow in the same  
10 location in this room. Registration starts at 7:30.  
11 So the rooms will be open at 7:30. And there will be  
12 some coffee and continental breakfast. The meeting  
13 will start at 8:30. So please make sure you are here  
14 and ready to begin at 8:30.

15 I think they are going to lock this room,  
16 but I really wouldn't leave anything in it. You never  
17 know. Papers are lying around. They might just get  
18 thrown out. So I would encourage you to take  
19 everything with you and just bring it back tomorrow.

20 And, with that, I am going to turn it over  
21 to Mike to give us some closing remarks.

22 MR. FULLER: Thank you, Susan.

23 I also want to thank all of you who,  
24 again, took the time to be with us today. This has  
25 been very beneficial to us. And I especially want to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thank our panelists. This has been a very  
2 enlightening discussion for me and I think for most of  
3 the staff.

4 On the agenda, it has "Wrap-Up." What I  
5 had intended to do this afternoon was to sort of share  
6 with you some of the key messages and things that we  
7 heard today. Now, there are a number of those that I  
8 could point to, but I also notice that on the agenda  
9 tomorrow morning I have an opportunity to sort of  
10 provide an overview of what we heard today.

11 So, with your indulgence, what I would  
12 like to do is go back this evening and very carefully  
13 consider all of my notes so that tomorrow morning I  
14 can provide you with the feedback of the things that I  
15 heard in a more thoughtful way and so that you will  
16 understand what it is that as NRC staff, what were the  
17 key messages and the key things that we need to  
18 consider as we move forward in the process.

19 So with that, again, I would like to thank  
20 everyone for your time. I guess we'll adjourn at this  
21 point. Thank you.

22 (Whereupon, the foregoing matter was  
23 recessed at 4:40 p.m., to be reconvened on Tuesday,  
24 June 21, 2012, at 8:30 a.m.)

25  
**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com