

Official Transcript of Proceedings

NUCLEAR REGULATORY COMMISSION

Title: Advisory Committee on the Medical Uses
of Isotopes: Open Session

Docket Number: (n/a)

Location: Rockville, Maryland

Date: Monday, April 16, 2012

Work Order No.: NRC-1551

Pages 1-139

NEAL R. GROSS AND CO., INC.
Court Reporters and Transcribers
1323 Rhode Island Avenue, N.W.
Washington, D.C. 20005
(202) 234-4433

UNITED STATES OF AMERICA
NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

+ + + + +

MEETING

+ + + + +

OPEN SESSION

+ + + + +

MONDAY,

APRIL 16, 2012

The meeting was convened in Room T2-B3 of
Two White Flint North, 11545 Rockville Pike,
Rockville, Maryland, at 10:45 a.m., Bruce Thomadsen,
Ph.D., ACMUI Vice Chairman, presiding.

MEMBERS PRESENT:

BRUCE THOMADSEN, Ph.D., Acting Chair

DARICE BAILEY, Agreement State Representative

MILTON GUIBERTEAU, M.D., Diagnostic Radiologist

SUSAN LANGHORST, Ph.D., Radiation Safety Officer

STEVE MATTMULLER, Nuclear Pharmacist

CHRISTOPHER PALESTRO, M.D., Nuclear Medicine
Physician

JOHN SUH, M.D., Radiation Oncologist

ORHAN SULEIMAN, Ph.D., FDA Representative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

MEMBERS PRESENT (Continued):

WILLIAM VAN DECKER, M.D., Nuclear Cardiologist

LAURA M. WEIL, Patients' Rights Advocate

JAMES WELSH, M.D., Radiation Oncologist

PAT ZANZONICO, Ph.D., Nuclear Medicine Physicist

NRC STAFF PRESENT:

PAMELA HENDERSON, Acting Deputy Director,
Division of Materials Safety and State Agreements

CHRIS EINBERG, Designated Federal Officer

ASHLEY COCKERHAM, Alternate Designated Federal
Officer

MICHAEL FULLER, Alternate Designated Federal
Officer

SOPHIE HOLIDAY, Alternate ACMUI Coordinator

REGINALD AUGUSTUS, FSME/DWMEP/DURLD/SP

NEELAM BHALLA, FSME/DILR/RB-B

SUSAN CHIDAKEL, OGC/GCLR/RMR

JACKIE COOK (via telephone), RIV/DNMS/NMSB-B

SAID DAIBES, Ph.D., FSME/DMSSA/LISD/RMSB

SANDRA GABRIEL, RI/DNMS/MB

LATISCHA HANSON (via telephone), RIV/DNMS/NMSB-A

DONNA-BETH HOWE, Ph.D, FSME/DMSSA/LISD/RMSB

HARRIET KARAGIANNIS, RES/DE/RGDB

ED LOHR, FSME/DILR/RB-B

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

NRC STAFF PRESENT (Continued):

AARON McCRAW (via webcast), RIII/DNMS/MIB

PATRICIA PELKE (via webcast), RIII/DNMS/MLB

GRETCHEN RIVERA-CAPELLA, FSME/DMSSA/LISD/RMSB

SHIRLEY XU, FSME/DMSSA/LB

MEMBERS OF THE PUBLIC PRESENT:

DARRELL BROWN, Fox Chase Cancer Center

KEITH BROWN, University of Pennsylvania

PETER CRANE (via telephone), *No Affiliation*

ROBERT DANSEREAU, NYS Dept. of Health

MOHAN DOSS, Fox Chase Cancer Center

BRYAN EDWARDS, Fox Chase Cancer Center

LYNNE FAIROBENT, AAPM

TRACI HOLLINGSHEAD, Avera McKennan

DEEPIKA JALOTA, Bayer HealthCare Pharm.

RALPH LIETO, St. Joseph Mercy Hospital

GARY LUNGER (via webcast)

ANDREW McKINLEY, ASNC

JANETTE MERRILL, SNM

MARY E. MOORE, Philadelphia VA Medical Ctr.

DONNA MOSLEY, Fox Chase Cancer Center

MICHAEL PETERS, ACR

SOBHA PHILLIPS, Fox Chase Cancer Center

KATHRYN PRYOR, Health Physics Society

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

MEMBERS OF THE PUBLIC PRESENT (CONTINUED) :

JOE RODGERS, Theragenics

GLORIA ROMANELLI, ACR

KAREN SHEEHAN, Fox Chase Cancer Center

MICHAEL SHEETZ, University of Pittsburgh

MICHAEL N. STEPHENS, Florida Dept. of Health

CINDY TOMLINSON, ASTRO

RICHARD VETTER, Health Physics Society

GARY E. WILLIAMS, VA NHPP

DAVID WILLIAMSON, University of Pennsylvania

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

TABLE OF CONTENTS

Opening Statements	5
Old Business	13
Fundamental Concepts in Patient	25
Advocacy	
Electronic Signatures Subcommittee	42
Medical Events Subcommittee Report	47
Permanent Implant Brachytherapy	67
Status of Commission Paper on	
Patient Release	110
Radiation Therapy Implications	
from Anomalous Variations of the	
Nuclear Decay Law	123
Statement from Peter Crane	141

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

P-R-O-C-E-E-D-I-N-G-S

(10:50 a.m.)

ACTING CHAIR THOMADSEN: Welcome to the spring ACMUI meeting. I want to thank you all for joining us. Dr. Malmud cannot be with us for medical reasons, and we send him all of our best for a speedy recovery.

And to open the program, Mr. Einberg.

MR. EINBERG: Okay. Thank you, Dr. Thomadsen. I'm not sure if we can turn up the microphone for Dr. Thomadsen, or if you could speak up, but we are getting indications from the back that you need to talk a little louder.

Good morning. I'm going to open the meeting. I'm the Designated Federal Officer for this meeting. I am pleased to welcome you to this public meeting of the Advisory Committee on the Medical Uses of Isotopes.

My name is Chris Einberg. I am the Chief of the Radioactive Materials Safety Branch, and I have been designated as the Federal Officer of the Advisory Committee in accordance with 10 CFR Part 7.11.

Present today as the Alternate Designated Federal Officers are Mike Fuller, who is the team leader for the Medical Radiation Safety Team, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Ashley Cockerham, who is the coordinator for this
2 meeting.

3 This is an announced meeting of the
4 Committee. It is being held in accordance with the
5 rules and regulations of the Federal Advisory
6 Committee Act and the Nuclear Regulatory Commission.
7 The meeting was announced in the March 13, 2012,
8 edition of the Federal Register, Volume 77,
9 page 14837.

10 The function of the Committee is to advise
11 the staff on the issues and questions that arise in
12 the medical use of byproduct material. The Committee
13 provides counsel to the staff but does not determine
14 or direct the actual decisions of the staff or the
15 Commission.

16 The NRC solicits the views of the
17 Committee and values their opinions. I request that,
18 whenever possible, we try to reach a consensus on the
19 procedural issues that we will discuss today. But I
20 also recognize there may be minority or dissenting
21 opinions. If you have such opinions, please allow them
22 to be read into the record.

23 At this point, I would like to perform a
24 roll call of the ACMUI members who are participating
25 today. As Dr. Thomadsen mentioned, Dr. Leon Malmud,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 who is the Chairman of this Committee, is not in
2 attendance. And I will go through the roll call right
3 now. Dr. Bruce Thomadsen, who is the Acting Chairman
4 for this meeting today.

5 ACTING CHAIR THOMADSEN: Present.

6 MR. EINBERG: Ms. Darice Bailey, state
7 government representative.

8 MEMBER BAILEY: Present.

9 MR. EINBERG: Dr. Mickey Guiberteau,
10 diagnostic radiologist.

11 MEMBER GUIBERTEAU: Present.

12 MR. EINBERG: Dr. Sue Langhorst, radiation
13 safety officer.

14 MEMBER LANGHORST: Present.

15 MR. EINBERG: Mr. Steve Mattmuller, nuclear
16 pharmacist.

17 MEMBER MATTMULLER: Present.

18 MR. EINBERG: Dr. Christopher Palestro,
19 nuclear medicine physician.

20 MEMBER PALESTRO: Present.

21 MR. EINBERG: Dr. John Suh, radiation
22 oncologist.

23 (No response.)

24 He is here today. I note that he is here.
25 He stepped out of the room.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Dr. Orhan Suleiman, FDA representative.

2 MEMBER SULEIMAN: Present.

3 MR. EINBERG: Dr. William Van Decker,
4 nuclear cardiologist.

5 MEMBER VAN DECKER: Present.

6 MR. EINBERG: Ms. Laura Weil, patients
7 rights advocate.

8 MEMBER WEIL: Present.

9 MR. EINBERG: Dr. James Welsh, radiation
10 oncologist.

11 MEMBER WELSH: Present.

12 MR. EINBERG: Dr. Pat Zanzonico, nuclear
13 medicine physicist.

14 MEMBER ZANZONICO: Present.

15 MR. EINBERG: Okay. With that, we do have a
16 quorum. And so we have at least seven members, and we
17 can go ahead and participate -- proceed.

18 I now ask that the NRC staff members who
19 are present identify themselves. I will start with the
20 individuals in the room.

21 MS. HENDERSON: Pam Henderson, Acting
22 Deputy Director.

23 MR. EINBERG: Thank you.

24 MR. FULLER: Mike Fuller, team leader,
25 Medical Radiation Safety Team.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. EINBERG: Okay. I see that Dr. Sandy
2 Gabriel is in the audience also from Region I.

3 MS. RIVERA-CAPELLA: Gretchen Rivera-
4 Capella from the Medical Radiation Safety Team, NRC.

5 MR. EINBERG: Thank you.

6 MS. HOLIDAY: Sophie Holiday, also with the
7 Medical Radiation Safety Team, NRC.

8 MS. COCKERHAM: Ashley Cockerham with the
9 Medical Radiation Safety Team, NRC.

10 MR. EINBERG: Okay. Thank you. Are there
11 anybody from the regions on the phone?

12 MS. COOK: Jackie Cook, Region IV.

13 MR. EINBERG: Thank you.

14 MS. HANSON: Latischa Hanson, Region IV,
15 DNMS.

16 MR. EINBERG: Thank you. Anybody else from
17 the regions?

18 (No response.)

19 Anybody I missed on the phone or --

20 (No response.)

21 Okay. I would also like to add that this
22 meeting is being webcast, so other individuals may be
23 watching online.

24 We have a bridge line that is available,
25 and that phone number is 888-566-9152. The passcode to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 access the bridge line is 23793-pound. Once again, the
2 number is 888-566-9152. The passcode is 23793-pound.

3 Following a discussion of each agenda
4 item, the Acting Chairman, Dr. Bruce Thomadsen, at his
5 option, may entertain comments or questions from
6 members of the public who are participating with us
7 today.

8 At this point, I would like to turn the
9 meeting over to Ms. Pam Henderson, who has some
10 opening remarks she would like to make. And Ms.
11 Henderson is the Acting Deputy Division Director for
12 the Division of Materials Safety and State Agreements.

13 MS. HENDERSON: Good morning, and welcome
14 to the spring ACMUI meeting. Brian McDermott, the
15 Director, is representing NRC at the Organization of
16 Agreement States Board of Directors meeting in
17 Wisconsin, and, therefore, he is unable to be here.

18 In Dr. Malmud's absence, the current ACMUI
19 Vice Chairman, Dr. Thomadsen, will act as the Chair.
20 Thank you, Dr. Thomadsen, for acting in this capacity.

21 We would like to extend a warm welcome to
22 Ms. Darice Bailey. She was appointed as the new ACMUI
23 Agreement States representative on March 26, 2012.
24 Ms. Bailey has been interacting with the ACMUI members
25 and staff over email and phone for the past several

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 weeks, and we look forward to working with her over
2 the course of the next four years.

3 We are happy to announce that Mr. Steve
4 Mattmuller has been reappointed to serve a second term
5 on the ACMUI. We appreciate Mr. Mattmuller's
6 willingness to serve and for his valuable
7 contributions to the Committee over the past four
8 years.

9 On April 3rd, the Organization of
10 Agreement States and the Conference of Radiation
11 Control Program Directors met with the Commission to
12 discuss medical event definitions for permanent
13 implant brachytherapy, the expanded, increased control
14 requirements for 10 CFR Part 37, and various other
15 topics that impact our co-regulators in the states.

16 On April 24th -- next week -- NRC staff
17 and ACMUI members and various medical stakeholders
18 will be meeting with the Commission to discuss medical
19 event definitions for permanent implant brachytherapy.
20 The meeting will provide an opportunity for the
21 Commission to receive important feedback from all
22 interested parties before voting on the paper that is
23 before them at this time. Dr. Welsh and Ms. Weil will
24 be representing the ACMUI at that meeting.

25 On March 16th, the Commission approved the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Part 37 final rule with minor changes. Publication is
2 expected this summer. The effective date of this new
3 regulation will be one year after the publication
4 date, and that is when NRC licensees will need to meet
5 the new Part 37 requirements.

6 Agreement States will have three years
7 from the date of publication to adopt compatible
8 regulations.

9 During the meeting today and tomorrow, we
10 will be covering a range of topics, including
11 electronic signatures, patient advocacy, patient
12 release, radium-223 chloride, medical event
13 definitions for permanent implant brachytherapy,
14 strontium/rubidium generators. We look forward to
15 hearing the Committee's views on these important
16 issues.

17 And with that, I will hand it back to Dr.
18 Thomadsen.

19 ACTING CHAIR THOMADSEN: Thank you very
20 much. And are there any questions from the Committee?

21 (No response.)

22 In that case, we will move on to the next
23 presentation by Ms. Cockerham on Old Business. And
24 that is under Tab Number 3 in your book.

25 MS. COCKERHAM: Good morning. For Tab

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Number 3, I have new, updated handouts for you. So I'm
2 going to pass these around. So you can pull out
3 everything that is in your binder behind Tab 3.

4 And while those are going around, I will
5 just start by saying I know a lot of these
6 recommendations are from 2007 and '08. They seem very
7 old and they seem to still be lingering around, but
8 the good news is that almost all of them are included
9 in either the permanent implant brachytherapy, the
10 medical event definition, rulemaking that is currently
11 undergoing, and also there is a Part 35 expanded
12 rulemaking that is ongoing. So we are taking action on
13 many of these items.

14 So for these old lists, I am actually
15 going to go through them very quickly. I am not going
16 to read the recommendations in detail. I can tell you
17 for Items 2, 3, 6, 7, 8, 10, 25, all of those items
18 are currently included in the Part 35 expanded
19 rulemaking.

20 And then, when we get to Item 30, this is
21 a recommendation for something that is in 10 CFR
22 35.1000. So the things that are 1000 uses, I believe
23 the Elekta Perfexion, there is also a few items on
24 here, if you look at Items 34 and 35, that deal with
25 ophthalmic treatments, NeoVista, all of these things

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that are Part 1000 uses are not being moved into the
2 regulations at this time. That's why they say "open"
3 and "delayed."

4 So for -- we stopped at Item 30, so for 31
5 I said -- 31 and 32 are both included in the Part 35
6 expanded rulemaking. And then for Items 34 and 35,
7 that deals with the ophthalmic devices, and I
8 mentioned that those will be considered for a future
9 rulemaking, but not with the current expanded Part 35
10 or the current medical event definitions for permanent
11 implant brachytherapy rulemakings.

12 For Items 36, 37, and that's it for that
13 chart, those are both also included in the Part 35
14 expanded rulemaking.

15 So if we move on to 2008, Item 2 is also
16 included in the Part 35 expanded rulemaking. And
17 Number 5 is, as I said before, it's about Elekta
18 Perfexion. It is not included in the current
19 rulemakings, but it will be considered for a future
20 rulemaking.

21 For Item Number 9, this deals with the
22 abnormal occurrence criteria. And this -- the abnormal
23 occurrence criteria was discussed during the ACMUI
24 teleconference on December 15, 2011. The ACMUI
25 reaffirmed this recommendation with the addition of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the letter S to physicians, and this recommendation --
2 NRC provided it to Research staff to propose to the
3 Commission.

4 For Item 19, the Permanent Implant
5 Brachytherapy Subcommittee report, this is currently
6 in the permanent implant brachytherapy -- the medical
7 event definitions for permanent implant brachytherapy
8 rulemaking.

9 For Item 22, this is regarding yttrium-90
10 microspheres. Again, this is a 10 CFR 35.1000 use, and
11 it will be considered to be moved to rulemaking at a
12 future time. Right now it is still in guidance phase.
13 So this is the same as the Elekta Perfexion and the
14 NeoVista ophthalmic device.

15 For Items 26 and 27, these are regarding
16 permanent implant brachytherapy, and they are included
17 in that rulemaking. And the last three items -- 28,
18 29, and 30 -- are all in the Part 35 expanded
19 rulemaking.

20 For 2009, Item Numbers 2 and 10 are
21 included in the Part 35 expanded rulemaking. And for
22 Item 9, that is just adding Dr. Welsh and Dr.
23 Langhorst and Mr. Mattmuller to the Medical Events
24 Subcommittee. And Dr. Suh was subsequently added in
25 2011, but we will get to that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Any questions on any of these old
2 recommendations? We are kind of seeing a trend here.
3 It is either part of a current rulemaking, so the
4 recommendation is under consideration, or it is a
5 Part 1000 use, which we will consider at a future
6 date.

7 Okay. So for 2010, the ACMUI will provide
8 a list of action items for NRC staff based on the
9 recommendations provided in the Patient Release
10 Subcommittee report. This was still just lingering as
11 an open item, but I know at the last meeting Dr.
12 Langhorst stated that the Subcommittee felt it had
13 addressed all issues in its report and that this item
14 could be closed. And so I am just documenting that
15 this item is now closed.

16 For 2011, I am actually going to start
17 with Item Number 6. ACMUI created an action item to
18 reevaluate its satisfaction with the reporting
19 structure annually, and this recommendation was made
20 in January of 2011.

21 So sometime this year we will need the
22 Committee to -- I guess we can put that as an agenda
23 item for the next meeting, to evaluate its
24 satisfaction with the reporting structure. And this
25 deals with reporting to NRC staff at the division

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 level where it currently does, or reporting directly
2 to the Commission or some sort of other option.

3 For Item 7, Dr. Malmud will serve as the
4 reviewer to screen I-131 cases for the ACMUI Medical
5 Events Subcommittee. That is just an ongoing thing.
6 The Medical Events Subcommittee will report to us
7 later today.

8 For Item 9, ACMUI recommended a three-
9 month notice for future public stakeholder workshop
10 meetings. I went ahead and closed this item out. The
11 workshops are over. But I think the NRC understands
12 that ample notice is requested for public meetings.

13 For Item 10, this is regarding the public
14 stakeholder workshops. The Committee requested that we
15 have one of those workshops in August, which was a
16 couple of months later than I think what we had
17 proposed. And we did in fact have it in August in
18 Houston.

19 For Item 11, this deals with permanent
20 implant brachytherapy. And the ACMUI's Permanent
21 Implant Brachytherapy Subcommittee report was
22 finalized on February 7, 2012. It included
23 recommendations for post-implant dosimetry but did not
24 separate prostate implant brachytherapy from other
25 types of permanent implant brachytherapy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So I guess the point here is that this
2 recommendation is kind of superseded by your
3 subcommittee report. So I can actually -- I had put
4 "partially accepted," and what I will do is go ahead
5 and close this recommendation out, since your
6 Subcommittee report is the final statement on this.

7 Any questions or comments on that?

8 (No response.)

9 Okay. Item Number 12 says that we would
10 have the next meeting. This was for last fall, so I
11 would just close this item out so it is not lingering
12 open. You recommended we have a September meeting, and
13 we had a September meeting.

14 For Items 13, 14, and 15, all of these
15 items deal with attestation. And the last item deals
16 with -- oh, they're all dealing with attestation, and
17 they are all included in the Part 35 expanded
18 rulemaking.

19 Then, we'll jump to Item 19, and Mr.
20 Mattmuller asked the NRC staff to add ACMUI to the
21 organizational chart on the FSME website. We are still
22 working on this. I have identified two websites that I
23 think the ACMUI can be added to. We just need to work
24 through the process of going through our contractors
25 and getting ACMUI added to that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I did look at the NRC website as a whole,
2 like the public website. And there is a very high
3 level organizational chart. It does not include
4 organizations like the Advisory Committee on Reactor
5 Safe -- or Advisory Committee on Reactor Safeguards. I
6 believe I've got that right. And, really, it only goes
7 down to about the office level, and ACMUI -- there is
8 an office level, and then there is the division level,
9 and that's where the ACMUI reports to the division
10 level.

11 So I don't think that ACMUI would be
12 included on maybe the chart -- is that the chart that
13 you had envisioned? I'm not sure -- or would it be
14 more on the Office of Federal and State Programs and
15 Environmental -- Office of Federal and State Materials
16 and Environmental Management Programs website?

17 MEMBER MATTMULLER: I'm sorry. I can't keep
18 up with your shorthand. I think the intent was greater
19 visibility for the Committee.

20 MS. COCKERHAM: Okay.

21 MEMBER MATTMULLER: And so I will let you
22 decide where best that can occur --

23 MS. COCKERHAM: Okay.

24 MEMBER MATTMULLER: -- or work in --

25 MS. COCKERHAM: I guess I just wanted the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Committee to know that I did look on the big picture,
2 front page website. The NRC organizational chart,
3 which starts with the Commissioners at the top, and
4 then it has the Executive Director, but that chart
5 only goes down to our Office Director.

6 And if this Committee reports at a
7 division level, the Committee would not be on that
8 page, but there are many other places -- and I have
9 identified two other websites where I think we could
10 get this included. So we will be working on that.

11 For Item 20, Dr. Langhorst requested that
12 NRC staff place historical documents and past ACMUI
13 membership information on the ACMUI website. This is
14 something we are still working on, but it is noted and
15 it's open.

16 For Item 21, this is the Electronic
17 Signature Subcommittee, and that Subcommittee will be
18 reporting to us during that -- during today's meeting.

19 Item 22, I just closed out this item. This
20 is the abnormal occurrence criteria. This is the
21 teleconference that the Committee had on
22 December 15th, so I closed out that this discussion
23 was tabled.

24 Item 23 is where Dr. Malmud added Dr. Suh
25 to the Permanent Implant Brachytherapy Subcommittee.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Item 24, the Permanent Implant
2 Brachytherapy Subcommittee will revise the
3 Subcommittee report and provide it to the full
4 Committee. And they did do this, so I have closed out
5 this item. That October report was actually followed
6 up by a February report, so we have moved on even
7 since this point.

8 Item 26, NRC staff will provide an advance
9 copy of the Permanent Implant Brachytherapy
10 Subcommittee report to the Agreement States. This is
11 because we did not have an Agreement States
12 representative currently on the Committee. And Ms.
13 Bailey participated in the teleconference as a member
14 of the public on behalf of the Agreement States. So I
15 have gone ahead and closed out this item.

16 Item 27, ACMUI planned to hold a spring
17 meeting today and tomorrow. I closed this out because
18 we're here.

19 This would be Item 28. I don't see a
20 number, but it is Item 28 here. 28, 29, 30, and 31,
21 all of these items here that I have marked closed,
22 they are all modifications to the October Permanent
23 Implant Brachytherapy Subcommittee report. All of
24 these changes were incorporated into the report, and
25 the report was finalized on October 18th and posted to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the public website. So this is just noting all of
2 those changes that were made, so I have closed out all
3 of those items.

4 And I believe this would be Item 32. ACMUI
5 reaffirms the 2008 abnormal occurrence criteria as
6 stated in the handout with the amendment that "S" be
7 added to the end of "physician," which I discussed --
8 I think I mentioned this from a previous -- the bottom
9 line is, the recommendations that you have made for
10 abnormal occurrence criteria, the latest information
11 has been provided to the Office of Research, and they
12 are providing that to the Commission.

13 For the last chart -- this is 2012 --
14 ACMUI recommended two changes to the Permanent Implant
15 Brachytherapy Subcommittee report. Those two changes
16 were made to the report and included in the final
17 revised report that is dated February 7, 2012. And
18 these ACMUI recommendations in that February 7th
19 report were transmitted to the Commission in a SECY
20 paper or a Commission paper, and that paper is SECY-
21 12-0053.

22 Are there any questions on any of these
23 recommendations or their status?

24 ACTING CHAIR THOMADSEN: Yes. Dr. Van
25 Decker.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER VAN DECKER: Yes, if I could. You
2 know, I noticed on the agenda actually that there is
3 not a little topic point for discussion of an update
4 on the expanded Part 35 rulemaking, as far as what has
5 gone on since the public meetings of last summer and
6 our last meeting in September. Since a lot of these
7 items are on that, can you just give us some concept
8 of timeline of what has gone on in the last six months
9 and where we see that playing out?

10 MS. COCKERHAM: Sure. Actually, Mike has a
11 presentation on the agenda, and I believe -- I don't
12 know if it states that it's a rulemaking update, but
13 it is on permanent implant brachytherapy. I don't have
14 an agenda in front of me. Is Mike on there?

15 MEMBER VAN DECKER: He is on for permanent
16 implant brachytherapy, but not for Part 35 expanded.

17 MS. COCKERHAM: Mike, I can ask, are you
18 going to cover that information for the Part 35
19 expanded rulemaking?

20 MR. FULLER: This is Mike Fuller. No, it is
21 -- we probably won't cover that this time. The
22 decision was made not to add the expanded Part 35
23 rulemaking to this particular agenda because, really,
24 nothing has changed much since the last meeting that
25 we had in September. In other words, we continue to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 work through -- the working -- team is working. They
2 are developing the preliminary rule text.

3 In other words, since the last meeting we
4 haven't really tasked any milestones. So there really
5 wasn't anything to update. We did ask that folks from
6 our Rulemaking Division, you know, be here to answer
7 questions throughout the course of the next day or so.

8 ACTING CHAIR THOMADSEN: Dr. Van Decker.

9 MEMBER VAN DECKER: So for an old man's
10 memory, then, can you just remind me what your
11 timeline for publication of a draft rule is?

12 MR. FULLER: These are estimates, of
13 course, because we don't have that specified just yet
14 in the form of, you know, formal direction from the
15 Commission. But we are still anticipating a
16 publication -- the publication of a draft -- I mean,
17 of a proposed rule sometime either late this calendar
18 year, anywhere until spring of next -- of 2013.

19 MEMBER VAN DECKER: Thank you, sir.

20 ACTING CHAIR THOMADSEN: Any other
21 questions for Ms. Cockerham?

22 (No response.)

23 Seeing none, thank you very much for the
24 update.

25 Our next presentation by Ms. Weil on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Fundamental Concepts in Patient Advocacy.

2 MEMBER WEIL: Thank you very much. I would
3 like to talk about patient advocacy in general, health
4 advocacy writ large, if you will, and to discuss for a
5 moment my role on the ACMUI as a patient advocate. I
6 am a non-technical non-scientific member of a
7 technical committee, and my perspective, therefore, is
8 unfettered by professional loyalties in the clinical
9 realm.

10 And I am able perhaps to make use of my
11 limited scientific knowledge to focus more clearly on
12 the very zoomed-out public health issues of patient
13 advocacy as well as the very zoomed-in patient
14 perspective. So defining patient advocacy or health
15 advocacy, which is the broader perspective, is often
16 very difficult.

17 But one could say that a primary role is
18 supporting individual patient choice, enabling
19 autonomous decision-making, promoting patient and
20 public safety, and increasing access to health
21 services and the quality of those health services.

22 There are two sets of underpinnings for
23 this particular perspective, and I would like to
24 borrow from the tradition of the protections of human
25 subjects in clinical research, specifically the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Belmont report, which was isolated -- which was
2 drafted by the National Commission for the Protection
3 of Human Subjects in Biomedical and Behavioral
4 Research in 1979, because it -- in response to the
5 Tuskegee syphilis study and the public outcry over the
6 way people were treated in that particular study well
7 into the 1970s, these three ethical principles were
8 identified, which can be used much more broadly to
9 define concepts of patient advocacy in the larger
10 world of any medical encounter.

11 So the first principle is beneficence,
12 which is a fairly straightforward idea of maximizing
13 benefit and minimizing risk to patients.

14 The second principle of respect for
15 persons identifies patients as autonomous beings with
16 rights, preferences, and person-specific values, and
17 the third principle of justice discusses equality in
18 terms of sharing of the burdens and benefits of
19 research in the Belmont perspective. But in the
20 broader patient advocacy perspective, one could
21 interpret this to talk about the justice and equality
22 of access to health care services in general.

23 The second underpinning, the concept of
24 rights, is a more legalistic form when we start to
25 think as rights-only in the statutory sense. Statutory

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 rights are rights that are either legislated or
2 codified and are enforceable by courts and law
3 enforcement agencies.

4 There is a very strong tradition of
5 grievance and redress, which supports these rights in
6 a way that everyone understands. In the normative
7 tradition, it is a much more flexible kind of rights.
8 The rights represent the prevailing values in a
9 society and are not necessarily enforceable. These are
10 rights that are often characterized as what ought to
11 be or what should be.

12 If we look at statutory rights again, an
13 example would be the Emergency Medical Treatment and
14 Active Labor Act, which was -- which prevents
15 hospitals from dumping patients who have no ability to
16 pay for emergency care. It relates only to emergency
17 care, but it promises that every patient has the right
18 to present to an emergency room and receive a medical
19 evaluation and receive emergency care if needed,
20 without any respect to the patient's ability to pay.

21 This was in response to a number of
22 incidents where patients were refused admission to
23 emergency departments and sent down the road to the
24 local municipal or county hospital, or to the hospital
25 where their insurer would pay for care. And there were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 some deaths associated with that, including deaths to
2 kids.

3 So in the normative tradition, we could
4 look at this as an example of *Rowe v. Wade*. This is a
5 statutory law that is being somewhat modified in the
6 normative tradition by prevailing values of society.
7 *Rowe* clearly stated that a woman has a right to
8 terminate a pregnancy.

9 In the current discussions, this law is
10 now being shifted a bit by local legislative and
11 political activities to try to change that standing to
12 match more clearly the values of local communities,
13 states, and perhaps even of the federal law.

14 This third category, which I have called
15 the Professional Codes of Ethics category, is really a
16 category about implied rights. And I would like to
17 cite as an example a professional Code of Ethics, the
18 American Medical Association's Code of Medical Ethics,
19 which puts out norms of behavior for clinicians and
20 the implied rights that patients have based on those
21 norms of professional behavior.

22 To be specific, I would like to talk about
23 the AMA's code about -- that talks about medical
24 errors. And I would like to quote, "Patients have a
25 right to know when a medical error or unexpected

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 adverse event has occurred, whether or not the patient
2 has actually been harmed."

3 So while patients have no statutory right
4 to know of a medical error that has not caused
5 substantial injury, clearly the AMA's Code of Ethics
6 implies that because physicians have an ethical
7 obligation to disclose, patients, therefore, have a
8 right to know. And there are other examples of these
9 kinds of professional norms that imply rights to
10 patients, but they are not enforceable in any court.

11 If we go back to Belmont for a moment, the
12 Belmont report identifies respect for persons as the
13 underlying ethical principle behind patient autonomy.
14 And there are enablers and there are barriers to
15 autonomy, of course, and I would like to just give a
16 few examples.

17 Some of the enablers of autonomy are full
18 information from clinicians about treatment options,
19 transparency about how those treatment options have
20 been arrived at and chosen, and access to care.
21 Barriers to autonomy would be geography and payment
22 issues, and both of those play into that access
23 sphere.

24 In rural areas, patients have very limited
25 access to choice of provider or to perhaps centers of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 excellence, because there are more limited numbers of
2 health care providers in some areas.

3 Insurance issues certainly play into
4 access. Decisions about treatment options are often
5 made based on insurance coverage rather than patient
6 choice.

7 And this last category as an example,
8 provider bias, is something that isn't often cited as
9 a barrier to autonomy, but it is clear that health
10 care providers have biases about treatment. They have
11 choices that they prefer; they have reasons for
12 recommending certain things that sometimes aren't
13 based in clinical decision, but, rather, based on
14 personal bias.

15 And some of those bias issues involve
16 gender and racial considerations. There has been
17 enough in the literature that describes decision-
18 making by clinicians that is based in gender or racial
19 considerations rather than clinical considerations
20 that it does have an impact on patient autonomy.

21 So there are issues before the ACMUI that
22 have patient advocacy issues fairly firmly embedded in
23 them. The first would be the permanent implant
24 brachytherapy discussion about medical event
25 definition.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Now, if we look at the American Medical
2 Association's clear description of physician
3 responsibility regarding disclosure of departures from
4 the expected plan of care, then our medical event
5 definition might leave patients not able to know that
6 there has been a departure if the departure does not
7 reach the level of medical event definition, whereas
8 the AMA's Code of Ethics would suggest that perhaps
9 the patient should have been told when there was a
10 departure from what was the anticipated plan.

11 It is often stated that patients don't
12 want to know, that they would prefer not to be told
13 about what a clinician might consider a fairly
14 insignificant departure. But there is good evidence
15 among surveys of patients that patients do want to
16 know, they do wish to be told, and it does affect
17 their future medical decision-making.

18 So I would like to cite just a couple of
19 surveys that have been done of patients. One is Witman
20 in Archives of Internal Medicine who states -- and I
21 am going to quote -- "Virtually all patients -- 98
22 percent -- desired some acknowledgement of even minor
23 errors. Patients were significantly more likely to
24 consider litigation if the physician did not disclose
25 the error."

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Witman goes on to describe the discrepancy
2 in litigation as being 12 percent of patients who had
3 a discussion about the medical error with their
4 physician were likely to take their suit to court
5 versus 20 percent who found out about the treatment
6 error or the adverse event on their own.

7 Another study, Hobgood in Academic
8 Emergency Medicine, said that a majority of
9 respondents wish to be informed immediately of any
10 medical error. And they talk about this being 76
11 percent. And of those 76 percent, 88 percent wanted to
12 have full disclosure of the error's extent.

13 Now, med mal insurers know this well, and
14 run training programs to assist physicians in learning
15 how to disclose medical errors and adverse events
16 effectively, honestly, and with some degree of
17 apology, because they know that this is protective of
18 the physician as opposed to being an unwelcome
19 exposure.

20 And I would like to pose that physician
21 reluctance is more likely driven by a misplaced fear
22 of litigation and a lack of models in having these
23 discussions, because it is certainly not something
24 that is generally taught in medical school, or it may
25 be self-deceptions about patients' actual preferences.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Another issue that is relevant in the
2 field of patient advocacy that has come before the
3 ACMUI is the release of patients following 131-iodine
4 treatment. And the concern here is patient release
5 instructions and whether or not patients understand
6 them.

7 And while I would be the last person to
8 suggest that patients are incapable of understanding
9 instructions, the timing of those instructions is
10 problematic in this situation, the degree of
11 preparation that patients have, the confusing and
12 often contradictory instructions that patients get
13 from even within the same facility, the problems of
14 non-English speakers or limited English speakers, all
15 really conspire to give me a degree of concern about
16 whether or not the current situation is allowing
17 patients to follow these instructions in a way that
18 protects the public and their families.

19 If we were to extrapolate from the
20 situation with Emergency Department patients, who are
21 equally stressed and anxious when they are discharged
22 from the Emergency Department, we know from a study by
23 Engel in Annals of Emergency Medicine that 78 percent
24 of English-speaking patients -- and this doesn't even
25 attempt to address the problem with non-English

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 speakers -- 78 percent of patients do not understand
2 their discharge instructions.

3 So it is reasonable I think to assume that
4 iodine-131 patients are equally challenged due to
5 stress and complications, and all of those other
6 things, to be able to follow those instructions
7 adequately.

8 The CardioGen strontium/rubidium generator
9 issue that we are going to discuss later I believe
10 also raises an issue about disclosure. If the patients
11 exposed do not reach the threshold for medical event,
12 it is questionable whether they will be told that they
13 have been exposed to a potentially damaging isotope
14 inadvertently.

15 So these are the kinds of issues that are
16 within the realm of patient advocacy that have become
17 -- come before this Committee. And this is a list of
18 references that I have cited.

19 Thank you very much for your attention.

20 ACTING CHAIR THOMADSEN: Thank you very
21 much for your presentation. Questions or comments from
22 the Committee?

23 MEMBER ZANZONICO: I have a question. It is
24 sort of a general question. There is often issues in
25 terms of communicating with patients where there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 controversy, if not out and out disagreement among
2 themselves, regarding the level of hazard, if any. And
3 this is certainly the case with respect to radiation
4 controversy, like the linear non-threshold hypothesis,
5 et cetera, et cetera.

6 How does one deal with that? In other
7 words, how does one kind of candidly convey hazard or
8 lack of hazard in the face of uncertainty or
9 controversy among specialists in the field?

10 MEMBER WEIL: That's an interesting
11 question, and you could zoom out a bit and look at
12 regional variations of practice. Also, in that
13 different recommendations will be made to patients
14 depending on where they seek care, there are regional
15 preferences, there are regional sets of beliefs, one
16 could look at this as medicine in the normative
17 tradition.

18 I don't know the answer to your question
19 specifically. One says that medicine is an art rather
20 than a science, and I suspect that there is some truth
21 to that about radiation exposure as well, the way one
22 interprets the modeling and the numbers. I really
23 can't answer you, but it is a very interesting issue.

24 ACTING CHAIR THOMADSEN: Thank you. Any
25 other questions? Dr. Welsh.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER WELSH: A couple of comments and
2 questions. One, I am not sure I would agree with one
3 of your statements, and correct me if I misunderstood
4 what you said. But as far as disclosures and
5 transparencies on your second-to-the-last slide, you
6 mentioned that much of this is certainly not taught in
7 medical school.

8 I'm not sure where that statement comes
9 from, because as far as I know almost all medical
10 school curricula in the United States do incorporate a
11 good deal of ethical training in the curriculum now.
12 And examples would be the courses called Patients,
13 Ethics, and Society, and a variety of other names. But
14 I would take issue with that particular statement.

15 MEMBER WEIL: Yes. And I probably wasn't
16 clear about what I meant. What I was talking about was
17 very few residents have an opportunity to witness an
18 attending physician have a disclosure discussion with
19 a patient in the hospital.

20 It is -- they just don't get the chance to
21 witness it done well, and mostly that is because those
22 discussions, if they happen, happen in a very private
23 way with the physician and the patient, and rarely are
24 residents invited into that process. At least that is
25 my experience in my hospital career.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER WELSH: I would reply that that has
2 not been my experience. And most of the time the
3 residents are asked to witness these types of
4 discussions, which may happen once or twice,
5 fortunately, during a four-year residency training
6 program, for example. But that has not been my
7 personal observation.

8 That leads me to another question, which
9 is, in order for a physician to demonstrate competence
10 or capability in taking care of patients in his or her
11 chosen specialty, they must go through required
12 training and educational experience, residency
13 program, medical school, et cetera, and then go on to
14 take a rigorous board of -- board examination to
15 become board-certified.

16 How does one become an adequate patient
17 advocate? And the question comes up because I wonder
18 how a patient advocate can truly assure that he or she
19 represents and advocates on behalf of the patients and
20 truly reflects those desires and opinions of the
21 patients.

22 And in the patient release controversy
23 that is before the ACMUI, we are hearing statements
24 that patients want this, patients want that, but it
25 becomes confusing as to how we can know that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 statements that I am reading about what patients want
2 are truly correct. Can you enlighten us on this?

3 MEMBER WEIL: Well, the first rule of
4 Advocacy in -- with a capital A, I mean, not just
5 patient advocacy but advocacy -- when you are
6 representing someone, you have to take yourself as
7 much as possible out of the equation and attempt to
8 represent what you hear from the -- your client or
9 from the community that you are advocating for, and to
10 try to actuate those desires separate from any
11 personal bias that you might have.

12 Now, one only does that imperfectly, of
13 course. But one has to attempt to do that in an
14 impartial way.

15 I am not sure particularly which
16 statements you are referring to, but I can tell you
17 that when I talk about the iodine-131 patients I spent
18 a long time talking to patients at the Thyroid Cancer
19 Survivors Association's meeting in December, talking
20 about their experience with patient release.

21 I have no personal experience there, so I
22 am not talking about my own experiences. I am talking
23 about what patients have told me.

24 And the best that I could answer that
25 question is to say that I am simply a recipient of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 information from patients and try to represent them in
2 this Committee. Does that get to what you are at or --

3 MEMBER WELSH: It does. But it raises the
4 larger question of how reliable a patient advocate's
5 voice can truly represent the patient's opinions at
6 large. And to go back to the controversy at hand with
7 the I-131 patient release issue, we hear a lot of
8 opinions, and we hear a lot of comments that these
9 particular assertions that are made by one person or
10 another reflect the thyroid patients at large.

11 And I am left scratching my head about
12 whether or not I can really believe that, because to
13 my knowledge, unlike what we are trying to do in
14 medicine, which is move towards evidence-based
15 medicine, scientific medicine, medicine that is based
16 on sound scientific improvement principles, I am not
17 sure that the same is done presently in patient
18 advocacy.

19 And, therefore, when I hear that most
20 patients would like to be kept in the hospital for
21 their I-131 treatment, I wonder if what I am hearing
22 is truly reflecting the majority opinion of patients,
23 or if it might be the opinion of one or two advocates
24 that may be advocates, maybe they're not correct
25 advocates. It leaves me questioning the whole process.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I'm not sure how to solve this situation.

2 MEMBER WEIL: I don't think any patient
3 advocate can presume to speak for all patients. Our
4 job is simply to raise questions. And you're right,
5 it's not a scientific process. It probably needs some
6 testing in some kind of fact-gathering survey to
7 determine what Patients -- with a capital P -- want.
8 But I don't think that that would really solve
9 anything.

10 I think one could safely say that patients
11 want to safeguard the public from -- in this iodine-
12 131 scenario from exposure to radiation. Whether that
13 means they should be isolated in hospitals, whether
14 they want to be isolated in hospitals, whether they
15 simply want better instruction on how to protect
16 people around them, these are all open questions.

17 And this advocate's role is to raise
18 questions, not to prescribe for -- or to presume to
19 speak for all patients. Patients are very able to
20 speak for themselves.

21 ACTING CHAIR THOMADSEN: Thank you. Any
22 other comments?

23 (No response.)

24 Thank you, Ms. Weil.

25 We are running a bit ahead of schedule.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Point of order, can we take up the next item, or do we
2 break early for lunch?

3 MR. EINBERG: I would suggest we break for
4 lunch early and take up the item after lunch, in case
5 people -- members of the public want to listen in on
6 these agenda items.

7 ACTING CHAIR THOMADSEN: Fine. So we stand
8 adjourned until 1:30.

9 (Whereupon, at 11:43 a.m., the proceedings in the
10 foregoing matter recessed for lunch.)
11

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:30 p.m.)

ACTING CHAIR THOMADSEN: I would like to call the Committee back to order after lunch.

The first item of business is the report of the Electronic Signatures Subcommittee, which I chaired. You have at Tab 5 the report.

The Subcommittee was charged to look into electronic signatures, and we found that there is already a federal policy on this, which you have in the report. And the government has had standards for electronic signatures since 1999. The policy follows international protocols and was written by NIST, and it approves the use of electronic signatures for documents using passwords or PINs or the types of digitized signatures, as you might find in the supermarket checkouts.

So we find that the Subcommittee was not really necessary, that there is a policy in the government for that, and that we just recommend that the NRC recognize electronic signatures as per the government policy.

I think at this point I would ask if there was a motion by the Committee to accept and endorse the Subcommittee's report.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER WELSH: So moved.

2 ACTING CHAIR THOMADSEN: We have -- Dr.
3 Welsh has moved -- has made the motion. Do we have
4 a --

5 MEMBER ZANZONICO: Second it.

6 ACTING CHAIR THOMADSEN: We have a second
7 by Dr. Zanzonico. Discussion?

8 MR. EINBERG: Yes.

9 ACTING CHAIR THOMADSEN: Mr. Einberg.

10 MR. EINBERG: I'd like to thank the
11 Subcommittee for looking at this issue, and this is
12 something that, you know, we have been kind of
13 struggling with for a while to make sure that when we
14 do implement an electronic signature policy here at
15 the agency that it doesn't have any kind of
16 deleterious effect with licensees and it -- or
17 licensees are already using electronic signatures.

18 So from that standpoint, did the
19 Subcommittee find or look at whether this law would
20 have any kind of negative impact on licensees, or what
21 impact would this have if we were to adopt this kind
22 of recommendation?

23 ACTING CHAIR THOMADSEN: In looking at
24 this, it seemed there would be no deleterious effects,
25 in that you don't have -- all this would be doing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 would be saying that the NRC could accept from any
2 user in any record an the electronic signature as were
3 it a written signature.

4 MR. EINBERG: Okay. And then, because there
5 are electronic signature systems out there. And just
6 so I'm clear that, you know -- you know, that this --
7 they are already complying with this law.

8 ACTING CHAIR THOMADSEN: The policy -- the
9 federal policy recognizes all of these softwares as
10 being valid. But they go farther than that to
11 acknowledge essentially any form of electronic
12 signature over which the signer has control.

13 MR. EINBERG: I see. Okay.

14 ACTING CHAIR THOMADSEN: That's where the
15 supermarket-type signatures apply, or if you have any
16 other way of indicating your approval uniquely.

17 MR. EINBERG: Okay. So some of the things
18 that we touched upon when the Subcommittee was formed
19 were issues such as authentication, repudiation, data
20 integrity, records retention and inspection. And so
21 this law would address all of these various aspects.

22 ACTING CHAIR THOMADSEN: Yes.

23 MR. EINBERG: Okay.

24 ACTING CHAIR THOMADSEN: It does not
25 address record retention. That does not seem to be --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. EINBERG: I guess we were looking at
2 records inspection. We have a requirement to inspect
3 hard copy records or be -- not necessarily hard copy,
4 but to have records inspectable. And so from that
5 standpoint we wanted to ensure that, you know,
6 whatever we adopt is inspectable as well.

7 ACTING CHAIR THOMADSEN: Right. The
8 electronic signatures would have to be maintained --

9 MR. EINBERG: Okay.

10 ACTING CHAIR THOMADSEN: -- as far as being
11 able to pull them up if you were being inspected.

12 MR. EINBERG: Okay. May I turn to the staff
13 and see if they have any questions?

14 ACTING CHAIR THOMADSEN: Please.

15 MR. EINBERG: From the medical team, are
16 there any questions or --

17 (No response.)

18 There are no questions at this time.

19 ACTING CHAIR THOMADSEN: Fine. Dr. Welsh.

20 MEMBER WELSH: So since electronic
21 signatures have been used regularly for several years
22 in medical practice, they have to be compliant with
23 certain rules, restrictions, regulations, JCAHO
24 perhaps.

25 Wouldn't it be reasonable to propose that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 if it is used and approved by JCAHO that it could be
2 reviewed by NRC and, if deemed acceptable, adopted
3 rather than have NRC try to create something new and
4 independent that would, therefore, have to be reviewed
5 to be assured that it is JCAHO-compliant as well?
6 Wouldn't it be easier to go the other way around?

7 ACTING CHAIR THOMADSEN: Do you have any
8 reason to think there is a discrepancy with the Joint
9 Commission policy? I would guess that they are
10 following NIST, which is the policy that we, as a
11 Subcommittee, have -- are endorsing.

12 MEMBER WELSH: I think you're right.

13 ACTING CHAIR THOMADSEN: Dr. Langhorst.

14 MEMBER LANGHORST: I have a question for
15 NRC. If -- when adopting this, is there a chance that
16 NRC will accept electronic submissions for amendments
17 and license renewals? Is that coming anytime soon?

18 ACTING CHAIR THOMADSEN: Mr. Einberg?

19 MR. EINBERG: I am not prepared to answer
20 that right now, so --

21 MEMBER LANGHORST: That's okay. Just know I
22 have the question --

23 MR. EINBERG: Okay.

24 MEMBER LANGHORST: -- as does --

25 MR. EINBERG: It has been discussed, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there are no -- I am not prepared to give you a
2 definitive answer on that.

3 ACTING CHAIR THOMADSEN: Any other
4 questions or comments?

5 (No response.)

6 In that case, I will call the vote. All
7 those in favor say aye.

8 (Chorus of ayes.)

9 Opposed, no.

10 (No response.)

11 And abstentions.

12 (No response.)

13 It is passed unanimously. Thank you very
14 much.

15 Dr. Welsh, you're back up with the Medical
16 Events Subcommittee Report.

17 MEMBER WELSH: Thank you, Mr. Chairman.
18 Thanks for the opportunity to present the fiscal year
19 2010-2011 medical events summary.

20 Beginning with the 35.200 series, the
21 diagnostic medical events, we see that there were a
22 total of four found in the NMED database. One case was
23 an I-123 treatment that was contaminated with I-131.
24 An oral I-123 capsule was given, but imaging revealed
25 peaks for both I-131 and I-123, and it was discovered

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that the cap was contaminated with I-131.

2 A total of 380 rad to the thyroid of a
3 child was estimated.

4 Another case was what is described as a
5 technical medical event, because it was a very low
6 dose, but it did exceed what was called for by more
7 than 20 percent. It was actually just about 21
8 percent, and the discrepancy was on the order of 20
9 microcuries. Nonetheless, it meets the definition.

10 Another case was I-123 being intended.
11 However, I-131 was administered. Five millicuries of
12 I-131 was given instead of the I-123.

13 In another case, a more concerning case,
14 an indium-111 octeotride scan was ordered, but
15 strontium-89 was given. And this is a bit concerning,
16 perplexing. Apparently, it is due to human error in
17 which the strontium-90 vial, syringe was picked up and
18 used instead of the octeotride scan. And a dose of
19 63 rem to the bone marrow was given.

20 Moving on to the 300 series, there are a
21 total of nine medical events, but the asterisk there
22 indicates that a couple of cases are in the gray zone
23 because no written directive was prepared, because the
24 intention was diagnostic. But therapeutic isotopes or
25 doses were administered.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 There were four I-131 medical events in
2 this category, two samarium-153 EDTMP medical events.
3 One case was due to use of a lead syringe, which is a
4 bit ironic in that the lead syringe has been proposed
5 to solve one problem but may have inadvertently caused
6 a new problem.

7 I can tell you that it is difficult to use
8 the lead syringes when administering this type of
9 treatment because you can't really see as clearly as
10 you might need to. All of these cases were perhaps due
11 to human error.

12 How an I-131 administration could be given
13 in the absence of written directive is unclear, but
14 this did happen.

15 Moving on to the 400 series, manual
16 brachytherapy. The good news is that there haven't
17 been any manual afterloader medical events for quite
18 some time now. The last ones were back in 2010.

19 Similarly, there were no strontium-90 eye
20 application -- eye applicator brachytherapy medical
21 events.

22 And the last vascular brachytherapy event
23 was back in 2010, but very few of these are being
24 performed nowadays.

25 Unfortunately, the same pattern is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 true for permanent implant brachytherapy. I don't know
2 if we set any records this past year, but it is pretty
3 close. Certainly, there is no difference -- major
4 difference or major improvement in this particular
5 area. There were 30 medical events involving 94
6 patients recorded -- reported during this particular
7 period.

8 Importantly, 81 patients in 17 medical
9 events were reported during this period but actually
10 occurred more than six months prior to the period in
11 question. And some of them were as far back as 2003,
12 and this corroborates an assertion made by the ACMUI a
13 while back. This was a pattern that was predictable.

14 As far as the specifics, isotope data was
15 not available for all the patients, but at least 18
16 had used palladium-103. Thirty-four at least had
17 I-125, and at least one patient involved cesium-131.

18 As expected, the most common cause of
19 medical events during this timeframe was underdosing
20 -- for example, D-90 less than 80 percent. And there
21 were at least 39 cases in this category.

22 The second most frequent cause, as
23 expected, was overdose based on D-90. There were at
24 least 18 identified, meaning that at least 60 percent,
25 and perhaps more, of the medical events in this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 category were attributed to this dubious criterion of
2 the use of D-90.

3 There was one I-125 normal tissue overdose
4 due to an incorrect seed placement. There was one
5 medical event using palladium that was -- that
6 involved the wrong set of seeds. Two sets of seeds
7 were ordered. The older set was implanted, even though
8 it was for May 12, 2011, and the correct set should
9 have been put in on June 10th.

10 Because this was more than a half-life
11 difference, there was a slight -- a significant
12 underdosing because of the 17-day half-life. This
13 probably would have been more significant if it was
14 cesium-131, and maybe less so if it was I-25. But,
15 nonetheless, wrong seeds qualifies as a medical event
16 of course.

17 Another medical event was reported
18 involving an aborted procedure. And this one probably
19 should not be a medical event, because upon my review
20 of the situation the authorized user did absolutely
21 the right thing.

22 The authorized user aborted the procedure
23 after eight seeds were implanted, and the authorized
24 user realized that the anatomy was going to preclude
25 adequate placement of the lateral two columns of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 seeds, and, therefore, called off the procedure,
2 because of patient's anatomy. Nonetheless, it was
3 described as an underdose-based medical event.

4 There was a case involving cesium-131.
5 That was an overdose due to administration of a full
6 treatment of 114 gray when the prescription called for
7 a partial treatment of 85 gray. There was another case
8 in which the wrong activity was administered. The
9 seeds were ordered in air karma -- air kerma but
10 delivered in millicuries. And another overdose was due
11 to the wrong activity entered into the software.
12 Millicuries were entered instead of air kerma.

13 These are examples of the -- what we call
14 this morning standard or expected medical event
15 definitions. And there are a few patients that fall
16 into this category every so often. But it might be an
17 opportunity for getting rid of this particular subtype
18 of error once and for all.

19 ACMUI has previously recommended
20 standardization of activity, and I think air kerma was
21 recommended. I don't know if it would be possible to
22 enforce that. It was just a recommendation by the
23 ACMUI. Societies can recommend it, but suppose if a
24 statement came from NRC. Practitioners would listen,
25 and everybody would use the recommended units and this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 type of error would go away.

2 There was an example of an underdose
3 attributed to seeds that supposedly moved out of
4 place. A procedure was done in October, but the
5 medical event was identified almost six months later,
6 March of the next year when the patient returned for a
7 post-implant CT scan.

8 When we have intervals of this long, which
9 are not advocated, these things can happen. And the
10 question will always remain unanswered about whether
11 or not the seeds truly moved or the patient's anatomy
12 changed. Unfortunately, for this particular authorized
13 user and medical facility, it is described as a
14 medical event. But I personally am skeptical that
15 seeds can truly move, but it underscores the concept
16 of having scans done at the appropriate time for post-
17 implant dosimetry.

18 Several licensees had medical events that
19 involved more than one patient, and one stands out
20 very obviously. Thirty-five patients, all from the
21 same facility, were involved in medical events.
22 Fourteen of these had no written directive, 20 of
23 these had no post-implant dose recorded, and of these
24 patients 17 didn't even have post-implant CT.

25 The authorized user was removed from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 license, the program was permanently suspended, and
2 perhaps this was appropriate.

3 But at another facility there were two
4 medical events that were identified during a review of
5 12 cases done in 2008. These were both underdoses
6 using the D-90 criteria. And, not surprisingly, to
7 quote the NMED report, "The NRC is reviewing this
8 event and has not yet determined that it is a
9 reportable medical event."

10 Nevertheless, in December of 2008, this
11 facility permanently terminated its program, and the
12 last procedure was done in December of 2008. One
13 wonders, in contrast to the previous facility that
14 shut down, which was appropriate, whether this was
15 perhaps unnecessary.

16 Perhaps the most interesting thing that
17 came from our annual review this year were
18 retractions. Here is an example of a retracted
19 overdose in which the facility conducted a
20 comprehensive review of 44 procedures done since 2003.

21 This particular overdose involved a D-90
22 that was more than 20 percent of the prescription. But
23 the overdose was retracted -- the medical event was
24 retracted when a new post-implant dosimetry study, a
25 post plan was generated which determined that the D-90

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 value no longer met the reportable criteria.

2 And this slide title should probably say
3 "Underdoses," but it illustrates the same concept. Two
4 medical events involving four patients that were based
5 on calculated underdoses to the prostate that was
6 believed to be due to prostate swelling. And these
7 medical events were subsequently retracted after the
8 team concluded that the pre-dose to the prostate was
9 in fact within 20 percent of the prescription.

10 Here are some of the details, which I
11 won't go into, from the NMED database, that led them
12 to state that this was due to prostate swelling. Same
13 thing with the other event -- due to prostate
14 swelling. And this corroborates our point that we have
15 been making for many years -- that there can be
16 instances in which a calculated dose to the prostate
17 would meet the definition of "medical event" and
18 perhaps be a perfectly good implant in reality.

19 Up to this point, it has been largely
20 hypothetical. So I think these particular events are
21 important because they document for the first time
22 what we have been saying for several years now. You
23 can't have a definition that works on Monday but
24 doesn't work on Tuesday. That is exactly what is going
25 on here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 These so-called medical events were
2 retracted upon repeat imaging, at a more appropriate
3 time perhaps. Importantly, the D-90s in these cases
4 were initially 44 percent. And that indicates to me
5 that even our previous threshold of a D-90 of 60
6 percent might not really represent a true underdose if
7 that D-90 is calculated during the adenomatous period.

8 And, therefore, my assertion that the use
9 of D-90 in any form or fashion is perhaps not
10 appropriate for regulation, and I feel stronger than
11 ever about that assertion because of this data.

12 As far as Gamma Knife, there were two
13 events, and this is where the NMED database becomes a
14 little bit cumbersome. The Perfexion unit is Gamma
15 Knife treatment. I include it here in the 600 series,
16 although maybe it belongs in 1000.

17 A dose of 1,600 centigray was prescribed
18 to multiple lesions, but there was erroneous labeling
19 of one of the tumor sites resulting in delivery less
20 than -- much less than what was prescribed. And the
21 hospital suggested that Elekta make improvements to
22 site identification. So this is an example involving
23 the Perfexion unit.

24 There was another Gamma Knife medical
25 event involving Model C malfunction. It was reported a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 few months later. The patient was prescribed
2 2,000 centigray per lesion to 10 separate lesions.
3 Following treatment of the third lesion, the couch
4 failed. The physicist and the neurosurgeon entered the
5 room and manually pulled the couch out of the unit.
6 The physicist's badge read a dose of one millirem peak
7 dose and two millirem superficial dose equivalent.

8 This one I am going to save for next year,
9 because -- I apologize -- it is from the next year's
10 reporting period. So at least we know we will have
11 something to talk about next year.

12 Moving on to other events in the 600
13 series, appreciate Dr. Thomadsen for putting together
14 this table. But you can see that it looks like 12
15 versus eight, but when you go down to the Gamma Knife
16 we didn't include Gamma Knife in this particular
17 table, because some Gamma Knife is in 1000, some is in
18 600. There were two events there, so the difference is
19 really 12 versus eight, not very significant.

20 There were no frequently encountered
21 problems. Two involved lung treatments. Both had
22 problems with the dwell position identification. One
23 patient -- one event involving two patients, involved
24 the wrong length, one was the wrong transfer tube; two
25 breast applicator problems; a lobe puncture and a SAVI

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 catheter split; and one case in which a treatment
2 planning problem was encountered.

3 There was one event in the 600 LDR remote
4 afterloading scenario -- a biliary treatment where the
5 catheter shifted during treatment occurred. The
6 patient only received 124 centigray of the intended
7 prescription of 2000 centigray. And this was, again, a
8 low dose rate remote afterloader procedure.

9 Moving on to the Part 1000, there are 11
10 in this category. Maybe one more for the Perfexion,
11 three SIR-spheres, eight with the glass microspheres
12 or TheraSpheres. Not very different from 2010,
13 although there was a slight increase in the number of
14 microsphere events in Part 1000 this time around.

15 In fact, in this table where we have LDR
16 remote afterloader, there probably should be one
17 there, which I included in the 600 section. And,
18 similarly, one in the Perfexion, which I included with
19 the Gamma Knife, which underscores some of the
20 difficulties we have when using this NMED database
21 because it is kind of cumbersome. We are used to
22 reporting things in terms of the CFR, but that is not
23 the way the NMED database is organized at present.

24 Three of the TheraSphere cases are
25 described here. One was a misread prescription,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 clearly human error; another involved the wrong
2 artery, interventional team intentionally tried a
3 different route; in another patient, there was stasis
4 during the first fraction and pain during the second
5 fraction, which caused the team to discontinue.

6 And since this is a patient-related
7 phenomenon, one might argue that the authorized user
8 and the team did the right thing by discontinuing the
9 procedure. But it was deemed as a medical event.

10 Eight of the microsphere cases in this
11 reporting period involved the glass microspheres. One
12 was the wrong site due to duodenal shunting. Another
13 was a wrong dose due to an error in ordering. Five
14 were low doses due to technical problems, such as
15 clumping, leaking, needle insertion into the vial,
16 catheter problems. And one was another clear human
17 error in which the wrong site was treated.

18 And I guess that is pretty much it. There
19 might -- is that a gorilla? This is an 800-pound
20 gorilla in the room that represents the
21 strontium/rubidium generator situation. And rather
22 than try to do it just here, we have a special session
23 -- special set of sessions tomorrow which will address
24 this particular topic.

25 So I will stop at this point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ACTING CHAIR THOMADSEN: Thank you very
2 much, Dr. Welsh. Do we have questions? Yes, Dr.
3 Zanzonico.

4 MEMBER ZANZONICO: I am just a little
5 confused. If you have -- on the slide with the
6 permanent implant prostate brachytherapies, it says 30
7 medical events involving 94 patients. And then, 17
8 medical events, 81 patients.

9 MEMBER WELSH: Yes.

10 MEMBER ZANZONICO: What I'm
11 misunderstanding apparently is it's like more patients
12 than medical events.

13 MEMBER WELSH: Yes.

14 MEMBER ZANZONICO: So what exactly
15 happened? I mean, I would have thought there would
16 have been like a one-to-one correspond --

17 MEMBER WELSH: No. This is not uncommon.
18 When an institution reports a medical event, that
19 medical event could include multiple patients within
20 that same event. It has got something to do with the
21 reporting scheme or the definition.

22 MEMBER ZANZONICO: Okay.

23 MEMBER WELSH: And this is not at all
24 uncommon.

25 MEMBER ZANZONICO: Okay. So that's --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ACTING CHAIR THOMADSEN: This is systemic.

2 MEMBER ZANZONICO: Okay. Okay. So it's not
3 necessarily a patient by --

4 MEMBER WELSH: It is not. In some ways, it
5 would be better if the number of medical events meant
6 the number of patients, but this is the way it is
7 right now.

8 MEMBER ZANZONICO: And so just another
9 question. So with the proposed change in the
10 definition of "medical event" from your Subcommittee,
11 I gather that probably over half of those would not be
12 medical events?

13 MEMBER WELSH: Perhaps more than 60 percent
14 --

15 MEMBER ZANZONICO: Yeah.

16 MEMBER WELSH: -- because at least 60
17 percent of the events --

18 MEMBER ZANZONICO: Were based on the D-90.

19 MEMBER WELSH: -- were based on D-90. Now,
20 that doesn't mean that if we used the more appropriate
21 modern definition that there wouldn't be medical
22 events in that subset, but the use of D-90 is probably
23 capturing -- inappropriately capturing event -- cases
24 that are not truly medical events.

25 MEMBER ZANZONICO: And one other question

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 if I may.

2 ACTING CHAIR THOMADSEN: Certainly.

3 MEMBER ZANZONICO: What was the logic of
4 the agency in characterizing stopping the treatment in
5 the case of the TheraSpheres when stasis occurred? I
6 mean, that sounds like the exactly right thing that
7 should have been done.

8 MEMBER WELSH: Yes. It would seem that in
9 that particular case, because of stasis, you can stop
10 the procedure or -- because of medical concerns, such
11 as pain. The decision should be with the authorized
12 user and the team to discontinue the procedure.

13 But I think Dr. Thomadsen might be more
14 familiar with the specifics in this case, so I will
15 ask --

16 ACTING CHAIR THOMADSEN: In the NMED
17 database where I got the information, it didn't say
18 anything more than the users said it should be
19 withdrawn, but the agency said no. That's all I can
20 tell you. There is no justification.

21 MEMBER ZANZONICO: It doesn't seem to make
22 sense.

23 ACTING CHAIR THOMADSEN: Yes. Dr.
24 Langhorst.

25 MEMBER LANGHORST: Yes. And was that NRC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 regulated state or an Agreement State, or do you
2 remember?

3 ACTING CHAIR THOMADSEN: It was an
4 Agreement State.

5 MEMBER WELSH: I would agree that from the
6 limited description that we have it probably shouldn't
7 have been labeled as a medical event.

8 ACTING CHAIR THOMADSEN: Dr. Langhorst.

9 MEMBER LANGHORST: A question I have -- and
10 I don't know that it is tracked in the NMED database,
11 and I'm still trying to learn that system -- and it
12 may be one that we might want to consider going
13 forward on the microsphere medical events. It might be
14 interesting to know if the authorized users are
15 interventional radiologists or radiation oncologists.

16 I just -- that was a question that I had
17 as far as, if we have any more, is it -- is there any
18 correlation there. So I just raise the question; not
19 expecting anyone to be able to answer that, but for --

20 MEMBER WELSH: I think that is a very good
21 question that is presently not answered with the data
22 that is in the NMED database as far as I can tell. But
23 I think that question is important for the Y-90
24 microspheres as well as the I-131 thyroid treatments.

25 I would like to know how many events per

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 year might be due to radiation oncologists, nuclear
2 medicine physicians versus endocrinologists, who, as I
3 have stated in the past, in my opinion might not have
4 the -- well, they do not have the same degree of
5 training in the use of ionizing radiation as the other
6 two professionals.

7 It would be very difficult to answer the
8 overall question of appropriateness of non-radiation
9 oncologist/non-nuclear medicine physician being
10 appropriate for being authorized user from this
11 database, because we don't always have the
12 denominators.

13 But if we could have denominators and we
14 could see trends over years, we could answer the
15 question of whether or not an inordinate number of
16 medical events can be attributed to those who have
17 less training than those who have the detailed
18 residency-focused training.

19 ACTING CHAIR THOMADSEN: I do think that it
20 is an excellent question, and it is an issue that
21 needs exploring. I can tell you that in the
22 microsphere cases that there are none of those that
23 would have anything to do with who the authorized user
24 was.

25 Any other comments or questions? Mr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Einberg.

2 MR. EINBERG: Dr. Howe pointed out that we
3 do not have a requirement to report who the authorized
4 user is, and, as such, that's why it is not tracked in
5 the NMED database.

6 ACTING CHAIR THOMADSEN: Thank you. Any
7 other comments? Yes, Dr. Van Decker.

8 MEMBER VAN DECKER: Just since Dr. W is our
9 denominator person, you know, obviously, there is a
10 lot of prostate brachytherapy programs that seem to
11 have closed here, do you have any sense, from volume
12 of denominator, what is going on with the denominator
13 in that category right now? And then, as an adjunct,
14 the denominator in the sphere therapy category, is
15 that going up, one going down, as far as denominators
16 go?

17 MEMBER WELSH: It's a good question.
18 Unfortunately, I don't have the answer for you this
19 year. We did have the denominators last year. It is
20 not a trivial process to obtain them. It is fairly
21 expensive, and we have elected to collect those
22 denominators for a more comprehensive report every
23 other year or every two years rather than annually.

24 But I can tell you that my distinct
25 impression -- in the absence of proof, I must admit --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is that prostate brachytherapy continues to decrease
2 sharply.

3 MEMBER ZANZONICO: Can I just follow up?

4 ACTING CHAIR THOMADSEN: Dr. Zanzonico.

5 MEMBER ZANZONICO: Is that a decrease in
6 permanent implant brachy or to all sort of invasive or
7 aggressive forms of treatment of prostate cancer?

8 MEMBER WELSH: It is probably more specific
9 to prostate -- permanent prostate implant
10 brachytherapy. There is an increase in the use of
11 intensity-modulated radiation therapy. There are more
12 proton therapy facilities available.

13 But I am not sure that prostatectomy has
14 taken the same hit as permanent implant brachytherapy
15 has. It may have; I just don't have the information.
16 But I know that in the world of prostatectomy the use
17 of robotic surgery has perhaps kept that process going
18 strong, whereas a number of factors, perhaps in no
19 small part the negative publicity of medical events,
20 has caused a noticeable decline in the use of
21 permanent implant brachytherapy for prostate cancer.

22 MEMBER ZANZONICO: So it is not related
23 necessarily to this -- you know, this high profile
24 controversy about the value of PSA and just
25 aggressively treating prostate cancer as opposed to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 watchful waiting and this kind of thing that is --

2 MEMBER WELSH: Not for this particular
3 reporting period. In years to come --

4 MEMBER ZANZONICO: Right, it may.

5 MEMBER WELSH: -- there could be a sharp
6 decrease overall, but I don't think for the periods
7 that we are talking about presently.

8 ACTING CHAIR THOMADSEN: Dr. Suleiman.

9 MEMBER SULEIMAN: Yes. I think I will add
10 to Dr. Zanzonico's question or answer. I think you are
11 going to see dynamic changes, both with different
12 alternative modalities for treatment, some of it being
13 driven by evidence-based outcomes, some of it being
14 driven by reimbursement rates, and a whole bunch of
15 other factors.

16 So I think it is interesting to -- I mean,
17 safety is one of them. So if the medical event
18 criteria could be trusted to be consistent across all
19 modalities, it would be a real good metric to see
20 that, you know, this modality is safer than some other
21 modality. But I think it is good, but I don't know why
22 -- I think you are probably right about the IMRT
23 displacing some of this.

24 ACTING CHAIR THOMADSEN: Thank you.

25 MEMBER WELSH: There is no doubt that there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are financial motivations for choosing one treatment
2 over another or directing patients in one direction or
3 another. But I think a fact that is supported by the
4 literature that remains -- the fact remains that
5 permanent implant brachytherapy is effective and, if
6 done properly, is very safe and effective.

7 ACTING CHAIR THOMADSEN: Thank you, Dr.
8 Welsh.

9 Now we have Mr. Fuller. Are you concerned
10 that we are too far ahead of schedule? I see you
11 looking at your watch.

12 MR. FULLER: Excuse me, Mr. Chair.

13 ACTING CHAIR THOMADSEN: Mr. Fuller will be
14 talking about permanent implant brachytherapy.

15 MR. FULLER: Well, to answer your question,
16 I was looking at my watch, and we are quite ahead -- a
17 bit ahead of schedule. My only concern is is that
18 sometimes people look at the agenda and they plan to
19 join in at a particular time. And so if we get halfway
20 through it, and so forth, I do concern myself with
21 that. But --

22 ACTING CHAIR THOMADSEN: Would you prefer
23 for us to take a break right now?

24 MR. FULLER: I will leave it entirely up to
25 the Committee. It is just a sensitivity that we have,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 but it --

2 ACTING CHAIR THOMADSEN: Right.

3 MR. FULLER: -- is your meeting.

4 ACTING CHAIR THOMADSEN: We understand. Is
5 there a sense of the Committee? Shall we try to stay
6 on schedule for those who may be calling into this? Is
7 there an objection to taking a break now and resuming
8 at 3:00, when we are supposed to take up this topic?

9 (No response.)

10 Hearing none, we stand adjourned until
11 3:00.

12 (Whereupon, the proceedings in the foregoing matter
13 went off the record at 2:12 p.m. and went
14 back on the record at 2:58 p.m.)

15 ACTING CHAIR THOMADSEN: Welcome back. And
16 we will pick up with Mr. Fuller's presentation on the
17 update on proposed changes related to permanent
18 implant brachytherapy.

19 MR. FULLER: Thank you, Dr. Thomadsen. It
20 is a pleasure to be here today to provide the ACMUI
21 with an update on the proposed changes to 10 CFR Part
22 35 related to permanent implant brachytherapy.

23 The purpose of my presentation this
24 afternoon is to provide the ACMUI with an update on
25 the more recent developments related to staff's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 proposed changes to the medical event definition for
2 permanent implant brachytherapy.

3 I know that most of you are very familiar
4 with the history associated with this issue but for
5 some of you a brief history may be helpful. And for
6 all of us, I think a bit of background should add some
7 context to my presentation.

8 In 2005, the Commission directed the staff
9 to develop a proposed rule to modify both the written
10 directive requirements and the medical event reporting
11 requirements to be activity-based instead of dose-
12 based, as had been recommended by this committee.

13 In 2008, the Commission approved
14 publication of a proposed rule to amend pertinent Part
15 35 sections involving permanent implant brachytherapy.
16 However, during late summer and early fall of 2008, a
17 substantial number of medical events involving
18 permanent implant brachytherapy were reported to the
19 NRC. Based on its evaluation of that information at
20 the time, the staff believed that a number of these
21 medical events would not have been categorized as
22 medical events under the proposed rule. So in 2009,
23 the Commission sought further advice from this
24 committee and directed the staff to work with the
25 ACMUI to provide recommendations to the commission on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 regulatory changes for permanent implant brachytherapy
2 programs.

3 In 2010, the Commission disapproved
4 publishing a revised proposed rule and directed the
5 staff again to work closely with the ACMUI and others
6 from the broader medical and stakeholder community to
7 develop revised medical event definitions that protect
8 the interest of patients, allow physicians the
9 flexibility to take actions that they deem medically
10 necessary, while continuing to enable the Agency to
11 detect failures in process, procedure and training, as
12 well as any misapplication of byproduct material by
13 authorized users.

14 Additionally, the Commission directed
15 staff to hold a series of stakeholder workshops to
16 discuss issues associated with the medical event
17 definition, which was done last summer. I would note
18 that these workshops that the NRC staff learned a
19 great deal from the medical community about their
20 needs related to the medical event definition.

21 On Tuesday February 7, 2012, the
22 committee, the ACMUI, held a public teleconference and
23 endorsed the ACMUI Permanent Implant Subcommittee
24 report and provided NRC staff with recommendations for
25 changes to the medical event definition for permanent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 implant brachytherapy.

2 On April 5, 2012, NRC staff provided the
3 Commission with the staff's recommendations for
4 changes to the medical event definition. Those
5 recommendations were in the form of a SECY paper,
6 specifically SECY-12-0053. The paper was made public
7 on April 10th, which was last Tuesday, and we provided
8 to you the entire ACMUI on that same day. This
9 presentation will focus on the recommendations that
10 the ACMUI provided to the staff and whether staff
11 differed from those recommendations in our paper to
12 the Commission.

13 I should make it clear that my
14 presentation is not intended to detail the staff's
15 recommendations but rather to go over those
16 recommendations that we received from the ACMUI. As I
17 indicated in the previous slide, we only -- Our paper
18 was only made public last Tuesday. And so in
19 preparation for this presentation, there really wasn't
20 enough time to even develop a presentation on the SECY
21 paper itself. Next week, Dr. Ron Zelac will be making
22 that specific presentation to the Commission. And it
23 is probably appropriate that that presentation be made
24 to the Commission as opposed to going over a great
25 deal of detail at this point in time. And again, at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the time that we were putting together this
2 presentation, while we were very hopeful that we would
3 have the staff's paper public at this time, we had no
4 guarantee and I would like to thank those who helped
5 us make that happen. There were special accommodations
6 made on the part of the Commission last week to get
7 this paper out and make it public right away.

8 So again, I will be talking about
9 primarily what we heard from the ACMUI and how we may
10 have differed. But then since the paper is public now,
11 when we get to the end of the presentation and the
12 questions and answers, I will be happy to address any
13 questions that folks have about the staff's paper.

14 So, the ACMUI recommendations for the
15 target if greater than 20 percent of the sources fall
16 outside the treatment site and as long as that is not
17 resulting from patient-related causes such as edema or
18 source migration after placement, the ACMUI
19 recommended that this situation be defined as a
20 medical event.

21 For normal tissue, there are two criteria.
22 For neighboring structures such as the bladder or
23 rectum and in prostate implants as an example, the
24 dose to at least five contiguous cubic centimeters
25 exceeds 150 percent of the dose prescribed to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 clinical target volume or the planing target volume or
2 for intra-target structures. And again using the
3 prostate as an example, the urethra in this case, the
4 dose to at least five contiguous centimeters exceeds
5 150 percent of that structure's expected dose based
6 upon the approved pre-implant dose distribution.

7 Other ACMUI recommendations for what would
8 constitute a medical event involve using the wrong
9 radionuclide, using the wrong activity or source
10 strength as specified in the written directive,
11 delivered to the wrong patient, delivered directly to
12 the wrong site or body part with the exceptions of
13 seed migration, edema and other patient-related
14 factors or source displacement following placement, as
15 long as the first criteria, a few slides back, is not
16 violated. In other words, if less than 20 percent of
17 the seeds are implanted outside the treatment site but
18 at some distance from the treatment site, then a
19 medical event has occurred.

20 I recall the discussion on this point when
21 we were in Houston and I remember that there was quite
22 a bit of consensus amongst the panelists that this
23 situation should be considered an ME, a medical event,
24 that is. However, I want to let folks know that I
25 believe that the staff will have to be very careful to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ensure that the rule language is crafted in a manner
2 that makes the requirement clear, concise, and
3 unambiguous. And I say that because in the current
4 rule when we think in terms of wrong treatment site,
5 which is what I think we are really getting to here,
6 there is a dose-based criteria associated with that.
7 So I just want to let folks know that I see this as
8 not insurmountable because we did include it in our
9 recommendations, but it is going to take some care on
10 the part of the staff as we develop rule language.

11 Another ACMUI recommended criteria for
12 what would constitute a medical event is delivering,
13 using the wrong modality and finally, I mean or using
14 the leaking sources.

15 Another ACMUI recommendation was that the
16 authorized user should provide a statement attesting
17 that the implanted sources have been placed in
18 accordance with the final plan distribution.

19 So, NRC staff recommendations. What did we
20 do? The staff incorporated all of the ACMUI
21 recommendations in the staff recommendations to the
22 Commission with one exception and I will talk briefly
23 about that exception.

24 One recommendation from the ACMUI's
25 revised final report but not incorporated in staff's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 recommended medical event criteria involves possible
2 bunching of implanted radioactive seeds in the
3 treatment site, instead of being distributed as the
4 authorized user had planned before the start of the
5 procedure. We recommended that NRC staff require that
6 the authorized user affirm in writing on the written
7 directive after the implant is completed that the
8 distribution of the sources within the treatment site
9 was as intended per the pre-implant written directive.

10 The staff contends that appropriate
11 regulation for patient protection from undeclared or
12 unrecognized bunching exists through two existing
13 requirements and the authorizing user affirmation is
14 unnecessary.

15 One of the existing requirements is the
16 present 10 CFR 35.40 entitled "Written Directives"
17 section that requires completion of the written
18 directive after the implantation. This affords the
19 authorized user an opportunity to acknowledge any seed
20 bunching that may have been done intentionally or that
21 may have been unavoidable.

22 The second existing requirement is in the
23 present 10 CFR 35.41 "Procedures for Administrations
24 Requiring a Written Directive." This section requires
25 licensees to develop, implement, and maintain written

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 procedures that provide high confidence that, among
2 other things, each administration is in accordance
3 with the written directive and, if applicable, with
4 the treatment plan. To accomplish this objective,
5 these written procedures have to include conducting
6 post-implant assessment of each implant procedure.
7 Bunching that is not declared and explained in the
8 preceding written directive would become apparent
9 through this assessment and follow-up medical
10 remediation could be considered.

11 Moreover, this paper includes a
12 recommended medical event criteria involving observed
13 dose to normal tissue structures. In order to evaluate
14 the doses to normal tissues and structures, or at
15 least to assess whether variances from expected
16 results are significant, imaging to determine the
17 positions and locations of the implanted sources is
18 essential. Here also, bunching that is not declared
19 and explained in the written directive would become
20 apparent and follow-up medical remediation could be
21 considered.

22 Okay, so what are the next steps? There
23 are actually a couple that are missing on this slide.
24 My apologies.

25 Okay, as I mentioned before, next week we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have a Commission meeting on April 24th where staff,
2 NRC staff as well as two members of the ACMUI and
3 other stakeholders will be addressing the Commission
4 on this issue and discussing the staff's
5 recommendations. After that meeting, and one of the
6 main purposes of that meeting is to help the
7 Commission prepare as they get ready to vote on
8 staff's recommendation. So after that and hopefully
9 fairly soon, we will be receiving the Commission
10 votes. And then typically the way that works, is once
11 they have all voted, then based upon what they say, we
12 get what is called a Staff's Requirement Memorandum,
13 or an SRM. And it is in that SRM that we will be given
14 the direction on what to do next in the form of
15 rulemaking.

16 Two more points I would like to -- two
17 more things in the process that I somehow
18 inadvertently left off of the slides that you see but
19 are on my slides is shortly after we get the SRM we
20 can begin developing what is called a regulatory
21 basis. A regulatory basis is what our rulemakers need,
22 the folks that are specialists when it comes to
23 developing rules and new regulations. That regulatory
24 basis will be developed by the NRC staff or staff from
25 the medical team and then provided and once accepted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 by the folks who do the rulemaking, then we can
2 incorporate this into the expanded Part 35 rulemaking
3 effort which is currently underway.

4 So then after that, we will have hopefully
5 in a reasonable amount of time, a proposed rule. So
6 again, our plan is and our hopes are that this will be
7 incorporated by the end of the summer into the
8 expanded, the ongoing expanded Part 35 rulemaking. I
9 know we have discussed that a number of times in the
10 past and that proposed rule should be out and again,
11 we don't have a hard and fast date right now but our
12 hopes are to have that late, at the very earliest,
13 would be the very end of 2012. More likely, it would
14 be sometime next spring, springtime of 2013.

15 That concludes my presentation. I am happy
16 to answer any questions. As I indicated before, when
17 we put this together with had great hopes that the
18 permanent implant brachytherapy, that the staff's
19 recommendations to the permanent implant brachytherapy
20 program would be public and I have had people say that
21 they are. But that was just last Tuesday.

22 ACTING CHAIR THOMADSEN: Any questions for
23 Mr. Fuller? Yes, Ms. Weil?

24 MEMBER WEIL: Can you help me understand
25 the imaging requirement, which isn't really a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 requirement, I gather, but it is somehow implied in
2 your slide number 11.

3 MR. FULLER: Yes, and let me go to our
4 actual paper on this because I want to make sure that
5 I get this just right.

6 One of the things that we did here, loud
7 and clear from the workshops last summer, was a strong
8 consensus that post-implant imaging should be a
9 requirement. And so we have incorporated that. Let me
10 see if I can find it exactly but we have incorporated
11 that in our recommended changes to the Commission. So
12 in fact if the Commission agrees that that should be a
13 requirement, then that will be a new requirement.

14 MEMBER WEIL: And what is the nature of
15 that imaging requirement timing-wise?

16 MR. FULLER: Well the timing is in our
17 recommendations to the Commission would be within 60
18 days. So our understanding from what we heard during
19 the workshops and from what we heard from this
20 committee is that 30 days is, for the majority of
21 cases, for I guess standard, if you will, for post-
22 implant imaging and dosimetry. But we have also heard
23 that there are exceptions and there are cases in which
24 folks really can't get back exactly when they need to
25 and so forth and so on.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So for our recommendations in the paper,
2 we suggested a time frame of 60 days, which should
3 give people ample time. And again, there are certainly
4 situations where someone might not be able to get back
5 at all and there should be or there are provisions in
6 our recommendations as well for that.

7 But to get to your point and to answer
8 your question directly, we believe that the
9 requirement to have policies and procedures in place
10 that provide high confidence that the procedure is
11 conducted in accordance with the authorized user's
12 written directive or intention, coupled with this new
13 recommendation for post-implant imaging would provide
14 the licensee with ample information and data to be
15 able to make an assessment on this bunching issue.

16 ACTING CHAIR THOMADSEN: Dr. Zanzonico.

17 MEMBER ZANZONICO: So I have a question
18 that is about the ME based on seeds implanted directly
19 into the wrong site of the body. Now I think as you
20 said on the slide, that would be first to sort of
21 remote sites from the target site. So for neighboring
22 sites or intratarget normal structures, that is
23 accounted for by the dose-based criteria.

24 MR. FULLER: Right and we followed the
25 ACMUI recommendation. In fact, both of these are ACMUI

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 recommendations.

2 MEMBER ZANZONICO: Right. So this, I guess
3 it is 4D in one of your write-ups, this refers to
4 seeds being implanted more remote than neighboring
5 sites.

6 MR. FULLER: Yes.

7 MEMBER ZANZONICO: And it says, this again
8 is a little picayune but it says seeds, plural. I
9 mean, is there some regulatory specification of number
10 of seeds or just any seed or seeds that wind up remote
11 from the intended target?

12 MR. FULLER: Right, and when we were
13 discussing this again, I think it was discussed
14 briefly, very briefly in New York but it was actually
15 a topic that got quite a bit of discussion in Houston
16 where folks discussed the fact that any number of
17 seeds. So I could have said seed or seeds that are
18 implanted clearly as a mistake that that ought to
19 constitute a medical event.

20 There was very, very strong agreement it
21 seems, which actually surprised me a little bit. And
22 when I went back over it again the next day and
23 summarized everything, no one disagreed with me when I
24 said this is what I thought I heard.

25 And so the way that we think of this and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the language that has been in and around the rule for
2 a long, long time, although not in the current rule
3 specifically like this, we refer to these instances or
4 these cases as wrong treatment site, which is
5 different than normal tissue normal structure, which
6 is in close proximity. So, I really believe that we
7 will be able to deal with that effectively but I just
8 wanted to remind folks that in the past, wrong
9 treatment site has a dose-based criterion associated
10 with it and this recommendation did not. And again,
11 not that we can't deal with that but I think what
12 types of questions that I expect to receive as we work
13 on this language is that how far is far. How far away
14 is far away? How far away is distant? Those are the
15 things that we are going to have to wrangle with. And
16 again, I think we can be successful but I also think
17 that we are going to have to be careful, that we do
18 not write proposed rule language that ends up putting
19 us in a situation where we now have an interpretation
20 that was something that, you know, in other words,
21 unintended consequences for things like this or things
22 that I am concerned about and I think all of the
23 medical team is a little concerned about at this
24 point.

25 MEMBER ZANZONICO: Can I just follow-up?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Can I just ask a question for some of the brachy
2 specialists on the committee?

3 And this is completely my own ignorance
4 but what I picture in terms of seed implantation is a
5 seed gun or some dispenser that is inserted into
6 tissue. Is it always, is the tip of the gun, for lack
7 of a better term, always inserted directly into the
8 target tissue or do you sometimes have to traverse
9 normal structures to get the intended point of
10 deposition into the target structure or is the target
11 structure always exposed?

12 ACTING CHAIR THOMADSEN: Dr. Welsh.

13 MEMBER WELSH: I'll take a stab at
14 answering that question.

15 You would almost always traverse some
16 normal tissue in order to get to your target in
17 clinical practice. The only way around that would be
18 with an intra-operative approach and intra-operative
19 brachytherapy is a very different situation from what
20 we are generally talking about here.

21 What we are generally talking about here
22 alludes to primarily prostate brachytherapy. But the
23 reason why this bullet point D is so critically
24 important is because we have generalized beyond
25 prostate brachytherapy. And I think the majority of us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 feel that if your aim is to treat the left breast and
2 you put a seed in the right breast, even if it is one
3 seed, you have committed an error. And if your
4 intention is to implant the prostate and you start
5 implanting the lung, there is a major error, whether
6 it is one seed or how many. So in that context, wrong
7 site is a medical event irrespective of how many seeds
8 have placed.

9 MEMBER ZANZONICO: I guess what I am trying
10 to get at is, you know envisioning simple mindedly
11 this insertion method. Is it possible someone could be
12 too quick on the trigger, so to speak and
13 inadvertently deposit or insert a seed along the path
14 of the needle near but not in the intended site and
15 should that not or not be an ME?

16 MEMBER WELSH: I think I can reply to that.

17 ACTING CHAIR THOMADSEN: Dr. Welsh.

18 MEMBER WELSH: That scenario that you are
19 describing does not uncommonly occur. With prostate
20 brachytherapy, for example, when we withdraw the MIC
21 applicator, the seeds can be vacuumed back out of the
22 area that they were originally correctly implanted
23 into and, therefore, you can have this migration
24 effect. But I think that is very different from being
25 quick to jump the gun when you are in completely the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 wrong organ. And if you are in the wrong organ, the
2 wrong body site, there is no excuse for that. And that
3 is why I think wrong site belongs here. But we do have
4 to be careful when we are talking about seeds that
5 have migrated into the perineum or into the bladder or
6 have migrated through and wound up embolized in the
7 lung, which does happen with prostate brachytherapy as
8 an example. But those seeds were not directly placed
9 in the wrong site.

10 MEMBER ZANZONICO: Okay. That was my
11 concern.

12 ACTING CHAIR THOMADSEN: Dr. Langhorst.

13 MEMBER LANGHORST: The question that I have
14 is on the attestation. And your point is that the
15 current regulations allow the authorized user in that
16 final completion of the written directive
17 clarification on what actually was able to be
18 implanted. Is that correct?

19 MR. FULLER: Again, that is a piece of it.
20 I think what we tried to describe in our paper was
21 that there are three things that in combination makes
22 the need, in staff's estimation, the need for a
23 written attestation unnecessary.

24 So it is not just the fact that there is
25 an opportunity for the post-implant -- completion of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the written directive after implantation but before
2 completion of the procedure, which we also have tried
3 to clarify in the staff's recommendations.

4 But that coupled with the requirement that
5 you have policies and procedures that provide high
6 confidence and coupled with what we are recommending
7 as a new requirement for post-implant imaging, that
8 those three things together make the need for a
9 written attestation to be unnecessary.

10 MEMBER LANGHORST: Okay, my question is on
11 the completion of the written directive. If a
12 physician authorized user cannot implant all the seeds
13 that were planned as we had talked about in one of the
14 medical events, is that still a medical event if the
15 physician documents that they changed their mind or
16 were unable to do that? Are you recognizing that that
17 may not be a medical event? Is that -- I'm trying to
18 get is that what you are allowing for here or am I
19 stretching it too much?

20 MR. FULLER: I certainly don't want to try
21 to get out ahead of where we might be directed. But
22 the current recommendations from the staff really
23 don't change any aspect of it very much. The only
24 thing we did was clarify what was the completion of
25 the procedure. I think you will still need to compare,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in general terms, what was intended and what did you
2 achieve. And it is really that now.

3 And this is really where we get ourselves
4 in a bit of a pickle, I guess, and it is always
5 imperfect because you are going to have some
6 situations where you simply did not successfully
7 complete the procedure. There are going to be other
8 cases -- and I mean for whatever reason it was
9 unavoidable.

10 You are going to have other situations
11 where mistakes were made. And so we have to have a
12 rule that sort of accounts for that as well. So while
13 our direction from the Commission was that we needed
14 provide the medical or the authorized user or the
15 medical professionals the flexibility that they need
16 to be able to react to things that unforeseen. We have
17 to provide -- We have to be accommodating to that
18 situation.

19 What we want to avoid and what we will be
20 working on when we actually develop the real language
21 is that situation where someone simply didn't do what
22 they really wanted to do, they recognize that they
23 haven't and then they have changed the written
24 directive to document what they did and not what they
25 intended to do. And that is still something that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are struggling with and we are hoping to get more
2 clarification.

3 ACTING CHAIR THOMADSEN: Dr. Suleiman.

4 MEMBER SULEIMAN: I have two or three
5 questions but one of them sort of tails with yours
6 because I am still confused.

7 You go in, you have got 50 seeds,
8 arbitrary number. You wind up implanting 40 of them.
9 You think you have put them in very randomly, very
10 uniformly, I mean and so I think this is an enough. I
11 would like to stop there and recalculate the dose and
12 figure maybe you need to go back and do a second
13 procedure. Would that be a reportable event? Or they
14 go in and they deviate and then they say we deviated
15 from the written directive and this is why. Would that
16 be a reportable medical event?

17 MR. FULLER: No, it shouldn't --

18 MEMBER SULEIMAN: Okay.

19 MR. FULLER: -- because again, the
20 objective is to make sure that the dose that was
21 delivered was what was intended, recognizing,
22 especially in these types of manual procedures, that
23 the medical practitioner has to have the flexibility
24 to react to things that happen or that they find or
25 they discover while they are in the middle of a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 procedure.

2 MEMBER SULEIMAN: Okay now my other two
3 much more black and white questions. Wrong site. Now
4 there is a difference between left or right, wrong
5 patient, and unintended migration from an adjacent
6 site. One is, I think, within that gray area of
7 uncertainty associated with the practice of medicine
8 and the inherent precision or lack thereof. Another
9 one is just a flat out mistake.

10 And the second question, which is kind of
11 related to that, I think I know the answer which is
12 why I am asking it. If somebody writes the written
13 directive wrong, puts a decimal point, is off by a
14 factor of ten but they go ahead and administer the
15 written dose appropriately but they are off by a
16 factor of ten, that is not a medical event. Correct?

17 MR. FULLER: That is always -- Yes, the way
18 the rule is currently written is that if you make a
19 mistake when you write the written directive and then
20 you carry out the procedure in accordance with that
21 written directive, it is not a medical event. That is
22 true.

23 MEMBER SULEIMAN: That runs counter to the
24 intent of all of this. I mean, if people make an
25 honest mistake, they need to be able to fess up to it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 A patient's health may be --

2 MR. FULLER: Agreed. I think -- Well I
3 don't want to speculate. Go ahead.

4 MEMBER LANGHORST: I'll speculate. Sue
5 Langhorst. It is not correct but is that where NRC can
6 regulate? I mean, that is, again, that is the practice
7 of medicine and maybe that is how the physician wanted
8 to make that written directive and it may be wrong in
9 every other circle but NRC can't regulate everything
10 medically.

11 And you are right, it is not the correct
12 thing to do for the patient and it should be looked at
13 in another round, but does it have to be in the NRC
14 space? You have to define it in some way.

15 MEMBER SULEIMAN: Well, I don't care if the
16 NRC doesn't regulate it as such. I would hope that
17 somebody could assure me that that is covered by his
18 professional practice or the hospital or something.
19 But I would think, if nobody else is picking it up,
20 then the NRC should pick it up.

21 I mean, writing a mistake that gives you
22 -- and it is easy to do with our base ten system, you
23 can be off by a factor of ten. And that does happen.
24 That does get picked up periodically.

25 MR. FULLER: Yes, I mean I will say this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 about that. We do, as a matter of policy, which all of
2 these rules have to be in compliance with -- you know,
3 our Commission has issued a statement on the medical
4 use of radioactive material. And it is clear that when
5 it comes to therapy that it is okay, if you will, or
6 appropriate in accordance with the Commission to
7 regulate the use of this. But we are limited in that
8 our regulations should be such that they are to ensure
9 that what the authorized user has written in their
10 written directive is what the other folks that they
11 work with comply with.

12 In other words, licensees have to have
13 policies and procedures in place to ensure that what
14 the written directive says is what is ultimately
15 carried out. And so that is the way it is currently as
16 a matter of policy.

17 So I don't know if that is entirely
18 satisfactory but -- And again, this whole thing about
19 the post-implant written directive completion and so
20 forth and so on, you know again that is one of those
21 situations which we have struggled with for many years
22 because of the fact that we really need to be very --
23 We are treading a thin line there as far as getting
24 over into regulating the practice of medicine and we
25 have to be very careful.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ACTING CHAIR THOMADSEN: Any other
2 questions? Dr. Welsh.

3 MEMBER WELSH: I don't want to belabor this
4 point unnecessarily but I would just say that I think
5 I disagree with Dr. Langhorst's assertion that this
6 should not be NRC territory. Because when we are
7 talking about written directives and deviations from
8 the written directives, I can't think of anything else
9 that would cover such controversies.

10 And in my opinion, like I said I don't
11 want to get too far off the main point, if there is
12 something wrong with the written directive,
13 irrespective of whether the treatment was done in
14 exact accordance with the mistake in the written
15 directive or done differently, something is wrong and
16 I would think that that should be of interest to NRC
17 and perhaps qualifying as a medical event. But I don't
18 think that that is the main gist of the topic here and
19 I don't want to stray too far.

20 ACTING CHAIR THOMADSEN: Dr. Langhorst.

21 MEMBER LANGHORST: My point is that NRC
22 cannot, I mean, it is not how the NRC regulations are
23 written right now. So if it is in accordance with what
24 the written directive said, that that is where NRC
25 space is. If the written directive is wrong, NRC does

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not have authority under its current regulations.

2 Now, granted it needs to be looked at
3 because patient safety, correct medical procedures and
4 so on. That still goes on in looking at what went
5 wrong. And as an RSO, I look at those things because I
6 consider it a near-miss and I would like to know what
7 went wrong here and how we can make sure it is
8 unlikely to happen again?

9 So my only point was NRC doesn't have that
10 regulatory authority at this point in time. That is
11 not to say that you should not look at the event and
12 correct what went wrong.

13 ACTING CHAIR THOMADSEN: Dr. Welsh.

14 MEMBER WELSH: A quick response would be
15 that I understand and I recognize the controversy and
16 the problem but as we saw from our medical event
17 report this morning there were occasions where the
18 intention was to give partial treatment and full
19 treatment is administered for prostate brachytherapy
20 as the example and they were flagged as medical
21 events.

22 So there is precedent for treatment that
23 is delivered that is not what was intended being a
24 medical event. And so logically it would make sense if
25 what is written down is not what was intended,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 particularly if it was followed, should be a medical
2 event. It would seem illogical that if my intention
3 was to give a partial treatment to the prostate
4 because they are going to get external beam and I give
5 a full treatment, it is a medical event, unless I have
6 written that I -- If I have made two mistakes, it goes
7 away but if I made one mistake it is labeled a medical
8 event.

9 So there seems to be something
10 inconsistent there that might be subject for a future
11 discussion and examination.

12 ACTING CHAIR THOMADSEN: Thank you, Dr.
13 Welsh. Any other comments? Yes, Dr. Suleiman.

14 MEMBER SULEIMAN: Yes, this is directed to
15 Dr. Langhorst. So if the NRC doesn't look into it, who
16 would catch that factor of ten error? Okay? If NRC
17 can't get involved, who, which agency, which
18 professional group, which institution will hold that
19 individual responsible for making a factor of ten
20 mistake?

21 I mean if that exists, then this is a moot
22 argument but I want to know where is the assurance
23 that the patient is going to get the right dose or if
24 a mistake has occurred they uncover it? I mean, if you
25 can answer that, then I will back off.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER LANGHORST: Well, I mean I can't
2 tell you a federal agency who would be looking at that
3 but in looking at review of patient charts and this
4 looks like an error, then in my institution they would
5 look at what went wrong in having a factor of ten
6 mistake. And it may be that we find so that a medical
7 physicist would know to question that perhaps in the
8 future if it was greatly outside the norm. But I can't
9 tell you a federal agency that would be looking at
10 that or a regulatory agency that would be looking at
11 that. It is how you look at errors in any medical
12 practice.

13 ACTING CHAIR THOMADSEN: Dr. Guiberteau.

14 MEMBER GUIBERTEAU: I agree with Sue. I
15 mean, I think there is no guarantee that even if you
16 made this a regulation that it would be caught because
17 physicians in practice are able to use drugs off-label
18 at their discretion. They are able to use their
19 judgment to apply, even if that is faulty judgment,
20 the doses of drugs or radioactivity that they feel is
21 appropriate. If they are in error, there are
22 procedures in most institutions, well in fact all
23 institutions that are accredited, in terms of peer
24 review committees, departmental peer review
25 committees. And almost every accredited organization

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 requires, you know, institution requires peer review
2 which includes chart reviews. And there are also state
3 medical boards that cover these issues if there are
4 breaches that come up that cannot be cured at the
5 local level.

6 You know, I think it is a difficult
7 problem. And I do understand the concern. On the other
8 hand, I don't think that the NRC's purview or intent
9 is to tie the hands of those of us practicing
10 medicine. And I would strongly agree with Sue that
11 this is not an area that we need to get into.

12 I think that if there is overwhelming
13 evidence about this, that it can be addressed through
14 various professional societies and state
15 organizations, if you feel it isn't strenuous enough.
16 But I don't think we need to tie the hands of honest
17 folks practicing medicine. A mistake is a mistake, not
18 matter where it occurs. But on the other hand, it
19 isn't a mistake, I think, in terms of the regulations.
20 If it is not a mistake in terms of the regulations, I
21 don't think that we should be involved.

22 ACTING CHAIR THOMADSEN: Dr. Welsh.

23 MEMBER WELSH: I didn't want to belabor
24 this point but it seems like the subject is going on.
25 I would have to strongly disagree with the statements

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I have just heard. And the reason is that if we are
2 talking about written directives, this is an NRC term.
3 And I can tell from, maybe it is just my personal
4 experience but when I talked about written directives
5 to hospital administrators or even other physicians
6 who are outside the specialties represented at this
7 table, they are clueless. And therefore, I am not
8 confident that when there is some discrepancy within
9 the written directive, that anybody other than the NRC
10 or the states would be able to step up and address
11 this particular concern.

12 I am not as confident that other
13 professional organizations or other entities within
14 hospitals or advocacy groups are going to want to
15 tackle questions relating to an NRC definition, which
16 is the written directive. And outside of the NRC
17 environment, written directive is a foreign concept to
18 many medical practitioners and administrators.

19 MEMBER GUIBERTEAU: Dr. Guiberteau. As much
20 as I understand that concern, I don't think it is
21 grounds for the NRC to invade the practice of medicine
22 and that is exactly what you are asking the NRC to do.

23 ACTING CHAIR THOMADSEN: Dr. Welsh.

24 MEMBER WELSH: Well I strongly disagree
25 with that assertion because if a mistake is made, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that is we are talking about, errors in the written
2 directive, irrespective of whether the procedure is
3 carried out in accordance to that erroneous written
4 directive or not, a mistake has been made. And
5 therefore, I don't think that it is NRC encroaching on
6 medical practice if they say a mistake has been made
7 using, in respect to our term, the written directive,
8 and we are going to investigate. So I am not sure that
9 this is really encroaching on the practice of medicine
10 but I feel that this conversation is going,
11 encroaching on territory that might not be relevant to
12 Mr. Fuller's initial discussion.

13 ACTING CHAIR THOMADSEN: Dr. Suleiman.

14 MEMBER SULEIMAN: Yes, my intent here was
15 just to calibrate. I thought that somebody who is off
16 by a factor of ten was more dangerous than being off
17 by misplacing the treatment field a little bit
18 adjacent. And so I just want to be assured that
19 somebody, people if they are going to be off by a
20 factor of ten and there is no ramifications for that,
21 then they may continue to not worry about it. So I
22 think there has to be something to constrain such
23 really wrong behavior.

24 Whereas, I think sometimes the imaging and
25 the slight migration in my opinion may be over

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 regulation; whereas, I think in this case it is almost
2 ignoring where it is very safety related. I think I
3 just want to hear that there are other methods that
4 are picked up that force the user to make sure that
5 when they write something they are doing it correctly.

6 I mean, that is what the whole medical
7 physics community is around, making sure you are
8 documenting.

9 MEMBER GUIBERTEAU: Again, that is what
10 peer review is for and that is what peer review is all
11 about. For instance, if I review a chart that Dr.
12 Welsh has treated a patient and I look at his written
13 directive and say my goodness, I would have treated
14 with one and a half times this dose, is that then a
15 mistake? You know, if he did what he wrote on the
16 written directive, then that is what he intended to do
17 and what he did. Whether it agrees with my assessment
18 of what he should have done is entirely different.

19 MEMBER SULEIMAN: Well I'm not saying
20 difference of opinion. I am saying simple mathematical
21 mistake, where somebody wrote down the wrong number.

22 MEMBER GUIBERTEAU: Well what if the same
23 occurs on -- What if I write you a prescription for
24 digitalis and I triple the dose by mistake? Who is
25 responsible for that? It is a peer review issue if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there are issues with the patient's treatment.

2 ACTING CHAIR THOMADSEN: Dr. Welsh?

3 MEMBER WELSH: I will just quickly counter
4 that. There is a fundamental difference between a
5 prescription which we have in prostate brachytherapy
6 as the example and the prescription for digitalis, as
7 you were talking about, versus the written directive,
8 which is an NRC term, and NRC-specific issues that
9 only the Nuclear Regulatory Commission tells us what
10 does and does not need a written directive. And
11 therefore, I still feel that if there is a mistake in
12 the written directive, it remains in NRC territory and
13 it wouldn't be outside of their purview to address
14 this question.

15 The prescription would be a different
16 issue, however.

17 ACTING CHAIR THOMADSEN: There is also the
18 problem that, to the best of my knowledge, I don't
19 think there is 100 percent compliance with peer review
20 for all cases.

21 Any other comments? Hearing none -- Oh,
22 Dr. Van Decker.

23 MEMBER VAN DECKER: Well I have a
24 tangential topic so I want to stop -- I think Dr.
25 Guiberteau is trying to tell me his length in medicine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 by picking digitalis as a medicine, the foxglove
2 plant.

3 Before you leave and I know I touched this
4 point this morning and I know that this is -- and I'm
5 not looking for exact -- There is a lot of different
6 things going on at the same time. And can I just talk
7 timeline for a bit? Because I am starting to see how
8 far this is going so we all have a sense of this.

9 So timeline-wise, stop me at any point in
10 time that I am incorrect because I am from North
11 Jersey.

12 You are essentially telling us that we are
13 going to go into ten months of kind of quiet here.
14 And during that ten months we are going to see an SRM
15 clearly on the brachytherapy piece. And I assume you
16 are intimating that we are going to see an SRM on the
17 expanded Part 35 because they are coming back together
18 to be looked at together down the line. And so
19 therefore, that kind of has to happen before a draft
20 proposed rule comes out next spring.

21 MR. FULLER: Yes, let me explain that. We
22 have already sent the paper up over a year ago to the
23 Commission and explained that our intention, we called
24 it the Integrated Plan Paper and made a presentation
25 here on that, where our intention was to include the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 expanded Part 35 rulemaking is underway. A lot of
2 preliminary rule techs has already been drafted and so
3 forth. A lot of that, there has been a lot of work
4 done on that. The intention is to fold this into that
5 rulemaking and then they will work together from that
6 point. We won't need two Staff Requirements Memoranda
7 to make that happen.

8 We will get an SRM after this paper is
9 discussed and so forth. We will develop a regulatory
10 basis and part of that regulatory basis will be to, in
11 accordance with what we have already described in the
12 paper to the Commission to include in that expanded
13 Part 35. So as long as our Division of
14 Intergovernmental Liaison and Rulemaking accept that,
15 then that is exactly what will happen. And we can't
16 delay the rulemaking schedule from what we described a
17 year or so ago.

18 MEMBER VAN DECKER: So then from ACMUI's
19 perspective in October we will still kind of be in
20 this silent building period and there may be some
21 general discussion about the SRM but not much as far
22 as final definitive stuff but some update.

23 MR. FULLER: Right.

24 MEMBER VAN DECKER: Then in the spring of
25 2013, which I will ask you to define for me as prior

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to ACMUI or after ACMUI, there will be a -- I know.

2 MR. FULLER: I can tell you what our hopes
3 are.

4 MEMBER VAN DECKER: Okay.

5 There will be a draft proposed rule which
6 I guess most of us would be pushing to before ACMUI so
7 that we are in the open commentary period and we have
8 got open commentary period here with the public at
9 that time. So that would probably be a good time for
10 us. And then we will be in an official 90-day
11 commentary period? Remind me again.

12 MR. FULLER: Well, I'm not exactly sure how
13 long the comment period will be for, probably longer
14 than 90 days. I will say this, is that ACMUI is in
15 accordance with your internal procedures, you will see
16 a draft proposed rule and have 90 days to provide
17 staff your comments before it is published. And so
18 there will be a published, again, the hopes, the
19 objectives are that it be published early to mid-
20 spring of 2013. It might be late spring. I mean,
21 really can't hold me down on that because there is
22 just a ton of work that is involved and a lot of
23 coordination with various parties.

24 But one of those parties that according to
25 procedure, because this is a rulemaking-related major

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 medical policy issue, you will get 90 days before it
2 published to provide the staff with your comments.

3 MEMBER VAN DECKER: Okay.

4 MR. FULLER: And then once it goes into
5 public comment period, once it is published it is
6 public comment, probably 120 days.

7 MEMBER VAN DECKER: Okay. And so then the
8 next step would be you would see final rule in fall of
9 '13 before/after ACMUI at that point in time?

10 MR. FULLER: No. Our hopes are to have a
11 final rule by the end of 2014.

12 MEMBER VAN DECKER: By the end of 2014.
13 Okay and throughout this period of time OAS will be
14 part of the discussion for Compatibility B pieces?
15 Because here is the reminder of where we are trying to
16 come to closure here. If you see December 2014 as
17 final rule and then you have three years of OAS
18 compatibility, you are talking about a final rule in
19 December of 2017 for any of the stuff we are talking
20 about right now, whatever minor decisions you want to
21 make.

22 You know, so then my question becomes --
23 Here comes the crux of my question. So when you look
24 at these medical events between 2014 and 2017, will we
25 get a mixture of medical events on states that have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not yet transitioned using old medical event issues
2 and NRC states using new medical event issues? And how
3 will you track the percentage of states changing over
4 that three-year period of time? And because I am
5 getting older these days and I have teenagers, I
6 wonder whether I will live to 2017 or whether they
7 will live to 2017. It is even money right now.

8 (Laughter.)

9 MEMBER VAN DECKER: And not to be
10 difficult, I am just trying to get a sense for where
11 we are because you know, some of these issues over
12 five years here or six years is going to be a lot of
13 mixtures here and how we play into where the
14 commentary periods they are between when they meet and
15 what moves that along and what OAS does for three
16 years. Because if you look at the state turnover when
17 Revise 35 itself went through, it was not -- as a
18 matter of fact, it 11th hour for the majority of
19 states.

20 MEMBER BAILEY: That is probably more the
21 norm than not.

22 MEMBER VAN DECKER: So if 38 states aren't
23 going to go until 2017, then we at least have got a
24 line on what we have as a mixture in-between and that
25 was my only point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. FULLER: Point well taken. It is not
2 something that we have not thought about and
3 considered and deal with all the time in rulemaking.

4 MS. FAIROBENT: Mr. Chairman, may I ask a
5 question?

6 ACTING CHAIR THOMADSEN: Yes, a member of
7 the public, please.

8 MS. FAIROBENT: Lynne Fairobent with
9 American Association of Physicists in Medicine. Mike,
10 just to clarify to follow-up on Dr. Van Decker's
11 timeline, when you had said you anticipate a proposed
12 rule at the end of this calendar year to sometime in
13 the spring of 2013, is that a public proposed rule or
14 is that the proposed rule for the 90-day review period
15 for ACMUI and OAS?

16 MR. FULLER: According to our integrated
17 plan, which we published back I guess about a year,
18 year and a half ago, we hope to have a proposed rule
19 published by initially we were saying the end of 2012
20 but in all reality we recognize now that we are
21 probably talking a year or so from now.

22 MS. FAIROBENT: Okay. So in actuality what
23 you are really saying is you hope to have a pre-
24 decisional proposed rule for the Advisory Committee
25 and the Agreement States to review at the end of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 calendar year, which would give them their 90 days
2 until the spring, which could actually slip, depending
3 on the extent of the comments received from the
4 Advisory Committee and OAS.

5 So in actuality we could see a proposed
6 rule not until the summer of 2013. So that even throws
7 your timeline, Dr. Van Decker, out potentially longer.

8 I know it is all speculative.

9 MR. FULLER: It is very speculative at this
10 point. I do know that there is a lot of pressure on
11 the staff to move this along. And I don't know what
12 else I can tell you.

13 MS. FAIROBENT: Okay.

14 MR. FULLER: There is a great deal of
15 pressure on the staff to move this along as quickly as
16 possible but we have lots and lots of different
17 procedural requirements that we have as we go through
18 the rulemaking process.

19 I wish I were a rulemaking expert and then
20 I could maybe give you a little bit more. But it is a
21 very deliberative process that we must follow.

22 MS. FAIROBENT: I just wanted to be sure
23 because I don't think that there was a sense of the
24 fact that the 90-day period for the pre-decisional
25 review by the advisory committee in OAS would not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 occur much before the end of this calendar year, if
2 that. That is your earliest time frame, based on what
3 you said this morning.

4 MR. FULLER: Yes, I mean like I said, we
5 are going to get direction from the Commission and
6 then we are going to ride the reg basis and once it is
7 accepted by the Division of Intergovernmental Liaison
8 and Rulemaking, then we can start drafting the rule
9 language.

10 And so you just add that all up and you
11 are into the fall. I mean --

12 MS. FAIROBENT: Okay, thanks.

13 MR. FULLER: Sure.

14 ACTING CHAIR THOMADSEN: And Dr. Welsh.

15 MEMBER WELSH: I might just say in closing
16 here that I appreciate how much stress and pressure
17 the staff has been under and I know that this has been
18 a topic of conversation and heated debate since I was
19 sitting over on that side of the room. And you can see
20 from my position at this point that it is time for me
21 to retire. But I can see that at this stage, staff has
22 listened to recommendations from ACMUI from the
23 stakeholders, from the conversations during workshop
24 discussions, and there has been a tremendous amount of
25 work that is clearly evident in this latest SECY paper

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and that, from your presentation, the topic has been
2 debated and considered since 2005 and may go on until
3 2017 or longer. But I applaud the staff for all the
4 efforts that have been made and for the cooperation
5 that I have encountered during these long periods of
6 time since I have rotated to this present position.
7 Thank you.

8 ACTING CHAIR THOMADSEN: Thank you very
9 much. Any other comments? Hearing none, thank you very
10 much Mr. Fuller.

11 MR. FULLER: Thank you.

12 ACTING CHAIR THOMADSEN: We now have Dr.
13 Daibes talking on the status of the Commission paper
14 on patient release.

15 DR. DAIBES: Hi. It is a pleasure to
16 present here today to ACMUI the status of Commission
17 paper on patient release. My name is Said Daibes.

18 Our purpose here today is to provide ACMUI
19 with a status of completion of tasks provided to staff
20 and the SRM-COMGBJ-11-0003, data collection regarding
21 patient release.

22 I am going to provide you some background
23 so you are familiar with some of the information that
24 has been happening now for the last year or so.

25 The Commission back in 2011 summer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 provided to the staff an SRM directing the staff to
2 multiple tasks. The first one was to evaluate whether
3 there are gaps in the available data on doses received
4 by members of the public from release of patients
5 treated with medical isotopes; and how the agency
6 could go about collection additional data if needed,
7 and that is, if indeed there were gaps identified; and
8 a recommendation, as an alternative option, on the
9 feasibility of revisiting the dose assessments used to
10 support the 1997 patient release rulemaking. Those
11 were three tasks identified from that SRM. And the SRM
12 also asked for staff's recommended approach on the use
13 of expert elicitation.

14 Based on the provided SRM and on the
15 provided task, the staff went out and searched
16 available technical published data and indeed gaps
17 were identified in the available empirical data that
18 was collected and the staff concluded the following.

19 Since the staff has concluded that there
20 are gaps in the available empirical data regarding
21 doses being received by members of the public as a
22 result of release of patients treated with medical
23 isotopes, these gaps in the available empirical data
24 relate to the following. Internal doses to the members
25 of the public from close physical contact with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 patients or radioactive contamination from bodily
2 fluids.

3 Number two, internal and external doses to
4 members of the public from patients released to
5 locations other than their primary residences. For
6 example, houses, apartments, et cetera.

7 By identifying those gaps, staff concluded
8 the following. They said in developing this
9 recommendation regarding both the feasibility of
10 collecting data for the identified gaps and whether
11 the calculations and assumptions involved in
12 determining whether a patient may be released should
13 be reevaluated, the staff considered the following
14 four options. And this was provided in a notation in
15 both papers early this year to the Commission.

16 And the options are the following. And
17 again, those options were based directly from the
18 identified gaps in the data.

19 Option 1: Do not pursue any further
20 research or data collection and do not revisit
21 calculations and methods described in the NUREGs.

22 Option 2: Perform research or empirical
23 data collection to fill identified gaps in the
24 available data.

25 Option 3: As an alternative to collecting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 empirical data, revisit calculations and methods
2 described in the NUREGs' guidance for patient release.

3 And Option 4: Perform analytical and
4 limited empirical research/data collection and revisit
5 calculations and methods described in the NUREGs'
6 guidance for patient release.

7 Upon the submission of that paper, we
8 recently were informed by the Commission that votes
9 were in and that an SRM was generated on April 9th
10 directing staff to pursue Option 4, which is this
11 option here on the screen. And it says the following
12 in that SRM.

13 The Commission has approved Option 4,
14 which would include revisiting calculations and
15 methods described in Agency Guidance, as well as
16 limited amount of analytical and empirical data
17 collected from field measurements. As noted in Option
18 4, the staff should include informal discussions with
19 experts in the field, as well as ACMUI as appropriate.

20 At this moment, that SRM is still in
21 evaluation and staff is putting together a plan to
22 pursue that data collection. At this moment that is
23 where we are on the status of this paper. Is there any
24 questions?

25 ACTING CHAIR THOMADSEN: Any questions from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the committee? Dr. Langhorst.

2 MEMBER LANGHORST: Is the data collection
3 anticipated to be done only by NRC staff or would NRC
4 request proposals for others to also do data
5 collection?

6 DR. DAIBES: That is under evaluation right
7 now.

8 MEMBER LANGHORST: Okay.

9 DR. DAIBES: So that will be something that
10 we will need to get back to the committee with that
11 information.

12 ACTING CHAIR THOMADSEN: Other questions or
13 comments? Dr. Zanzonico.

14 MEMBER ZANZONICO: It is not so much a
15 question as a comment. I think given the sentiments
16 that this whole issue has raised, I mean it would be
17 my recommendation, and I am speaking just for myself,
18 not for the ACMUI, that this reevaluation with data
19 collection would best be done through an external
20 peer-reviewed vehicle such as a grant as opposed to an
21 internal NRC effort.

22 I think the more transparent the effort
23 is, the more satisfactory it would be to everyone
24 concerned. And most likely, the more scientifically
25 credible it would be as well. That is just a comment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. DAIBES: Thank you.

2 ACTING CHAIR THOMADSEN: And can I ask a
3 question to the NRC staff? And that is, is there a
4 possibility that such a project could be funded for
5 external evaluation?

6 MR. EINBERG: Currently, -- This is Chris
7 Einberg. Currently the SRM directs us to gather a plan
8 for collecting a set of data. In our internal
9 budgeting process here we have provided the staff
10 resources or we are planning on the staff resources
11 and contract support for this. The Office of Research
12 is responsible for putting this plan together. And so
13 they are in the process of developing the plan for
14 collection of the data.

15 So we will inform them of our
16 conversations here today and the concerns and comments
17 that we have received.

18 ACTING CHAIR THOMADSEN: Very fine. Thank
19 you.

20 Other questions?

21 MR. EINBERG: Dr. Thomadsen, there was a
22 member of the public who maybe on the line, may wish
23 to make a public statement. But if not, that member of
24 the public wanted his statement put into the record.
25 So, I would request that with your permission that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 enter his written statement into the record and it
2 will be part of the transcript that goes out.

3 ACTING CHAIR THOMADSEN: Please do so. And
4 I know the members of the committee have received
5 this, at least from discussions I have had, we have
6 read it and are considering it.

7 MR. CRANE: I am the person who -- My name
8 is Peter Crane. I am the person who filed that
9 statement and I would appreciate the opportunity to
10 deliver it orally.

11 ACTING CHAIR THOMADSEN: This has been read
12 and is in the record. If you can, we can have just a
13 few minutes, about three or four minutes, if you could
14 highlight some points from that.

15 MR. CRANE: Very well. I guess I began by
16 asking whether anyone was on the committee who would
17 be comfortable with the idea of their own daughter
18 without her knowledge cleaning the room and bathroom
19 of a patient who had just received an outpatient dose
20 of 200 millicuries of I-131. Is there anyone who would
21 be content to have their daughter doing such work?

22 ACTING CHAIR THOMADSEN: I don't think that
23 the committee is going to be dealing with the
24 hypothetical question right now. Please hit the
25 points.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. CRANE: Very well, I will continue. We
2 know as a matter -- My concern about the paper was
3 that it reflected that changes had been made at the
4 instigation of the ACMUI, including deletion of the
5 staff's intent to tell the commission that it did not
6 have confidence that members of the public were not
7 receiving more than five millisieverts of radiation. I
8 think it is troubling that that was taken out.

9 It seems to me that there is a profound
10 medical and moral issue that patients are being sent
11 to hotels while radioactive, that these rooms are
12 being cleaned by housekeepers who have no awareness
13 that they are dealing with a contamination situation.
14 I compared it to a situation in which I know that I
15 have got a toxic and carcinogenic mess in my basement
16 and instead of hiring people with hazmat suits I
17 called the local maid service and have somebody come
18 out because it is cheaper that way. And I don't see
19 how that is distinguishable from the provider who
20 sends a patient off to a hotel except that I get to
21 see the person I am harming and the provider who sends
22 a patient to a hotel doesn't have to.

23 The staff wanted to tell the Commission
24 that I-131 can be transmitted by kissing and
25 breastfeeding, which is perfectly true and everybody

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 knows it. And yet the ACMUI somehow told the,
2 persuaded the staff that it was obligated not to say
3 this because of the terms of the SRM, which I think
4 makes no sense.

5 The AMCUI has talked about doses to hotel
6 workers but it based it on an estimation of the amount
7 that could be absorbed from bed sheets soaked with
8 sweat, whereas we know that saliva is a thousand times
9 hotter, radiologically speaking, than sweat. I think
10 that this is a gaping hole. We know from *The ASCO Post*
11 article that patients are being sent to hotels. We
12 know from the staff's testimony that they have in
13 fact, that there are doctors justifying sending
14 patients to hotels, saying it is better to do that
15 than have them drive home with a loved one.

16 But the basic principle is informed
17 consent. If you drive home with your spouse and you
18 are the patient, the spouse is getting some benefit
19 and is given informed consent. But there is no
20 informed consent for the hotel worker and informed
21 consent is just basic to the way we operate, at least
22 in this society.

23 The staff wanted to speak of -- Well I'm
24 sorry, the point is sometimes made that the patient
25 who gets under 30 millicuries and has an intact

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 thyroid, is getting this for hyperthyroidism, may be
2 more of a radioactive hazard than somebody getting
3 more than 30 millicuries but who is athyreotic. And
4 that is true but what that calls for is for a thorough
5 reexamination of the whole rule.

6 There are some valuable data points in the
7 literature right now. Some of them to be found in the
8 journal thyroid at the ATA, including an article by
9 Beasley on release instructions for hyperthyroid
10 patients who warn that small children may well receive
11 exposure to radiation levels in excess of the limit of
12 five millisieverts and he cites a study in which a
13 three-year-old received 7.2 millisieverts. And bear in
14 mind that our starting point on all of this is that
15 our American standards, our NRC standards allow five
16 millisieverts, whereas, the rest of the world thinks
17 that one millisievert is the right limit.

18 And as you may know, in 2008 the staff
19 rejected the idea of the one millisievert limit in a
20 paper that never even made its way to the Commission.

21 So it seems to me that -- and in addition,
22 if you look at the regulations of one state after
23 another, it tells them based on --

24 ACTING CHAIR THOMADSEN: Can you wrap this
25 up in another minute, please?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. CRANE: Yes. It tells us that they
2 should look to a pamphlet put out by the Society of
3 Nuclear Medicine in 1987. Well, that was the days of
4 the 30 millicurie rule. Now that we have got people
5 being sent home with 400 millicuries, it is simply not
6 good enough to say well look at this old guidance and
7 change the numbers particularly.

8 Appropriately, I mean Dr. Zanzonico did
9 great work in NCRP 155 in developing new guidelines.
10 But those ought to be the basis of guidelines that are
11 sent out to the whole world. As it is, patients and
12 licensees are getting guidance that is all over the
13 map, very irregular. And if you read Dr. Kloos'
14 article, it is not clear that this guidance is even
15 being transmitted.

16 I'm sorry that this is somewhat less
17 articulate than it would have been if I had been
18 allowed to read my statement, but I think I have made
19 the major points I want to and I am happy to respond
20 to any questions anybody might have.

21 ACTING CHAIR THOMADSEN: Thank you very
22 much. Comments from the committee? Dr. Zanzonico.

23 MEMBER ZANZONICO: Pat Zanzonico. Thank
24 you, Mr. Crane, for your comments. Just several points
25 of clarification.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The analysis on the dosimetry to hotel
2 workers that was published in the ACMUI report
3 actually was not limited to exposure from
4 perspiration. In fact, it included conservative
5 assumptions about the amount of activity excreted in
6 urine into bed sheets, really unrealistically
7 conservative assumptions. And with those, the
8 projected doses to hotel workers, specifically
9 housekeepers taking care of those rooms was well, well
10 under 100 millirem.

11 The issue you raise about informed consent
12 is well taken but it puts them under scenario that
13 should people moving to Denver be given informed
14 consent that they will receive annual doses of 100
15 millirem greater than individuals in the rest of the
16 country. I think it is a matter of quantitation. Yes,
17 the doses to hotel workers will be non-zero but they
18 will be well under the projected doses, I should say
19 the projected doses will be well under doses to other
20 cohorts in the country from natural and other sources
21 that probably do not receive informed consent. And
22 again, I am thinking of individuals living in Denver
23 and other parts of the country where there is higher
24 cosmic radiation background, higher naturally
25 occurring radioactivity in soil and so forth and so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 on. So it really is a matter of scale.

2 One could, of course, go to one extreme
3 and say anyone who gets any dose beyond a population
4 average should be informed consent but it becomes
5 really impractical. All one can and should do, I think
6 is make the best technical judgment as to what
7 projected doses are and even do it conservatively so.
8 And then make a judgment whether those projected doses
9 warrant or do not warrant informed consent. And I
10 think that is what has been done up to this point in
11 the case of radionuclide therapy patients who are
12 released from hospitals.

13 ACTING CHAIR THOMADSEN: Thank you, very
14 much Dr. Zanzonico.

15 MR. CRANE: If I could respond to that Dr.
16 Zanzonico. First, BEIR VII says that the argument
17 about Denver and background radiation is irrelevant
18 and gives an explanation for that.

19 But as far as the bed sheets, it seems to
20 me that the amount of urine that is going to be
21 deposited in the bed sheet is trifling compared to the
22 amount of urine that is going to be put into a toilet.
23 And if you grant that urine is taken into account, why
24 not count the toilet and why not count the sink? We
25 know about saliva. We know also that a lot of common

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 household products cause radio iodine to volatilize,
2 so people can be inhaling.

3 What is the reason for not taking into
4 consideration toilets?

5 ACTING CHAIR THOMADSEN: Thank you very
6 much, Mr. Crane but we are not going to have a debate
7 on this right now.

8 MR. CRANE: And just one last point. Okay,
9 suppose it is under 100 millirem --

10 ACTING CHAIR THOMADSEN: Mr. Crane?

11 MR. CRANE: -- for the hotel worker who
12 does it once.

13 ACTING CHAIR THOMADSEN: Mr. Crane?

14 MR. CRANE: But suppose he does it ten
15 times.

16 ACTING CHAIR THOMADSEN: Thank you very
17 much for your comments, Mr. Crane. We are not having a
18 debate on this issue right now. We are talking about
19 getting more information in order to look into issues
20 about this.

21 Other questions to Dr. Said Daibes about
22 the proposals?

23 (No audible response.)

24 ACTING CHAIR THOMADSEN: Hearing none,
25 thank you very much.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. DAIBES: Thank you.

2 ACTING CHAIR THOMADSEN: And Dr. Welsh, we
3 are up to you again.

4 MEMBER WELSH: Thank you, Mr. Chairman.
5 Thanks again for the opportunity to discuss matters
6 before you today.

7 This will be a far less heavy subject than
8 the one we just reviewed and is strictly for
9 informational purposes. It is an interesting subject
10 and I will keep it strictly at a qualitative level for
11 this presentation today.

12 I have to thank my scientific colleagues
13 who have worked with me on this particular
14 presentation and subject and introduced me to this
15 fascinating possible scientific observation.

16 We know that radioactivity supposedly
17 decays with a very predictable exponential function.
18 And from an educational website dealing with
19 radioactivity, it specifically says that no operation,
20 physical or chemical, has ever been shown to change
21 the rate at which radionuclide decays and this
22 statement in some form or fashion can be found in this
23 book, *Radiations from Radioactive Substances* by these
24 very well-known and respected authors, Rutherford,
25 Chadwick, and Ellis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 But we do know that there are some
2 exceptions. And the exceptions that come to mind
3 immediately are those involving electron capture,
4 where the chemistry can affect the half-life and the
5 affect is relatively small on the order of 0.2 to
6 maybe 0.8 percent in most cases. But to pick a more
7 extreme example, beryllium-7 in hydrated form compared
8 to beryllium oxide where it is covalently bonded to
9 highly electronegative element that is going to be
10 pulling its electrons away and therefore making the
11 electron less accessible for electron capture, the
12 difference in half-life is on the order of 1.5
13 percent.

14 Interestingly, isomeric transitions,
15 including technetium-99m are another category of
16 isotopes in which half-life changes can occur due to
17 chemical environment. And in fact technetium-99m was
18 the first metastable isotope that ever demonstrated
19 observable half-life change due to the chemical
20 environment. It is about 0.3 percent different in
21 sodium or potassium protactinate in physiological
22 saline versus technetium sulfide as an example.

23 But these are due to electron capture and
24 isomeric transmissions where conversion electrons may
25 be less available or covalently bonds and make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 electron capture less possible.

2 But in contrast to those two examples of
3 decay via electron capture and gamma versus internal
4 conversion, there might be another exception to this
5 general law. And recent studies have suggested decays
6 of some isotopes might follow anomalous or demonstrate
7 various variations that are unexpected. And these
8 observations now raise the question of whether such
9 variations could have clinical relevance that has
10 previously been unrecognized in temporary
11 brachytherapy, teletherapy and gamma knife
12 radiosurgery.

13 So where did all this come from? It
14 actually stems from my flight back from an ACMUI
15 meeting in which I picked up a *Popular Science*
16 magazine read it on the flight and it said that while
17 looking for sources of random numbers, researchers
18 found disagreement in measured decay rates, which is
19 odd for something that is supposed to be a physical
20 constant. Well, apparently they looked further into a
21 collective data and came across further surprises,
22 including long-term observations of decays of certain
23 isotopes demonstrating small seasonal variations so
24 that the decay was slower and slightly faster in the
25 winter than in the summer. So radioactivity is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 stronger in the winter.

2 So I thought this was scientifically
3 fascinating but I was fully prepared to dump it until
4 I came across some further information about a coronal
5 mass ejection, which was basically a large solar flare
6 in February of 2011 that meant more than just an
7 attractive aurora borealis. It meant that certain
8 radioisotopes will show a decrease in radioactive
9 decay. I thought that truly is scientifically
10 interesting from the perspective of someone involved
11 in nuclear physics and nuclear medicine.

12 So I read on further and found another
13 article that discussed the scientists at Purdue
14 noticing the decay rate of an isotope that dropped
15 during the solar flare and dropped actually before the
16 solar flare did.

17 So it could be useful in an early warning
18 of an impending solar storm. That could be relevant to
19 astronaut health. But then I thought well that is very
20 interesting because I am a health practitioner and
21 this is interesting nuclear physics but the phrase
22 medical isotope caught my attention. So I decided I
23 must read a little bit more.

24 And the bottom line here where it says
25 when doctors determine the proper dose of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 radioactivity to treat a cancer patient, is what
2 really hooked me. And when these popular scientific
3 magazines mentioned this aspect, I decided it is time
4 to go ahead and read the papers in greater detail.

5 So upon a more detailed examination, I
6 learned that scientists evaluated databases that were
7 required in a number of institutions and they found
8 significant discrepancies in the measured decay rates.
9 So rather than go into the details, I will just
10 mention that there are a number of papers that show
11 quite large discrepancies that were difficult to
12 dismiss simply on the basis of errors in measurement.
13 In fact, I think in this particular paper the bottom
14 line in this abstract says that the seasonal
15 differences of approximately 0.5 percent can be
16 present between winter and summer months. So it is
17 quite fascinating.

18 Then the team from Purdue went ahead and
19 evaluated things in further depth and observed similar
20 phenomena. The published literature provides support
21 of this hypothesis and some of these graphs can be
22 quite striking in terms of demonstrating a seasonable
23 variability. This is demonstrating a periodicity with
24 a timescale and thousands of days. And here is the
25 paper that talked about that particular December 2006

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 solar flare.

2 A further study by these teams, indicated
3 that the swings seemed to be in sync with the earth's
4 elliptical orbit so that the decay rates oscillated as
5 a function of distance from the sun. And looking at
6 further data, they found an interesting recurring
7 pattern over 33 days, which was surprising to them
8 because the sun rotates with a period of 28 days and
9 this was a little bit longer than that. But they
10 astutely pointed out that the core spins at slightly
11 different rate than the surface does. So this raises
12 the possibility of neutrinos, solar neutrinos being to
13 blame.

14 Well, that is hard to believe, given the
15 cross-section of neutrinos as they interact with
16 matter of any sort but it is amenable to
17 investigation. A sphere should have a greater internal
18 flux of neutrinos if radioactive, a radioactive
19 sphere, than a radioactive foil sample. So the
20 investigators decided to see if the half-life of a
21 radionuclide depends on its shape. And this, if true,
22 would be of great interest to medical physicists and
23 radiation oncologists because the geometry of our
24 sources, sealed sources varies widely.

25 Some members of the same team who proposed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 this phenomenon, went on to test this particular
2 hypothesis and they found that when comparing a sphere
3 of gold 198 with a thin foil of gold-198 that despite
4 the differences in neutrino flux, that there was
5 really no significant difference in decay rate.

6 But this did not solve the problem because
7 an inherent challenge with this particular experiment
8 is that the neutrinos that were proposed to cause the
9 phenomenon in the first place were solar neutrinos and
10 they were different from the electron antineutrinos in
11 the gold-198. We know that solar neutrinos which
12 supposedly exhibit a flavor and mass state oscillation
13 that accounts for the solar neutrino deficit might
14 have a slightly different effect on radioactivity than
15 electron antineutrinos. So that was a possible way
16 around it.

17 But there are other more serious
18 challenges to this hypothesis. One is where the
19 observed variations in decay rate simply caused by
20 changes in response of the experimental apparatus
21 between summer and winter versus the isotope decaying
22 themselves. So this was examined. And in this
23 particular paper, the team evaluated this question in
24 greater depth and concluded that it was quite unlikely
25 that the observed differences could be attributed to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 temperature or humidity changes or any other
2 environmental changes in the detection systems.

3 Another criticism or challenge to the team
4 came from radioisotope thermoelectric generator data.
5 Radium-226 decays by alpha emission but it
6 demonstrates an annual periodicity. So, does this
7 phenomenon apply to alpha as well as beta? If true,
8 Cooper should have been able to demonstrate a
9 fluctuation in the power of output of the NASA Cassini
10 satellite because that satellite which was launched in
11 1997 reached Saturn in 2004, approached as close as
12 Venus and as far from the sun as Saturn, yet the
13 plutonium-238, an alpha emitter with a half-life of 88
14 years did not show any seasonal variation for
15 variability with proximity to the sun.

16 So the response to this was that
17 plutonium-238 and radium-226 are both alpha emitters
18 but radium-226 that was studied was in secular
19 equilibrium. In about 200 years, a sample of radium-
20 226 will have about 42 percent of its photon emission
21 due to beta decaying daughter products and the
22 ionization chamber is not going to discriminate where
23 those photons are coming from. So, while radium 226
24 decays by alpha decay, the daughters which contribute
25 significantly to what was being measured do decay by a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 beta mechanism and these were perhaps demonstrating
2 the annual cycle. But in contrast, the plutonium in
3 the RTG was not in secular equilibrium and, therefore,
4 no non-alpha emitting daughters were contributing to
5 any meaningful degree and, therefore, the variation
6 was not observed.

7 Well, another challenge was put forth and
8 an experiment conducted by Norman and colleagues that
9 calculated ratio between two different types of decay,
10 beta and alpha, for example, and that would be
11 expected to cancel out any changes in equipment
12 between summer and winter. And it was assumed that if
13 there was an annual variation, it would affect
14 different decay processes differently and, therefore,
15 the ratios would change but when looking at these
16 particular sets of isotopes, there was no change
17 annually.

18 The reply to this is that while americium-
19 241 as an example decays primarily by alpha, it is
20 possible that like the radium-226 example, its decay
21 products which decay via beta mechanisms would be
22 subject to the annual influence but a more important
23 and legitimate point may be that different
24 radionuclides are inherently different.

25 And in beta decay, some may show this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 variability, others may not. And if one looks further
2 into this subject, you will see that although electron
3 capture half-lives, isotopes which decay via electron
4 capture in some cases showed variability as a function
5 of chemical state but others do not. Beryllium-7 as I
6 mentioned in the early slides demonstrates such a
7 change in half-life, if it is electrons are bonded,
8 whereas potassium-40 seems less susceptible to this
9 particular type of phenomenon. So it is reasonable to
10 assume that the same thing would be true for beta
11 decay susceptible to this particular type of
12 variability.

13 So in summary here, anomalous variations
14 have been characterized by strong annual
15 periodicities, as well as short duration deviations.
16 And it is the short duration deviations from the
17 apparent decay rate that persists for hours or days
18 that could be of significant concern to radiation
19 oncology.

20 The annual periodicity has been observed
21 in 14 radionuclides thus far, including this set of
22 isotopes that are used in radiation oncology. But the
23 annual oscillation amplitude varies from nuclide to
24 nuclide and is typically less than 0.5 percent and
25 would never be of clinical relevance. On the other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 hand, the short duration deviations which have been
2 observed only in a small number of radionuclides thus
3 far but including cobalt-60, strontium/yttrium-90 and
4 radium-226 could have more important clinical
5 ramifications. Preliminary analysis of these short
6 duration deviations suggests changes in apparent half-
7 life that can persist for up to two days at a time.
8 And therefore, this could affect HDR or Gamma Knife
9 efficacy if delivered during this window.

10 It remains unknown whether such short
11 duration decay rate variations exist in other commonly
12 used medical isotopes like the ones listed here. And
13 it also remains uncertain whether short duration
14 variability if it does exist in these isotopes results
15 in any clinically relevant dosimetric changes. But
16 preliminary investigations show flat regions in the
17 decay curve. Flat regions are called short duration
18 deviations that can be as significant as 600 percent
19 in terms of a change in apparent half-life and that
20 can last as long as two decades.

21 So if the treatment happened to be given
22 during a period where the half-life differed by as
23 much as 600 percent, one could anticipate that the
24 dosimetry could indeed be affected.

25 And of interest, some of the raw data that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 was used in coming to these conclusions was acquired
2 during calibration sequences and precision
3 measurements or in establishing references. These are
4 procedures that are quite commonly done by medical
5 physicists and very familiar to medical physicists.
6 Therefore, additional investigations could include not
7 just analysis of archived data but careful evaluation
8 of existing calibration data from gamma knife units,
9 from HDR units, from active clinics that are sampling
10 at frequencies that might be sufficient to detect any
11 such rate variations.

12 So at this point, I will stop the
13 discussion, aside from showing some of these slides
14 from some of the papers that have been published. You
15 can see that the variability here, which is kind of
16 odd, is plotted out in other studies and analyses and
17 in some cases, it can be very striking. And here is
18 the data from that 2006 solar flare. You can see the
19 count rate dropping right before the flare, which
20 opens up the subject of this so-called helioradiology,
21 where you could use this type of information to
22 determine if a solar flare which could be of health
23 significance to astronauts is on its way.

24 And here you can see differences in the
25 calculated half-lives during these flat periods where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in one situation the calculated half-life might be
2 estimated at several-fold the calculations in other
3 areas of the curve.

4 So I will stop it at this point.

5 ACTING CHAIR THOMADSEN: Thank you very
6 much, Dr. Welsh. Comments or questions for Dr. Welsh?
7 Dr. Zanzonico.

8 MEMBER ZANZONICO: Well Dr. Welsh, you
9 elevated the nerdiness of this committee.

10 (Laughter.)

11 MEMBER ZANZONICO: And the question I have,
12 you would think if this is related to a solar flare
13 phenomena there would be a geographic effect as well.
14 In other words, the magnitude of these effects would
15 differ in different parts of the earth. Is there any
16 evidence of that? In other words, closer to the North
17 or South -- North Pole in particular, a more
18 pronounced effect than near the equator?

19 MEMBER WELSH: Thus far, no. And it is
20 being investigated but if it were neutrino-based, you
21 might not expect to see such a variation. These
22 neutrinos can go through the entire planet quite
23 readily. But if it is neutrino-based it is hard to
24 understand how it possibly could make sense because
25 the cross-sections are just so minuscule.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It is subject of investigation and thus
2 far there is no clear answer to your question but
3 there have been proposed new particles, things called
4 nutellas, I think, that I will refrain from discussing
5 in any depth here. But there is no shortage of
6 interesting proposed mechanisms but more data is
7 required.

8 ACTING CHAIR THOMADSEN: Any other
9 questions or comments? Yes, Dr. Weil?

10 MEMBER WEIL: No.

11 ACTING CHAIR THOMADSEN: In that case, any
12 last words from the staff for today?

13 MR. EINBERG: Yes, thank you Dr. Welsh for
14 the presentation.

15 I would ask the committee to be sure to
16 check their calendars for upcoming meetings and go to
17 Tab 14. Tomorrow we will be discussing the next ACMUI
18 meeting. So be prepared to look at your calendars and
19 see if you have any conflicts. So let's just serve it
20 as a reminder.

21 And I thank the committee for all the
22 interesting presentations and discussion today. And we
23 will reconvene tomorrow morning at eight o'clock.

24 ACTING CHAIR THOMADSEN: We stand
25 adjourned.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 (Whereupon, at 4:46 p.m., the foregoing meeting was
2 adjourned to reconvene on Tuesday, April
3 17, 2012 at 8:00 a.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

STATEMENT OF PETER CRANE
NRC Counsel for Special Projects (retired)
Submitted to the
ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES
Meeting of April 16-17, 2012
Submission filed April 9, 2012

I appreciate the opportunity to submit a statement to the Committee. I am including in it a memorandum that I submitted to the Commissioners on March 21, 2012. I wish here to emphasize just a few points.

1. Hotel workers

First, I would ask the Committee members whether any of them would be agreeable to the idea that a daughter or granddaughter of theirs, working as a housekeeper in a hotel near a cancer center, was unwittingly cleaning the rooms and bathrooms of persons who had just received doses of 200 millicuries of I-131 as outpatients. Suppose she was pregnant or nursing. Is there anyone among the Committee who would be comfortable with that prospect?

Unless I hear a chorus of yeses, I will conclude that there is no one on the Committee who would be comfortable with that – and rightly so. You would be horrified, of course. And yet we know that this is happening every day, to hotel workers who have no clue that they are being exposed, have given no informed consent, have no way to protect themselves, can't decide to quit rather than accept such risks to themselves or their child, and so on. The doctor who tells the NRC staff without embarrassment that he sends five percent of his I-131 patients to hotels, the *ASCO Post* article in which doctors defend the practice – this is reality, not fiction.

Can anyone deny that this is a moral issue as well as a medical one? To deem one class of workers essentially expendable, for the sole reason that to reveal the hazard, and protect against it properly, would be economically disadvantageous to medical providers and insurance companies?

In my memo to the Commissioners, I said that if I had a toxic and carcinogenic contamination in

my basement, and instead of having the job done at high cost by professionals in hazmat suits, I called a maid service, and had some young woman clean it up, without telling her about the presence of toxic substances, you would call me heartless and immoral, and you would be absolutely right. How is this different, except that I would see the face of the person I was harming, and the doctor who sends a highly radioactive patient to a hotel never has to?

The principle of informed consent is basic. It is not optional. It cannot be dispensed with or applied selectively in the interest of some perceived greater good.

I realize that the subcommittee, in 2010, calculated the dose to hotel workers from contact with the sheets of an I-131 patient and found that it was below 100 millirems, or one millisievert. There are two problems with that, one of which the staff pointed out: that we have no idea how many such rooms a hotel housekeeper may clean in a year, if the hotel is associated with or near a big cancer center. The second is that saliva and urine are far more radioactive than sweat – saliva, in fact, is 1000 times hotter than sweat – so the real test is not the contamination dose received from the used sheets, but from the sinks where patients brush their teeth and the toilets where they urinate.

2. Informing the Commission

The staff wanted to tell the Commission, in this staff paper, that “it is not known whether members of the public are, in fact, receiving doses that are less than 5 mSv from the released patients.” This statement was removed from the paper at the insistence of the Committee, which argued, speciously in my view, that the Commission’s SRM prohibited it from saying this. Note that the Committee wasn’t saying that this statement was inaccurate; rather, it was arguing that the staff was not permitted to say this to the Commission.

Likewise, the staff wanted to tell the Commission that I-131 can be transmitted by kissing and breastfeeding. Of course it can. Everyone in the field knows this. The International Commission on Radiation Protection issued a long report on this subject in 2004, ICRP 94. The NRC issued a Regulatory Issue Summary four years ago for the sole purpose of telling medical licensees about ICRP 94 and warning them of the danger to small children from radioactive patients, especially from kissing. So this is not a secret from the medical community or the patient community. Should the Commissioners be the only ones left in the dark? Yet the Committee claimed that the SRM barred the staff from revealing this to the Commission, and regrettably, the staff gave in and deleted the sentence in question.

I do not agree that the SRM was a gag order prohibiting the staff from informing the Commission about risks to the public from NRC-licensed activities. I respectfully suggest that the reason for the ACMUI's existence is to make sure that the Commission receives important information relevant to its regulatory responsibilities over medical isotopes. Here, unfortunately, it seems that the Committee's concern was to **prevent** such information from reaching the Commission.

3. Turning the clock back on internal dose

The staff paper says that the staff has determined that it "may be beneficial to re-examine one of the assumptions in NUREGs-1492 and 1556 guidance which underlies current release practices, specifically that internal dose to members of the public is negligible compared with external dose." This remarkable statement plainly implies that this assumption about internal dose is still operative and valid. It isn't, and it hasn't been since 2008. In that year, the NRC issued a Regulatory Issue Summary making clear that the current rule reflects an erroneous underestimation of the danger of internal dose to small children. It is too late for the agency to turn the clock back and retreat from that position. The Committee owes it to the staff and to the Commission to say loud and clear that with respect to small children, internal dose from contamination is not a negligible danger, but a clear and present one.

"The significance of a patient as an external source of radiation exposure is illustrated by the fact that if patients ... had been 'packages,' seeking transport as air cargo, most of them would have been refused passage even though consigned to the cargo compartment. Yet as 'passengers' any of them could have sat next to other persons in the passenger section. ... The quantity of radioiodine discharged in body wastes treated at a major medical center can substantially exceed that released from a large commercial nuclear power plant. ... A person who is treated on an outpatient basis can become an avenue of transport for radionuclides through contamination within the home and through person-to-person contact."

That's not me talking. That is the late Dr. Dade Moeller, who for more than 20 years was a member of the Advisory Committee on Reactor Safeguards and the Advisory Committee on Nuclear Waste. He was writing in 1978, in the American Journal of Public Health (AJPH). He was also Chairman of the Department of Environmental Health Sciences at the Harvard School of Public Health. His co-author, Dr. Jacob Shapiro, was a radiation protection officer at Harvard University. What is more, they were writing in the days of the 30 millicurie rule, before the radical deregulation of 1997. They were responding in part to a study by Jacobson, Plato, and Toeroek, published in the same March 1978 issue of the AJPH, which found significant internal doses to young children of thyroid patients given I-131: 612 millirems in a three-year-old, 1330

millirems to a child of four months.

I would draw the Committee's attention to a number of useful articles that have appeared in recent issues of *Thyroid*, the journal of the American Thyroid Association. These include an article by Rémy et al., "Thyroid Cancer Patients Treated with ¹³¹I: Radiation Dose to Relatives After Discharge from the Hospital" (January 2012), and "Release Instructions for Hyperthyroid Patients Treated with I-131" (October 2011), by Beasley, et al. The latter article, responding to the American Thyroid Association study of Sisson et al. (2011), warns that "small children may well receive exposure to radiation levels in excess of the limit of 5 mSv." He cites a study in which a one-year-old was found to have received 3.3 mSv, and a three-year-old received 7.2 mSv.

Is that point clear? It is not just adults who may be receiving more than the dose limit of 5 mSv, it is small children.

4. Conclusion

The 5 mSv (500 millirem) standard found in the NRC's rule is itself an anachronism, rejected by the world community. I know of no country in the world, other than the United States, where today, children can legally get radiation doses of 5 mSv, a level five times the limit recommended by the International Commission on Radiation Protection and the National Commission on Radiation Protection. Surely that alone should be a firebell in the night to the Commission, alerting it to the grave inadequacy of its regulations.

Sadly, if this Committee had its way, the child of a patient who received one I-131 dose in March and another in October could legally get 10 mSv in that year, since limits would be on a per-release, rather than a per-year basis. (I offer that example because it is drawn from real life: 22 years ago, when I had two 150-millicurie treatments with I-131, my children were 4 and 6.) Fortunately, the NRC staff has not bought this ill-advised proposal.

The argument is sometimes made that a return to the 30-millicurie rule – as I initially proposed in my petition for rulemaking in 2005 – would fail to take account of the fact that a hyperthyroid patient given 15 millicuries may, because of the longer retention of I-131 in an intact thyroid, be more of a radiation hazard than the athyreotic (that is, lacking a thyroid) cancer patient given many times as much I-131. I concede that. But that is hardly a valid basis for maintaining the status quo; rather, it argues for revising the rules in such a way that family members and the public are protected from both the cancer patient **and** the hyperthyroid patient treated with I-131.

Dr. Pat Zanzonico of this Committee did yeoman work in NCRP 155 in coming up with separate sets of instructions for hyperthyroid patients and cancer patients. (Using them, incidentally, would make it impossible to send patients to hotels, since the instructions include the separate laundering of patients' linens.) Why isn't that the basis of NRC guidance, to be made available to every licensee, for transmission to every patient? Today there is a patchwork of overlapping and conflicting guidance. Patients today are confused, because there is no central source of guidance, and what they are told is all over the map, as is obvious to anyone who follows the websites on which they communicate. And what are licensees told? If you look, for example, at the regulations of the state of Wisconsin, you see that they tell licensees to use guidance that was prepared in 1987 by the late (and greatly lamented) Dr. David V. Becker. Excellent in its time, that guidance was developed in the era of the 30 millicurie rule, and it is obsolete today, when patients are often given 200 millicuries or more as outpatients. To tell licensees that they should use this dated guidance, and just adapt it to present conditions by changing the relevant numbers, is very little help.

The Commission needs to face up to the fact that its rules badly need revision, in part owing to circumstances that were not foreseen when the rules were changed in 1997. This Committee, rather than trying to screen the Commission from the facts, should be helping it to learn, understand, and come to grips with them.

Thank you.

March 21, 2012

MEMORANDUM FOR: Chairman Jaczko
Commissioner Svinicki
Commissioner Apostolakis
Commissioner Magwood
Commissioner Ostendorff

FROM: Peter Crane
Counsel for Special Projects (retired)

SUBJECT: SECY-12-0011, "Data Collection Regarding Patient Release"

Since this memorandum from the staff to the Commission has been posted on the NRC website and is a public document, I am offering some comments on it. I would have done so sooner, but I only recently became aware of its existence.

The first thing to say about it is that it represents a creditable effort on the part of the staff to come to grips with a difficult, contentious, and neglected issue: namely, radiation doses to the public and family members from thyroid patients treated with radioactive iodine 131 and then released with high doses of the isotope in their systems. The staff rightly acknowledges that there are gaps in its knowledge about (a) the internal doses to others from released patients generally, and (b) the internal and external doses to others from patients who go to locations other than their homes, such as hotels. It therefore proposes ways of obtaining such information.

The paper is not without shortcomings, however. Some result from revisions made at the urging of the Advisory Committee on the Medical Uses of Isotopes. Almost without exception, those changes are in the wrong direction: rather than clarifying the present situation, they tend to obscure and confuse it. This is hardly surprising, given that the ACMUI's position, as stated in its October 2010 report to the Commission, is that the status quo on patient release is perfectly satisfactory as is and should be left unchanged.

Specific comments follow.

1. Disregard for Internal Dose

The paper says, at page 3-4:

The staff determined it may be beneficial to re-examine one of the assumptions in NUREGs-1492 and 1556 guidance which underlies current release practices, specifically that internal dose to members of the public is negligible compared with external dose. This re-examination may be warranted because current release practices permit patients to be released with much higher activity than was the case when this assumption was made in promulgating the patient release rule. Accounting for internal dose is particularly important in the case of children and women.

The statement that "current release practices permit patients to be released with much higher activity, etc." is certainly accurate: patients **are** released with vastly more I-131 in their systems

than was possible before the current rule was put in place in 1997. But that does not mean, as the sentence might be taken to mean, that the risk of internal exposure is entirely new information, unavailable in 1997. On the contrary, the assumption in 1997 that internal dose could be ignored was an aberration, a radical deviation from long-standing NRC (and AEC) policy.¹ Only 11 years earlier, in 1986, the NRC had concluded a major rulemaking on the medical uses of isotopes, codifying decades of practice², in which it declared that released I-131 patients posed a danger **both from internal and external dose**, and provided elaborate restrictions to protect hospital staff and members of the public from being harmed by these patients. 51 FR 36932 (Oct. 16, 1986).

It is therefore troubling to read, in the first sentence quoted above, that it “may be beneficial to reexamine” the assumption that internal dose to members of the public is negligible compared with external dose. In fact, the NRC made that reexamination almost four years ago, seemingly once and for all. It was May 2008 when the NRC, citing ICRP Publication 94, “Release of patients after therapy with unsealed radionuclides” (2004), issued a Regulatory Issue Summary (RIS-2008-11) warning of the danger to infants and young children from I-131 contamination from patients. The accompanying NRC press release (No. 08-097, May 16, 2008) stated clearly that the RIS told physicians to “consider hospitalizing patients whose living conditions may result in the contamination of infants and young children.” (Contamination, in this context, translates to internal dose.) The press release continued:

These regulations were based on the assumption that internal doses to family members or others from a patient released following iodine therapy would be small compared to external doses received from being near the patient. However, concern has increased in recent years that contamination of infants and young children with saliva from a patient in the first few days following treatment may result in significant doses to the child’s thyroid.

What could be clearer than that the NRC was acknowledging that the assumption underlying the

¹There was no shortage of commenters in the rulemaking, including state health departments, to tell the NRC that it was going down the wrong path, and that internal dose remained a danger. But NRC was relying on one chosen medical consultant, of decidedly non-mainstream views. For instance, he wrote to the EDO in 1998 that I-131 is not carcinogenic, and several years ago, in a journal article, claimed that if a nuclear accident occurred, any health effects on the public would be beneficial. The National Academies of Science discussed and rejected his views in BEIR VII.

²Previous to the enactment of general rules in 1986, these restrictions were included as conditions in individual licenses.

1997 rule was erroneous, and resulted in understating the danger to children from contamination? But SECY-12-0011 does not even mention that 2008 RIS, much less the press release. It is as though the clock had been turned back to a time when the RIS did not exist, and the significance of internal dose was still an open question in the staff's mind. I hope that this was just an oversight in the drafting process, and not a signal that the staff has retreated from the 2008 RIS. For the assumption that internal dose is insignificant (certainly as to children) was dead and buried four years ago, and no good purpose would be served by trying to resuscitate it now.

Once the Commission recognized (in 2008) the defect in the 1997 rule, the most responsible course of action would have been to take steps to change it, either by reverting to the 1986 rule or – better yet – by developing a new rule drawing on experience and studies in the intervening years. Instead, the NRC left it in place, while issuing an RIS calling on licensees for voluntary action to deal with internal dose to children. Given that such voluntary action is contrary to many or most licensees' financial self-interest, the key question is whether the RIS actually changed the percentage of patients hospitalized because of children at home. I don't have data on this point, and I doubt anyone else does, either. This is a knowledge gap that badly needs filling.

2. Radioactive Patients in Hotels

The most salient fact about the issue of radioactive patients in hotels is that no one foresaw this when the 1997 rule was promulgated. Everyone, myself included, was thinking at that time in binary terms: patients would either be approved to go home or they would stay in the hospital. The hotel issue emerged only later, in two separate contexts: (1) the patient who is far from home; and (2) the patient who goes to a hotel either because the licensee realizes that the criteria for home release cannot be met, owing to excessive dose to family members, or because the patient or the doctor is concerned about exposing household members.

The Commission's SRM told the staff to assume that Commission guidance on patients, including the recent guidance on patients in hotels, was being followed. Unfortunately, the Commission was misinformed in this regard: we have hard evidence that the rule is being flouted. The staff, the ACMUI, and many others know this perfectly well.

If you are the ACMUI and the staff, what do you do in such a situation? Do you inform the Commissioners that unfortunately, their assumption is inconsistent with the facts, and tell them what the actual situation is? Or, in the name of complying with the letter of the Commission directive, do you engage in a game of make-believe, and withhold information about what is

really going on? The staff paper indicates that the ACMUI argued for the latter approach, and that the staff acceded to it. This is regrettable, because it means denying the Commission information that it needs to know.

The fact that radioactive patients are going to hotels, without objection from doctors, is hardly a secret. In a memo to the ACMUI in September 2011, I attached a March 2011 article from *ASCO Post*, an online journal for endocrinologists, in which a thyroidologist at Sloan-Kettering Memorial Cancer Center in New York explained that his facility gives outpatient doses of up to 200 millicuries of I-131 to thyroid patients, notwithstanding that the doctors know that they will be going to hotels. Some have no choice, he said, since they fly in from all over. With a card saying that they have been treated with I-131 at Sloan-Kettering, he explained, they can go to an airport in New York, and if they set off the radiation alarms, the authorities will understand. The problem for these patients is to get off at the other end, where restrictions are likely to be much tighter, and a card from Sloan-Kettering will cut no ice. (In Germany, for example, you must be hospitalized if you have 8 or more millicuries of I-131 in your system.) And so the patients are advised to cool off, radiologically speaking, in a hotel, before heading home.

Thus it is not a matter of conjecture, but of cold hard fact, that the Commission's guidance on patients in hotels is being disregarded. (The much tougher language of the New York City Department of Health, in a 2009 directive, is also being ignored.)

In addition, at the October 2011 conference of the Thyroid Cancer Survivors' Association, Jim Luehman of the NRC staff described, in the presence of Commissioner Apostolakis, how a nuclear medicine doctor told him that he sent about five percent of his patients to hotels, and saw nothing wrong with it. Indeed, this doctor thought that under some circumstances it was preferable, from a health and safety point of view, for a patient to go to a hotel than to ride home in a car in close proximity to his or her spouse. The necessary implication is that the radiation dose to the spouse matters, and is worth minimizing, whereas the dose to the unsuspecting hotel housekeeper does not matter – an appalling proposition, that should shock the conscience.⁴ For there is no informed consent on the part of the housekeeper, in contrast to the spouse.

One issue that the staff identified in the October 2010 Commission meeting is that patients at big

⁴If you are the spouse of a patient receiving I-131 treatment, you are indirectly a beneficiary of the treatment, and you also know how to minimize exposure to yourself. If you are a housekeeper unknowingly cleaning a contaminated room, you get no benefit, and have no way to reduce exposure to yourself. There is no one there to warn you, for example, not to put your hands anywhere near your mouth.

cancer centers, attracting many out-of-towners (think Sloan-Kettering, the Mayo Clinic, Massachusetts General, M. D. Anderson, Cleveland Clinic, and some others), are likely to be going to the same nearby hotels, which means that one hotel housekeeper may clean multiple radioactively contaminated rooms in a year.

The ACMUI subcommittee steadfastly declined to deal with that point. Instead, it offered an analysis showing that the hotel worker who handled contaminated sheets was likely to receive a dose of under 100 millirems, as though that solved the problem.

What's wrong with that? A great deal, and not only the fact that the housekeeper who does this 10 or 20 times in a year may receive a dose far in excess of safe limits. It is also that the ACMUI subcommittee has focused only on bed linens, contaminated with patients' **sweat**. But sweat is far less radioactive than any of the other bodily fluids of concern. Consider ICRP 94, at p. 26: "Thus, the risk from iodine-131 contamination in sweat is small. ... Nishizawa et al. (1980) measured iodine excretion from a number of hyperthyroid patients, with some interesting findings. In patients who received 25 mCi, activity per ml was highest in saliva....It was 20-fold lower in blood ... and 1000-fold lower in sweat."

If you really wanted to know the radiation exposure to hotel housekeepers, you would consider not just the consequences of handling contaminated linens, but also the dose to the person who cleans a sink in which a patient has brushed his or her teeth, and the toilet in which he or she has urinated.⁵ The problem, of course, is to replicate the effect on a housekeeper who has no suspicion that radioactivity is present. You cannot, for purposes of experimentation, ethically send an unknowing person to clean a contaminated room and bathroom, but once you give warning of the radioactive contamination, you alter the person's behavior.

In my September 2011 memo to the ACMUI, I offered a practical suggestion for developing information about the effects of radioactive patients in hotels: namely, to ask permission of certain hotels to place radiation monitors at the registration desks. (Some major hospitals have arrangements for preferential rates at nearby hotels.) Then when a radioactive patient arrived to pick up his or her key, the monitors would signal his or her presence. At that point, instead of sending some innocent housekeeper, possibly pregnant or nursing, to clean the patient's room the

⁵ I don't know whether this is standard practice, but when I was an I-131 patient at the National Institutes of Health around 20 years ago, for five inpatient treatments in a three-year period, much of the sink and toilet would be covered with duct tape, to protect surfaces from saliva and urine. The tape would be removed and disposed of as a first step in decontaminating the room after use.

next day, arrangements could be made for a properly protected radiation safety professional to visit the room, clean it, and take appropriate measurements.

Would hotel managers take kindly to the idea that their rooms were being contaminated, and their employees and guests exposed to radiation, without their knowledge? Probably not. The present system is based on the fact that the hotel and its workers will be kept ignorant, and that if harm results in the future, no one will be able to trace it to its source.

Suppose for a moment that I knew that my basement was contaminated with some highly toxic and carcinogenic substance, and instead of paying suitably trained and equipped professionals to do the cleanup, I decided, in the interest of saving money, to call a local maid service agency and have a low-paid immigrant woman come to my house and scrub my basement, telling her nothing about any contamination. If I did that, you would call me heartless and immoral, and you would be right. You would probably also be asking whether there wasn't some criminal law under which I could be prosecuted. How is that different from the medical professional who directs or allows a patient go to a hotel with 200 millicuries of I-131 in his or her system, knowing that an unsuspecting chambermaid, who may be pregnant or nursing, will be cleaning that person's contaminated sink and toilet?

The ACMUI subcommittee surely knows the score on this, since one of its members was also one of the principal authors of NCRP 155, *Management of Radionuclide Therapy Patients* (2006). Appendix B, at pp. 166-174, includes instruction sheets, "Radiation Safety Precautions for Radiopharmaceutical Therapy Patients," drawn from a journal article of which the same subcommittee member was the lead author. Example 1 is for a thyroid cancer patient given 175 millicuries of I-131; Example 2 is a hyperthyroid patient given 10 millicuries of I-131. Patients in both categories are told that for the first day after treatment, they should observe the following precautions (quoted here in full, so that there can be no question of selective quotation):

- To the extent that is *reasonable*, generally try to remain as far away from individuals around you as possible.
- After using the toilet, flush twice and, as usual, wash your hands. If possible, use paper towels to dry your hands and dispose [*sic*] the paper toweling in the trash.
- You *should* otherwise observe good personal hygiene and may shower, bathe, shave, etc. as you normally would, rinsing the shower stall, tub or sink thoroughly after use.
- Wipe up any spills of urine, saliva and/or mucus with tissues or a small amount of disposable (*i.e.*, flushable) paper toweling, and dispose of the tissue or toweling down the toilet.

- Use nondisposable plates, bowls, spoons, knives, forks and cups. If possible, you *should* wash plates, bowls, spoons, knives, forks and cups which you use, using a separate sponge or wash cloth from that used by the rest of your household. Rinse the sink thoroughly after use, wipe the fixtures with paper towels, and dispose of the paper toweling in the trash.
- If you use a dishwasher, wash your plates, bowls, spoons, knives, forks and cups separately from those of the rest of your household.
- Use the same set of plates, bowls, spoons, knives and forks for 1 day after your radionuclide therapy.
- Store and launder your soiled/used clothing and bed linens separately from those of the rest of your household, running the rinse cycle two times at the completion of machine laundering.
- Do not share food or drinks with anyone.
- After using the telephone, wipe the receiver (especially the mouth piece) with paper towels, and dispose [*sic*] the paper toweling in the trash.

These are all sensible measures, but they are plainly designed for the patient who is at home, with access to flushable paper towels, a washing machine, a dishwasher or sponges, dish soap, etc., and above all, with the knowledge that a radioactive hazard is present. How many of these precautions are applicable to the hotel context? Are the patient's bed linens going to be washed separately from those of other guests, with two rinse cycles at the conclusion? Of course not.⁶ If the patient gets food from room service, will his or her dishes and cutlery be washed separately? The answer is obvious.

If family members are worth protecting from radiological contamination, and they certainly are, then hotel housekeepers deserve to be protected equally – no more and no less. One of the things that sets American society apart from the totalitarian regimes of recent history is that we do not condone sacrificing the health and well-being of working men and women to serve some perceived higher goal.⁷

⁶We know from the Braidwood Motel incident several years ago that a worker at the La Salle nuclear power station set off radiation alarms at the plant because he had slept on sheets that had been laundered together with those of a motel guest who was an I-131 patient. That was in addition to the worker at the Braidwood plant who had to be decontaminated after having spent the night in the room just vacated by the patient. That room had to be kept out of service for months.

⁷In this connection, the same ASCO Post article I mentioned earlier also included a striking quotation from the CEO of the American Thyroid Association, who said that staying in a hotel “can be done safely and reasonably” by radioactive patients, but suggested that patients pre-register, so as to minimize their time in the lobby. He thus showed himself concerned to reduce the dose that will be received by others in the few minutes they spend in the registration line in the lobby, but he had not a word to say about the vastly greater radiation dose that hotel

It was more than six years ago that I wrote to the NRC that the problem of radioactive patients in hotels, and the dose to housekeepers, was a “medical and moral issue that the NRC cannot in conscience ignore.” It still is, and it won’t go away. The only viable solution in the long run is a binding rule that reflects the Commission’s original intent in 1997: that if the provider cannot find that the patient is suitable for release to his or her home (or a friend’s or relative’s home), that patient *must* be hospitalized.

Is there ever a valid excuse for allowing radioactive patients to go to hotels and irradiate unsuspecting housekeepers? The Sloan-Kettering doctor quoted earlier offered one when he suggested that some patients “have no choice,” since they cannot immediately fly home to countries where standards on patient release are so much more stringent. No choice? Surely a patient who can afford to fly to New York from abroad for medical care, and pay for an expensive treatment at Sloan-Kettering, can also afford a couple of nights in the hospital.

3. Miscellaneous Other Points

A. Annual vs. Per-release limits

The ACMUI comments, Enclosure 4, p. 4, ask the staff to include a reference to the final rule on patient release, making clear that the 5 millisievert (500 millirem) dose limit applies on a per-release, rather than an annual basis. The problem is that you cannot point to anything in the final rule that says what the ACMUI claims it says. The staff believes, rightly, that the intent was that the limit be annual, which accords with international practice. The staff was correct to reject this ACMUI proposal, which in any case has little or no relevance to the task at hand in this paper.

As far as the merits of the issue, the ACMUI seems to think that it would be a crushing burden on licensees to make them responsible for factoring in the dose of I-131 that patients had received elsewhere within the past year. There are many things wrong with this argument. First, patients do not commonly flit from one I-131 provider to another between treatments. Second, they also do not walk in off the street and demand a dose of I-131, like someone going into a bar and asking for a beer. Patients come to nuclear medicine providers by referral, bringing charts which document the diagnoses and treatments they have received.

housekeepers will receive as they scrub the patient’s contaminated sink and toilet.

To offer an example from my own experience, there was one year, when my children were little, when I had a 150 millicurie dose of I-131 in the spring and another of the same amount in the fall. At the time, all doses in excess of 30 millicuries required hospitalization, but suppose that happened today. Why is it not reasonable, in calculating dose to family members from a treatment in October, to take account of the estimated dose they received in March?

B. Removing Alleged Conservatism

The Office of Nuclear Regulatory Research, in Enclosure 3, at p. 2, suggests that licensees' calculations of probable dose, and of compliance with NRC's 5 millisievert (500 millirem) standard, are "likely to be less conservative than the guidance provided by NRC because it is likely to be site specific, whereas NRC's guidance, not being based on any specific situation, serves as a generic screening tool, and hence must be conservative by its nature." This language is not easy to parse out, but to the extent I can, it seems to misunderstand what the NRC did in NUREG-1556. That was to offer mathematical formulas which licensees could use, plugging in site-specific conditions that reflect the patient's actual living situation, in order to come up with a figure for the probable dose to household members.

As far as whether NRC standards are unduly conservative, it should be remembered that the NRC staff, in rejecting my petition for rulemaking in 2008, embraced the 5 millisievert or 500 millirem standard for all members of the public, including children, pregnant women, and strangers. This was (for children, pregnant women, and members of the public) five times the 1 millisievert or 100 millirem standard advocated by the ICRP and NCRP. Patients in the U.S. are sometimes sent home to their families with 400 millicuries or more of I-131 in their systems. In Europe, Japan, the Philippines, etc., no patient with 15 millicuries is allowed out of the hospital, and in many European countries the standard is even stricter than that. Thus the Commission should be disabused of the notion that its standards are conservative. They are anything but.

C. ACMUI vs. NRC Staff

The NRC staff wanted to say to the Commission in this paper, "it is not known whether members of the public are, in fact, receiving doses that are less than 5 mSv from the released patients." This is simple and undeniable fact. But the staff deleted this sentence – not because it was untrue, but in response to the ACMUI's specious claim that it was obligated to do so by the terms of the Commission's SRM. (See Enclosure 5, p. 6.) In fact, the Commission did not instruct the staff to tell it that everything was working like a charm; the Commission wanted to know where it needed more information, and of what kind, in order to judge **whether** the current system is

working as it should.

Similarly, the staff wanted to tell the Commission that radioactive material can be transmitted by kissing and breastfeeding. That is hardly news; the NRC said this in the 2008 RIS, which drew on ICRP 94, issued in 2004. But the ACMUI objected that the staff was forbidden to make such comments by the terms of the SRM. (See Enclosure 5, page 6: “The Subcommittee believes that ... any recommendations involving questions about the instructions given to patients or how the patients follow the instructions runs contrary to the SRM.”) Remarkably, the staff gave in to this demand. It should have stuck to its guns, for it was plainly in the right.

The 2008 RIS was intended to publicize the fact that there is a danger that I-131 contamination will be transmitted to children by kissing. Yet here we have the ACMUI subcommittee instructing the staff that it is barred from discussing in this paper whether patients ever hear this instruction, and if so, whether they follow it. Surely this is information that would be of value to the Commission. The ACMUI is paid to furnish useful information **to** the Commission, not to keep useful information **from** the Commission.

D. Questionable Assertions

In Enclosure 1, the “Summary of Staff Gap Analysis,” the paper states, at p. 2: “Existing data supports that radiation doses to other individuals can be safely controlled by current patient release regulations,” citing Reference 20. We do not in fact know that. If the staff does not know whether members of the public are receiving doses less than 5 millisieverts from released patients (see the discussion above), how can it say that the current patient release regulations can safely control radiation doses to others? By the same token, I see no basis for the statement, further down on the same page, that the staff agrees with the ACMUI subcommittee “that the current NRC release criteria appropriately balance public safety with patient access to medical treatment.” If we knew that to be the case, there would be no need to be asking questions about the adequacy of our information base.

4. Conclusion

When most Americans think about radiation safety, they think of nuclear power plants, although the dose to the public from the plants is minimal. At the same time, however, we know from NCRP 160 that the average American’s annual dose of radiation has doubled in the past 30 years, not because of nuclear power, but almost entirely because of medical procedures (not all of which, of course, are subject to NRC regulation). Average annual doses of medical radiation

increased sevenfold in that time, NCRP 160 reported. In that same period, the rate of thyroid cancer in this country has approximately quadrupled; whether there is a connection between the two increases is unproven, but it is certainly suggestive, since the only known environmental cause of thyroid cancer is radiation. The Commission needs much more information in the medical area, and this staff paper, notwithstanding the shortcomings I have described, is a useful step in that direction.

Finally, if Commissioners want truly reliable information about what is happening in the real world of I-131 treatment, they should come to the annual conference of the Thyroid Cancer Survivors' Association (ThyCa), whose Executive Director, Gary Bloom, addressed the Commission in October 2010. Commissioner Apostolakis attended the 2011 conference in Los Angeles, along with the new Patients' Rights Advocate, Laura Weil, Steve Baggett of his personal staff, and Jim Luehman of the NRC staff. At the Commissioner's request, special early morning sessions were set up so that he could meet informally with small groups of patients, hear their stories, and ask them questions. Doctors were also there, and contributed useful information about their own practices. Not only was the presence and interest of the NRC delegation greatly appreciated by the patients, it meant that Dr. Apostolakis could gather primary data from the source, not filtered, interpreted, or spun by anyone else. I hope other Commissioners will follow his example.

Respectfully submitted,

/s/

Peter Crane
Counsel for Special Projects (retired)