

Official Transcript of Proceedings

NUCLEAR REGULATORY COMMISSION

Title: Advisory Committee on the
Medical Uses of Isotopes

Docket Number: (n/a)

Location: Rockville, Maryland

Date: Friday, September 21, 2012

Work Order No.: NRC-1867

Pages 1-178

NEAL R. GROSS AND CO., INC.
Court Reporters and Transcribers
1323 Rhode Island Avenue, N.W.
Washington, D.C. 20005
(202) 234-4433

1 UNITED STATES OF AMERICA

2 NUCLEAR REGULATORY COMMISSION

3 + + + + +

4 ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

5 + + + + +

6 MEETING

7 + + + + +

8 FRIDAY, SEPTEMBER 21, 2012

9 + + + + +

10 The meeting was convened in room T-2B3 of
11 Two White Flint North, 11545 Rockville Pike, Rockville,
12 Maryland, at 8:00 a.m., Leon S. Malmud, M.D., ACMUI
13 Chairman, presiding.

14 MEMBERS PRESENT:

15 LEON MALMUD, M.D., Chairman

16 BRUCE THOMADSEN, Ph.D., Vice Chairman

17 DARICE BAILEY, Agreement State Representative

18 MILTON GUIBERTEAU, M.D., Diagnostic Radiologist

19 SUSAN LANGHORST, Ph.D., Radiation Safety Officer

20 STEVE MATTMULLER, Nuclear Pharmacist

21 CHRISTOPHER PALESTRO, M.D., Nuclear Medicine
22 Physician

23 JOHN SUH, M.D., Radiation Oncologist

24 ORHAN SULEIMAN, Ph.D., FDA Representative

25 WILLIAM VAN DECKER, M.D., Nuclear Cardiologist

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

MEMBERS PRESENT (Continued):

LAURA M. WEIL, Patients' Rights Advocate

JAMES WELSH, M.D., Radiation Oncologist

PAT ZANZONICO, Ph.D., Nuclear Medicine Physicist

NRC HEADQUARTERS STAFF PRESENT:

BRIAN McDERMOTT, Director, Division of
Materials Safety and State Agreements

PAMELA HENDERSON, Deputy Director,
Division of Materials Safety and State
Agreements

CHRISTIAN EINBERG, Chief, Radioactive Materials
Safety Branch

MICHAEL FULLER, Alternate Designated Federal
Official, Team Leader, Medical Radiation
Safety Team

ASHLEY COCKERHAM, Alternate Designated Federal
Official, ACMUI Coordinator

SOPHIE HOLIDAY, Alternate ACMUI Coordinator

NEELAM BHALLA, FSME/DILR/RB-B

SUSAN CHIDAKEL, OGC/GCLR/RMR

JACKIE COOK (via webcast), RIV/DNMS/NMSB-B

DONALD A. COOL, Ph.D., FSME/DILR

SAID DAIBES, Ph.D., FSME/DMSSA/LISD/RMSB

SANDRA GABRIEL, Ph.D., FSME/DMSSA/LISD/RMSB

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

LATISCHA HANSON (via webcast), RIV/DNMS/NMSB-A

VINCENT HOLAHAN, FSME/DMSSA

ANTHONY HUFFERT, RES/DSA/RPB

ANDREA KOCK, OCM/WO

JEFF KOWALCZIK, FSME/DMSSA/LISD/RMSB

ANGELA MCINTOSH, FSME/DMSSA/LISD/RMSB

ANDREW PESSIN (via webcast), OGC/GCLR/RMR

JOSEPHINE PICCONE, Ph.D., FSME/DILR

LIZETTE ROLDAN (via webcast), RIV/DNMS/NMSB-B

DUANE WHITE (via webcast), FSME/DMSSA/LISD/RMSB

RONALD ZELAC, Ph.D., FSME/DMSSA/LISD/RMSB

PUBLIC PARTICIPANTS:

ROBERT DANSEREAU (via webcast), NYS Department of Health

WILLIAM DAVIDSON (via webcast), University of
Pennsylvania

ROBERT GORSUCH, Johns Hopkins Hospital

KELLI HAYASHI, Johns Hopkins Hospital

KAREN LANGLEY (via webcast), University of Utah

RALPH LIETO (via webcast), Trinity Health

ANGEL MCCULLOUGH, Johns Hopkins University

JANETTE MERRILL, Society of Nuclear Medicine and
Molecular Imaging

MICHAEL NOSKA, U.S. Food and Drug Administration

MICHAEL PETERS, American College of Radiology

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DENNIS PHILLIPS (via webcast), U.S. Department of Energy

2 JOE RODGERS (via webcast), Theragenics

3 RUTH SCHUKMAN-DAKOTAS, University of Kansas Hospital

4 MICHAEL SHEETZ (via webcast), University of Pittsburgh

5 CINDY TOMLINSON (via webcast), American Society for
6 Radiation Oncology

7 GARY E. WILLIAMS, VA NHPP

8 NANCY YOUNG (via webcast), Xcenda

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

TABLE OF CONTENTS

	<u>PAGE</u>
15. Abnormal Occurrence Criteria	6
16. Reducing Occupational Dose Limits	92
17. Update on Domestic Production of Molybdenum-99	136
18. Administrative Closing	170

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

P R O C E E D I N G S

8:07 a.m.

CHAIRMAN MALMUD: The agenda today will begin with the presentation by Angela McIntosh entitled abnormal occurrence criteria.

Angela.

MS. McINTOSH: Thank you, Dr. Malmud. Good morning. I am back here again to present on the abnormal occurrence criteria. And I've already presented on it a couple of times, as events develop you realize you have to come back, and it is best to get it right. So, I'm back here again and maybe this will be the last time I have to bring this before you.

But to give you a little background on -- to catch everyone back up to speed on how we got to where we are today, back in 2008 the staff identified that the definition of medical -- the staff identified rather that too many medical (audio interference) AO criteria.

What I mean by that statement is that we believe that to be relatively non-significant medical events were in the AO criteria and thereby --

(Phone interruption.)

MS. McINTOSH: And so, the staff presented some draft criteria at the 2008 meeting for the ACMUI to discuss. And the ACMUI reviewed and discussed those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 criteria and recommended that (audio interference) the
2 committee voted on it and the staff accepted that
3 recommendation.

4 Well, in the meantime, the staff had to wait
5 because per condition of the regulation, we could not
6 open up the criteria and proceed with the change, because
7 the Office of Nuclear Reactor Regulations portions of the
8 criteria needed to - it needs to have a certain amount
9 of experience with that criteria before they would
10 entertain a change to the criteria. So, the staff had to
11 wait.

12 And in the meantime while the staff was
13 waiting, the committee changed some people and the staff
14 also recognized that significant adverse effect might be
15 a little too qualitative for the staff to use to make a
16 determination whether a potential AO had occurred.

17 So, in December of 2011, the staff suggested
18 that significant adverse effect be defined, because it
19 was a little too qualitative.

20 The ACMUI again looked at criteria and what
21 they did - I can bring it up - they recommended what you
22 see on the screen right there before you. And basically,
23 the ACMUI suggested that a designated consultant
24 physician be employed to help determine whether an AO had
25 occurred.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Well, the management has been contemplating
2 these particular criteria and has decided that these
3 criteria as stated, the practical application of these
4 criteria probably will be that we will have to hire a
5 medical consultant in nearly every case that we come
6 across a potential medical event - I mean a medical event,
7 rather.

8 And that would, we foresee, would put an
9 excessive burden on the AO determination process, it
10 would slow the process down quite a bit, and present an
11 excessive financial burden on NRC and the agreement
12 states as the staff tires to make this determination.

13 Does this particular medical event that
14 we're looking at - should we hire a consultant? Well,
15 probably the default would be that we would have to hire
16 one, because who would really be sure?

17 And so, criteria to screen the events seemed
18 appropriate. Some criteria for staff to screen the
19 events, to screen out events where we felt we probably
20 wouldn't need to hire a consultant.

21 And so, the following slides will present
22 an overview of some refinements that we have added to the
23 criteria for your consideration. And subsequent to those
24 slides we'll present some red line strikeouts of the
25 precise suggested refinements that we are presenting to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you today. And the details of those refinements will be
2 discussed.

3 So, here's an overview of what the
4 refinements are. You will note that the title has been
5 changed and footnoted on this slide. And there's some
6 language added back to the criteria that looks
7 significantly like existing AO criteria language.

8 On this slide, and you can't see on this
9 slide, but we'll see it on another one, a phrase in bold
10 font has been - well, actually you can't see the bold font
11 part. "One or more" is the bold font, and the criteria
12 are bulleted.

13 Now, this is where we discuss the changes
14 in detail. The existing criteria says "For Medical
15 Licensees," and we suggest that that be removed and
16 replaced by "Events Involving Patients or Human Research
17 Subjects."

18 We believe this has probably always been
19 understood, but we thought it was important to make it
20 clear that these criteria actually apply to patients and
21 not to medical licensees.

22 There are other criteria in the AO criteria
23 that apply to licensees. And so, we wanted to make it
24 clear that these particular criteria do not.

25 Our second change there that you see on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 bullet, "A medical event that results in," we've crossed
2 out "death" and replaced that with "a dose other than the
3 dose to the intended target."

4 Currently, the AO criteria do not specify
5 that the target organ is excluded. And so, we've had
6 instances where the target organ received a thousand rad
7 above the prescription. And we've had to capture it as
8 an AO.

9 And so, we didn't - that wasn't what we
10 intended to do. So, this suggested change makes it clear
11 that it's not the target organ that should be included
12 in the determination, but some other organ or tissue.

13 And then the third edit that is presented
14 as blue font on the screen, these criteria are
15 substantially what we're using right now.

16 There's a minor change that we added. We
17 reiterated on the third bullet that it's any other
18 unintended organ other than the treatment site. It's
19 reiterating what you see there on the - in the red line
20 text above it, just to make it clear. And then there's
21 an "and" statement.

22 So, these criteria in blue are functioning
23 as screening criteria for the NRC staff. A medical event
24 would have to at least meet these criteria before we would
25 even consider going forward to possibly determine that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a medical consultant would need to be hired.

2 And then on this screen, remember there was
3 an "and" statement before. So, one of those screening
4 criteria would have to be met, and the event would have
5 to result in a significant impact on patient health that
6 would result in.

7 And then that language that the ACMUI
8 recommended before, we've crossed that out and replaced
9 that with "one or more of the following, as determined
10 by a consultant physician deemed qualified by NRC or an
11 Agreement State."

12 Then you have those four bulleted
13 statements in front of you, unintended permanent
14 functional damage to an organ, unintended permanent
15 functional damage to a physiological system, a
16 significant unexpected adverse health effect and death.

17 I want to point out a couple things about
18 this suggested change. First of all, what the ACMUI
19 already recommended is substantially there. It's
20 essentially there.

21 If you look at the stricken out text, you
22 will see "permanent functional damage." Well, that idea
23 or that phrase is below in the red line text, "Unintended
24 permanent function damage." It's there twice in two
25 different bullets.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And significant unexpected adverse health
2 effect, which is part of the stricken out text has been
3 carried forward. That's still there. And death as one of
4 the criterion, is still there.

5 The reason why staff is recommending these
6 changes that you see before you, is because we wanted to
7 make it clear that only one of the criterion need be met
8 even though that probably was sufficiently evident in
9 what the ACMUI recommended.

10 Still, this makes it very clear that only
11 one of these criterion need be met, and not all of them,
12 for an event to be considered a potential AO.

13 And then secondly, the staff suggests
14 adding unintended permanent functional damage to a
15 physiological system, because that criterion is
16 consistent with other AO, with another criterion
17 elsewhere in the AO criteria.

18 So, that whole idea with physiological
19 system being unintendedly damaged, it just carries
20 through the entire AO criteria whether you're speaking
21 of the medical arena, or some other arena. And so, there's
22 consistency there.

23 And then, another thing that staff did in
24 bulletizing these criteria, was rank them. Because the
25 way the criteria, what presented before, the very first

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 item on the list was death, and then all these other
2 things.

3 And so, the staff just believed that, you
4 know, logically we would rank these criteria from
5 relatively most severe - pardon me - relatively least
6 severe to most severe in terms of consequence to the
7 patient.

8 Footnote 17 Reference, this is where your
9 handout will probably be most useful to you. Because with
10 these slides, you know, you have to follow conventions
11 about how big the text is and you can't put all this
12 information on one slide and it might be hard to follow
13 logically. So, if you refer to your handout, that will
14 help explain Footnote 17 to you.

15 Remember that we suggest crossing out "For
16 Medical Licensees" and replacing that phrase with
17 "Events Involving Patients or Human Research Subjects"
18 and footnoting that title.

19 And the footnote as you can see on your
20 sheets there, says that criteria III.A.3 and III.A.4 also
21 apply to medical licensees. And then immediately below
22 the line there's criteria III.A.3 and III.A.4 presented
23 before you.

24 And the reason the staff recommends that
25 this be explicitly stated in the AO criteria, is that this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 will be a way for the staff to capture what we believe
2 should reasonably be considered an abnormal occurrence,
3 but it is referring to the management of medical events,
4 what could happen at a facility to cause a potential issue
5 at that facility.

6 To give you an example of the kind of things
7 that would be captured under these criteria, III.A.3 and
8 III.A.4 in the medical area would be the VA Philly event
9 in which the individual doses were not necessarily
10 significant in the medical arena.

11 But the fact that so many repetitive errors
12 occurred would be something that NRC would be interested
13 in knowing about and something that we feel would be
14 significant enough to report to Congress.

15 And so again, III.A.3 and III.A.4 are
16 already in NRC's abnormal occurrence criteria. They're
17 not new. But footnoting the medical arena criteria just
18 makes it a little more clear that these criteria would
19 apply at medical facilities.

20 Truthfully, we believe they would apply
21 anyway. But again, this just makes it clear. Because if
22 you read Roman numeral III there, it says "Events at
23 Facilities Other than Nuclear Power Plants." Well, that
24 would be a hospital. Just makes it more clear.

25 And so, to summarize the refinements that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the staff is suggesting, we suggest that the current dose
2 criteria be retained.

3 Again, NRC staff, we're not clinicians. And
4 we can't make medical judgments. And so, it's helpful to
5 us to have criteria to help us identify what we should
6 look at a little bit more closely and screen out those
7 things that probably do not need to be looked at more
8 closely.

9 We believe that without these dose
10 criteria, both the NRC and the Agreement States would
11 probably need to hire a consultant for nearly every
12 medical event that could possibly result in an unexpected
13 adverse health effect.

14 We have clarified the criteria to make it
15 absolutely clear that minimally only one set of - only
16 one of the second set of criteria need to be met. We have
17 ranked the criteria so that the significance are ranked
18 from relatively lowest to highest. And we are proposing
19 just to clarify in the criteria, that the generic trend
20 criteria do apply to medical facilities.

21 Our next steps would be to obtain early
22 Agreement State comment. We believe that this is
23 important because the vast majority of licensees are in
24 the Agreement States. And so, this would impact the
25 Agreement States significantly any changes made in this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 area.

2 After obtaining early Agreement State
3 comments, we would send the criteria to the Commission
4 for review. The Commission would include publication of
5 it in the Federal Register for a 90-day comment period.

6 The staff would review those comments and
7 incorporate them, represent the criteria to the
8 Commission for a final review and approval. And then the
9 final AO criteria would be published in the Federal
10 Register.

11 And with that, I'd like to open up this item
12 for discussion, Dr. Malmud.

13 CHAIRMAN MALMUD: First, Ms. McIntosh, I'd
14 like to thank you for a very clear presentation with the
15 historical footnotes for how it was evolved. I think that
16 it made it very easy for all of us to follow, because all
17 of us had not been on the Committee over the term of these
18 discussions.

19 And with that, I open the discussion with
20 any comments from members of the Committee, please.

21 MEMBER WEIL: Just a clarification, please.
22 This is only for events that do not involve the intended
23 target. And I assume that the intended treatment target
24 then is covered under the plus or minus 20 percent.

25 MS. MCINTOSH: It would be a medical event

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to begin with to even be considered really to be an AO,
2 but, yes. But exactly the event would have resulted in
3 a differential of 20 percent in the dose. And so, yes,
4 the target organ -

5 MEMBER WEIL: So, in a comprehensive
6 definition of a medical event, should that not be
7 reiterated, or is it unnecessary? I just put that out.

8 MS. MCINTOSH: In a comprehensive -

9 MEMBER WEIL: This is a comprehensive
10 definition of medical event, abnormal occurrence, right?
11 That is what this is intended to be.

12 MS. MCINTOSH: Yes.

13 MEMBER WEIL: But it only talks about doses
14 to unintended organs outside the target.

15 MS. MCINTOSH: Correct.

16 MEMBER WEIL: And I just wonder if it
17 wouldn't be useful to include somewhere in there what
18 constitutes a medical event that does involve the target
19 organ. I'm just putting it out there.

20 MS. MCINTOSH: I don't think I really
21 understand your question. A medical event is - occurs
22 when a prescription is made for a dose to an organ and
23 that prescription is plus or minus 20 percent.

24 MEMBER WEIL: Uh-huh.

25 MS. MCINTOSH: And that's covered under Part

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 35.

2 MEMBER WEIL: Okay.

3 MS. McINTOSH: So, when a medical event
4 occurs and an additional, if you want to look at it that
5 way, an additional error has occurred to the tune of
6 unintended permanent functional damage or -

7 MEMBER WEIL: Any of these things.

8 MS. McINTOSH: - organ or system, that's an
9 AO. It is a medical event to begin with. We wouldn't even
10 look at anything that wasn't a medical event to begin
11 with, because there would be no medical error.

12 MR. EINBERG: Angela, let me try asking if
13 I understand Ms. Weil's question a little bit
14 differently, would there ever be a case where a medical
15 event to the intended target would be an abnormal
16 occurrence?

17 MS. McINTOSH: No, there wouldn't.

18 MR. EINBERG: Okay. So, then I think that's
19 her question for discussion then.

20 MS. McINTOSH: No, there wouldn't. We are
21 looking for something - I think I see what you're getting
22 at.

23 If the target organ got so overdosed that
24 permanent functional damage occurred, if that's what
25 you're saying -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER WEIL: Right, uh-huh.

2 MS. McINTOSH: - if that was not the intent
3 of the prescription -

4 MEMBER WEIL: Exactly.

5 MS. McINTOSH: So, an example I can think of
6 is a dose was prescribed to the thyroid. Maybe it was a
7 diagnostic dose and the thyroid was ablated. All the dose
8 went to the thyroid.

9 MEMBER WEIL: Or there was an error in the
10 pharmacy or there was a misadministration.

11 MR. EINBERG: Angela, can you bring up the
12 criteria?

13 MEMBER WEIL: It's your qualifying criteria
14 that excludes anything that affects the target organ.

15 MS. McINTOSH: Target organ.

16 MR. EINBERG: Can you bring up Slide 6?

17 MS. McINTOSH: I think we want Five,
18 actually.

19 MR. EINBERG: No, I was thinking about this
20 one here, the proposed refinement.

21 MS. McINTOSH: Okay.

22 MR. EINBERG: If we were to take off
23 "unintended" over the first two sub-bullets and just keep
24 it as permanent functional damage to an organ or
25 permanent functional damage to a physiological system,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 would that address -

2 MEMBER WEIL: No, because it's the blue
3 bullets. It's the blue bullets which have to be met first,
4 before you proceed to the red bullets.

5 MS. McINTOSH: It's page - it would be Page
6 5. She's referring to the bullet that says "A medical
7 event that results in a dose other than the dose to the
8 intended target."

9 MEMBER WEIL: You're qualifying everything
10 here to only involve things other than the target.

11 MS. McINTOSH: So, if the intended target got
12 a dose, but that dose resulted - going back to the thyroid
13 example, it was supposed to be a diagnostic, but it wound
14 up being an ablative therapy, that would not have been
15 intended, but the target, the dose did go to the target.

16 MEMBER WEIL: Uh-huh.

17 MS. HENDERSON: If we added in a dose other
18 than the prescribed dose to the intended target, would
19 that address your concern? Because then if it was more
20 than what was prescribed, it could also result in an AO.

21 In other words, if the patient got two,
22 three times what was - I see what you're saying that this
23 would not address the dose to the intended organ. So, if
24 the intended organ was overdosed, this language might
25 exclude that from being an AO.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER WEIL: Yeah, but that takes you back
2 to where you were before where almost anything can be a
3 medical event, because you're not putting in any
4 thresholds there about what that target - what - the
5 magnitude of the unintended dose, either under or
6 overdosed, and you're back where you were before.

7 MS. McINTOSH: Because in that case and that
8 specific example, it would be greater than a thousand
9 rad.

10 MEMBER WEIL: Right.

11 MS. HENDERSON: Greater than or equal to a
12 thousand rad to -

13 MS. McINTOSH: And then we repeat
14 "unintended" there.

15 MS. HENDERSON: Right. So, we would have to
16 add something a thousand rad greater than the intended
17 dose and a thousand rad to any other unintended organ or
18 tissue.

19 (Discussion off the record.)

20 MS. McINTOSH: A thousand rad greater than
21 the intended dose.

22 CHAIRMAN MALMUD: Dr. Welsh.

23 MEMBER WELSH: I might suggest to address the
24 important issue that Ms. Weil has just brought up that
25 maybe a medical event that results in a dose other than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a dose to the intended dose to the intended target, and
2 then to address Ms. Weil's concern. But then we also have
3 concerns about these numbers, because they are organ
4 system-dependent.

5 So, 10 gray for a thyroid is very different
6 from 10 gray to the optic chiasm, or it could be very
7 different from 10 gray to the spinal cord if given in a
8 stereotactic fashion using a gamma knife, for example.

9 So, I'm not sure that these numbers are
10 helping us, because they vary from system to system and
11 technology to technology.

12 MS. McINTOSH: But these are only screening
13 criteria though.

14 MEMBER WELSH: But there's an "and".

15 MS. McINTOSH: There's an "and", correct.
16 That's where the real errors come in. The and, in this
17 case, screening criteria to the significant things like
18 unintended permanent functional damage.

19 CHAIRMAN MALMUD: Dr. Thomadsen.

20 VICE CHAIRMAN THOMADSEN: Addressing Ms.
21 Weil's comment, isn't it caught by the term "medical
22 events" in that bullet?

23 I mean, you're already triggering this
24 because it's a medical event. So, do you need to change
25 the wording so that you pick up medical event again by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 referring to the target?

2 MEMBER WEIL: I don't know.

3 CHAIRMAN MALMUD: Dr. Suleiman.

4 MEMBER SULEIMAN: Yeah, I'm going down the
5 same path. Medical event picks it up. Now, you're trying
6 to determine severity. You're talking about some
7 specific organs? You're assigning some different dose
8 values.

9 I think once it's already triggered into a
10 medical event, you really need a medical professional to
11 assess it.

12 Dr. Welsh's point is you've got different
13 technologies. I thought I made the point yesterday at
14 least in terms of if you're dealing with unsealed sources
15 versus sealed sources and external beam the precision and
16 accuracy may vary. So, you've got uncertainty which
17 organs are going to - in other words, I'd almost back off
18 and say maybe use one number for all organs that would
19 say, this is serious, this is a high number.

20 And then have somebody who understands
21 whether it was a diagnostic procedure that wound up with
22 a much higher dose, whether it was a therapeutic dose that
23 wound up very, very wrong place.

24 This is a little confusing to me. And I think
25 maybe take a step back and come up to the more simple

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 action limit with some experts analyzing what actually
2 happened.

3 CHAIRMAN MALMUD: Dr. Thomadsen.

4 VICE CHAIRMAN THOMADSEN: Can I ask where the
5 blue numbers came from?

6 MS. MCINTOSH: This is what we currently use,
7 actually.

8 VICE CHAIRMAN THOMADSEN: Where did they
9 come from that you're currently using them?

10 MS. MCINTOSH: Oh, where did they come - I
11 would have to - I'm going to venture a guess. I'm not sure
12 about this, but probably an NCRP or ICRP-recommended
13 number.

14 CHAIRMAN MALMUD: Dr. Welsh.

15 MEMBER WELSH: I think the question at hand
16 is do we need these numbers at all? Because I think
17 they're leading to more confusion than benefit here.
18 Because as we said, radiopharmaceutical therapy is so
19 very different from gamma knife in terms of the effects
20 of a specified dose that putting a specified dose,
21 nominal figures in here, leads to more confusion than
22 clarification.

23 CHAIRMAN MALMUD: Dr. Langhorst.

24 MEMBER LANGHORST: I like the criteria to
25 give to the staff to screen out medical events that don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 need medical review.

2 I mean, it just - it helps minimize the
3 number that they have to consider for adverse occurrence.
4 And I understand that need.

5 I do think that Laura brought up a really
6 good point. Because if you have an extreme dose to the
7 target organ while this is a medical event, it's that
8 results in a dose other than to the dose of the intended
9 target. So, it rules out if you had a very substantial
10 dose to the target that didn't result in any of these
11 screening criteria. And that would not trigger that next
12 medical review.

13 So, I understand the need to kind of sort
14 out, okay, here's a medical event. Does it rise to the
15 need to review for medical purposes whether it's an
16 adverse occurrence?

17 But I'm not quite sure how to work in the
18 target organ there like what you're recommending.

19 CHAIRMAN MALMUD: Dr. Thomadsen.

20 VICE CHAIRMAN THOMADSEN: Well, the question
21 is to both - Dr. Langhorst first. I'm not sure we care
22 if the target gets a huge dose if it doesn't have any
23 physiological problems to the rest of the patient. I'm
24 not sure that -

25 MEMBER LANGHORST: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 VICE CHAIRMAN THOMADSEN: Other than being
2 a medical event, whether we need to worry about it being
3 an abnormal occurrence.

4 To Dr. Welsh, I can understand why the staff
5 would like a screening cutoff so that there's some de
6 minimis error that they don't have to deal with.

7 Would it be possible to propose some cutoff
8 level that could be uniformly applied that could both
9 screen out the de minimis, yet be sufficient to catch both
10 the optic chiasm case and any other case?

11 MEMBER WELSH: This is Jim Welsh.

12 I suppose the answer is yes that if we put
13 in a lot of thought and effort, we would come up with
14 numbers that would satisfy that criteria.

15 But I suppose the - my question is just
16 because we've done this for so many years and staff likes
17 it because it's comfortable, doesn't mean that we should
18 continue to perpetuate this.

19 Because, you know, I guess we could come up
20 with a number that would be good for spinal cord -
21 cervical spinal cord gamma knife or optic chiasm, optic
22 nerve and come up with numbers that would also be below
23 - would be appropriate for radiopharmaceutical therapy
24 or permanent implant brachytherapy, HDR brachytherapy,
25 et cetera.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 But that exercise, in my opinion, is not
2 very valuable, because we are going to be coming up with
3 arbitrary numbers that I'm not sure I see the real value
4 in.

5 And as long as we have that boolean and
6 there, that means that we don't - that we have to satisfy
7 those numbers, that they are very important numbers.

8 And if we're struggling to come up with
9 these numbers and some of us are questioning the real
10 merit of these numbers, I'm not so sure that this whole
11 category is worth keeping.

12 CHAIRMAN MALMUD: Dr. Langhorst, then Dr.
13 Suleiman.

14 MEMBER LANGHORST: Dr. Welsh, do you feel
15 that the numbers that are listed here would miss some
16 adverse occurrences? Is that -

17 MEMBER WELSH: Absolutely.

18 MEMBER LANGHORST: - the point? Okay. And so,
19 you're recommending that there be a medial review of all
20 medical events? Is that -

21 MEMBER WELSH: Well, my point is that if you
22 have a case of blindness that should not have happened,
23 as an example, it would not fit this definition because
24 of those numbers.

25 And if such a case could happen, then it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 illustrates that there is a deficiency in this proposed
2 refined definition, as a quick example of why I feel like
3 there is a deficiency.

4 CHAIRMAN MALMUD: Dr. Suleiman.

5 MEMBER SULEIMAN: Orhan Suleiman. I am also
6 very concerned with the human research subject
7 application, because - especially with radioactive
8 drugs. You don't know. You're observing.

9 And so, sometimes patients get some - some
10 of their organs get some pretty unpredictable, because
11 that's the nature of research.

12 So, I don't know what that means to the
13 research protocol, which is already under institutional
14 review board oversight and IND oversight. So, are we -
15 is it - is there double jeopardy here?

16 I mean, they have to - and during the
17 research, they're obligated to report all sorts of
18 observations. So, that would get picked up as part of the
19 research phase.

20 MS. McIntosh: Okay. So, that for human
21 research subjects there's a recognition. I think that
22 what I'm hearing from you is that there is a recognition
23 that something is unintended. But nevertheless, very
24 severe if it happened.

25 And under the research protocols, that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 accounted by whatever committees or rules that are under
2 that apply to human research. So, you see it as redundant
3 to -

4 MEMBER SULEIMAN: Well, when you subject a
5 human subject to research, it involves protection not
6 only from a safety point of view, from an ethical point
7 of view. And so, the purpose of the research is to
8 document everything you see.

9 Some of it's not very serious, but document
10 everything because, you know, later on some minor side
11 effect may turn up when you expand the study size or maybe
12 it goes - the drug gets approved and you start using it
13 on hundreds of thousands of people.

14 All of a sudden you say, oh, we may have seen
15 this earlier, but now it's much more serious. But that's
16 more of a non-radiation effect, but the objective is to
17 follow all those.

18 MS. McIntosh: But does it make sense for NRC
19 to have a licensee that does this and something goes awry
20 and is significant and we know that it happened under an
21 NRC license and we don't report it to Congress?

22 MEMBER SULEIMAN: Yeah, I don't know. I mean,
23 I'm saying we should be picking it up assuming the
24 researcher is doing what they're supposed to. I mean,
25 there's always that caveat, but we should be picking it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 up.

2 But if it's related to the product that you
3 regulate, if it's related to the radioactive source in
4 some way, form or another, maybe you need to be aware of
5 it, you know.

6 CHAIRMAN MALMUD: Dr. Langhorst.

7 MEMBER LANGHORST: But Part 35 covers human
8 research subjects in medical events. I mean, they're
9 already in there. So, I don't think - if they're not doing
10 the administration in accordance with their own
11 procedures and they're plus or minus 20 percent whether
12 it's human subject or patient, I think it's still under
13 NRC purview and it makes sense to be included in here.

14 MEMBER SULEIMAN: You're regulated. So,
15 that's fine with me.

16 (Laughter.)

17 MEMBER LANGHORST: I'm not saying I like it.

18 MEMBER SULEIMAN: If it works, you know, if
19 it's working, that's fine.

20 CHAIRMAN MALMUD: This is Malmud. Raising
21 Dr. Welsh's point, are we trying to achieve something
22 with uniformity that's really not applicable to the use
23 of radiopharmaceuticals versus the use of external
24 radiation from a sealed source, versus implants? Are we
25 trying to develop a single code for all when it is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 applicable?

2 And with respect to Dr. Suleiman's comment,
3 is any of us aware of a permanent damage from a research
4 radiopharmaceutical that was - that occurred as a result
5 of the radiation rather than the pharmaceutical implant?

6 Is there any awareness of such an episode
7 in the past? I'm not aware of any. There are
8 radiopharmaceuticals which have caused difficulties
9 because of the pharmaceutical employed which had
10 untoward effects, but I'm not aware that the radiation
11 burden was an issue.

12 Has there ever been such an example?

13 MEMBER SULEIMAN: Oh, I think that there were
14 therapy trials where, I mean, these are trials. These are
15 investigational drugs where patients get some pretty
16 serious doses.

17 CHAIRMAN MALMUD: Radiation -

18 MEMBER SULEIMAN: From the radiation.

19 CHAIRMAN MALMUD: From the radiation? In
20 diagnostics, or just therapeutics?

21 MEMBER SULEIMAN: These are oncology trials.

22 CHAIRMAN MALMUD: These are therapeutics?

23 MEMBER SULEIMAN: Yes.

24 CHAIRMAN MALMUD: Dr. Thomadsen.

25 VICE CHAIRMAN THOMADSEN: I don't have the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reference. I think there was a Swedish trial quite some
2 time ago using strontium-90 for metastatic prostate bone
3 pain where the radiation did cause severe injury.

4 CHAIRMAN MALMUD: Again, that's
5 therapeutic.

6 VICE CHAIRMAN THOMADSEN: That is
7 therapeutic, yes.

8 CHAIRMAN MALMUD: I've only been involved in
9 the field for 40 some years, but I don't recall a
10 diagnostic radiopharmaceutical having untoward
11 radiation effects from the radiation associated with the
12 pharmaceutical, only from the pharmaceutical that was in
13 trial.

14 Once again I raise the question, are we
15 trying to do something that really is not achievable in
16 applying the same criteria for diagnostics,
17 therapeutics, in radiopharmaceuticals and for external
18 beam radiation and for sealed sources such as implants?

19 Dr. Welsh.

20 MEMBER WELSH: To respond immediately to
21 your question, I think that we are trying to do something
22 which is not impossible. As Dr Thomadsen pointed out, we
23 could come up with some numbers. But as I pointed out,
24 it's a difficult exercise and I question its value.

25 But to solve this, I'm just wondering about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that boolean and again and substituting it with an "or"
2 would satisfy NRC's desire to have some numbers.

3 But by not making that an "and," it would
4 minimize the relative importance of those numbers, which
5 would be very difficult to come up with something that
6 satisfies teletherapy, HDR, et cetera, et cetera.

7 MS. McINTOSH: Dr. Welsh, that would put us
8 back to where we are currently, though. Which anything
9 greater than a thousand rad, I mean, every permanent
10 implant brachytherapy that was just off by a little bit
11 would be an AO the way it is right now. Then an AO report
12 has - we have a total of 15 AOs, and 12 of them are medical.

13 MEMBER WELSH: Then I would recommend
14 getting rid of this entire section of nominal figures
15 then. I think it's just not as valuable as we might think
16 it was in the past. And it's too difficult to apply when
17 we're trying to come up with numbers for
18 radiopharmaceutical therapies versus gamma knife,
19 versus HDR, versus permanent implant brachytherapy.

20 The numbers would be very difficult to come
21 up with that would make sense.

22 CHAIRMAN MALMUD: Mr. Einberg.

23 MR. EINBERG: Just to reiterate, our goal
24 here is to have the screening criteria so that the AO
25 criteria is practical or implementable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And if we don't have some kind of screening
2 criteria, then it puts the staff and the Agreement States
3 in a position where they have to get a medical consultant
4 or a physician to screen every medical event across the
5 country.

6 And it's not implementable unless we have
7 some kind of de minimis threshold for us to make that cut
8 and say if it meets this, whatever the criteria is, then
9 go ahead and get a medical consultant.

10 I think if we go forward without any
11 screening criteria, the Agreement States will probably
12 - or we've already, you know, we're hearing that, you
13 know, in this tight budgetary time frame, you know, to
14 get a medical consultant is, you know, very costly and
15 is it really necessary to have a medical consultant for
16 things that most likely are not significant anyway.

17 CHAIRMAN MALMUD: Well -

18 MEMBER WEIL: The purpose of a good screening
19 tool is to be overly sensitive. And if I'm hearing Dr.
20 Welsh's concern, there will be events that will not be
21 picked up using these criteria. So, it's not sensitive
22 enough; is that fair?

23 MEMBER WELSH: Yes.

24 MEMBER SULEIMAN: I think I'm speaking - I
25 think this is confusing to me, and I get a sense it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 confusing to other people, but I'm going to take a step
2 back.

3 And if you want to segregate these into
4 brachytherapy, external beam, unsealed sources or
5 radiopharmaceuticals and try to apply some criteria to
6 each of those, would it make more sense and be less
7 confusing?

8 I mean, I think part of the problem is we're
9 trying to set numerical standards for very different
10 sources that behave very differently in the body and for
11 which the uncertainty in estimating those doses either
12 to the organs or the body vary greatly.

13 But if you were to segregate into these
14 three categories, would it make more sense if you came
15 up with three different sets of numbers?

16 What's going to happen here, you're going
17 to have a bunch of people look and say, what's that mean?
18 How do we evaluate this?

19 And so, you're going to have, you know, you
20 may bring in people who understand the language, but
21 don't understand the underlying science.

22 MR. EINBERG: That certainly is an approach
23 and, you know, would be implementable. But, you know,
24 what are those numbers, I guess it comes down to.

25 MEMBER SULEIMAN: Well, I think I could come

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 up with some numbers off the top of my head, but I won't
2 use them - try it now if you were to segregate them by
3 those sources, but I'm still trying to cope with these
4 numbers that you have there.

5 CHAIRMAN MALMUD: Dr. Zanzonico.

6 MEMBER ZANZONICO: Pat Zanzonico.

7 I guess the question I have is do the
8 screening criteria need to be part of the formal
9 definition of an abnormal occurrence?

10 In other words, couldn't the - because what
11 I'm thinking is you have this and so that in order for
12 an event to be formally characterized as an abnormal
13 occurrence, it would have to meet these dose criteria,
14 as well as the subsequent clinical criteria.

15 The sense I'm getting is that it's really
16 the clinical criteria that matters. So, couldn't the
17 screening criteria be separated from the formal
18 definition of the medical event and used
19 administratively, for lack of a better term, by the NRC
20 staff to pursue it? And since they would then not be part
21 of the definition if you had an occurrence like the one
22 Dr. Welsh described where there was an inadvertent dose
23 to a particular radiosensitive part of the body that had
24 a significant clinical sequelae, that would be captured
25 as an abnormal occurrence?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. EINBERG: I would say that, yeah, that's
2 a possibility if the screening criteria are clear enough
3 for a non-physician or, you know, to make that
4 determination.

5 CHAIRMAN MALMUD: Dr. Thomadsen.

6 VICE CHAIRMAN THOMADSEN: It sounds like you
7 would then be in the position though that you would for
8 each medical event, you'd have to hire a consultant to
9 evaluate whether there was actually permanent functional
10 damage to an organ or a system.

11 MEMBER ZANZONICO: Well -

12 VICE CHAIRMAN THOMADSEN: Or maybe death if
13 you need a consultant to establish that.

14 MEMBER ZANZONICO: Well, again, Pat
15 Zanzonico. Just getting back to the example Dr. Welsh
16 raised if, say, the dose criteria were not satisfied in
17 that instance, yet there was an overdose - an avoidable
18 overdose to the optic nerve or optic chiasm and that
19 subsequently was recognized that the patient became
20 blind in that - in the affected eye, then at that point
21 it could trigger a review.

22 It would seem that we have a cause and effect
23 relationship that would be recognized subsequently
24 between the irradiation and the clinical effect even
25 though these particular dose criteria were not reached.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, in that case, yes, you would need a
2 medical consultant, but that would be a very obvious
3 effect. But I still think you need, I mean, I sympathize
4 with the NRC staff. You need some objective criteria so
5 that you don't need to hire a medical consultant in every
6 instance.

7 MS. McINTOSH: I would just add, Dr.
8 Zanzonico, that the screening criteria wouldn't make it
9 an AO. The screening criteria would be just that. It would
10 just be a starting point for NRC to look - it's not that
11 the screening criteria and these other criteria together
12 make it an AO. It's just that the screening criteria is
13 used to segregate out those that could meet these other
14 criteria.

15 MEMBER ZANZONICO: But there is an "and".

16 MS. McINTOSH: There is an "and".

17 MEMBER ZANZONICO: So, it would have to be
18 both. So, I think Dr. Welsh's point is there were
19 instances where there are abnormal occurrences where the
20 dose criteria would not be met, yet there would be a
21 clinically significant effect. And that would not be an
22 AO according to the way this is written, because there's
23 an "and" in there.

24 CHAIRMAN MALMUD: Dr. Langhorst, then Mr.
25 Einberg.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER LANGHORST: Let me suggest this,
2 because I think it may get back to Laura's point about
3 the target organ and so on. And that's the criteria
4 III.A.3, a serious safety-significant deficiency in
5 management or procedural controls.

6 And that might be the catchall that NRC
7 staff could use that if the target organ got way too much
8 dose, that would be something that that
9 safety-significant deficiency, you'd have to have a
10 physician review of that for the patient and it would
11 catch perhaps those criteria that maybe it doesn't
12 obviously meet the criteria of the dose that you're using
13 for screening.

14 I offer that up that that might be your
15 catchall there. And I don't know if you mean that to be
16 to apply to patients and human research subjects, or to
17 other aspects of the program, of the radiation safety
18 program for medical use licensee.

19 CHAIRMAN MALMUD: Mr. Einberg.

20 MR. EINBERG: To respond to Dr. Langhorst's
21 question, I'm not clear - I'm not sure I understand how
22 that would apply in -

23 MEMBER WEIL: It's too subjective.

24 MR. EINBERG: It's too subjective, as Ms.
25 Weil said. So, the practicality of it for us would still

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 kind of get us into the position where we would have to
2 get a medical physician or consultant.

3 MEMBER LANGHORST: Right. I mean, you have
4 to have that in order to make that determination for III,
5 don't you, a safety-significant deficiency?

6 MEMBER WEIL: No.

7 MEMBER LANGHORST: If it applies to a patient
8 or a human research subject, you certainly would want
9 medical -

10 MS. McINTOSH: That is meant to apply to the
11 facility management.

12 MR. EINBERG: These are for events at
13 facilities. And this is -

14 MEMBER LANGHORST: Nothing to do with the -

15 MR. EINBERG: With the patients, yes.

16 MEMBER LANGHORST: Then you need to get rid
17 of the criteria.

18 (Laughter.)

19 MEMBER LANGHORST: Because you don't, I
20 mean, you need a catchall someplace here.

21 CHAIRMAN MALMUD: The discussion has raised
22 two important points. One by Ms. Weil, which is that she
23 reminds us that the screening procedure is a screening
24 procedure and it should be sensitive.

25 Then Dr. Welsh's comment that even with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 these criteria, it's possible for there to be a
2 catastrophic event in radiation oncology not covered by
3 these screening criteria.

4 So, it seems to me that we have not achieved
5 the goal, because both of those comments are valid.

6 I also keep looking at this and say we're
7 trying - it's as if the space program and commercial
8 aircraft are to be governed by the same rules. They're
9 not the same thing. They both go up in the air, but they're
10 not the same thing.

11 And these are all radiation-related issues,
12 but they are not the same thing. And, therefore, should
13 we - these criteria that have been presented to us, from
14 my perspective, are applicable to diagnostic
15 radiopharmaceuticals, clearly.

16 I can't speak to their applicability to
17 radiation oncology, but Dr. Welsh has spoken to them, and
18 they're not - they don't achieve the goal there.

19 And I'm not even certain that they would
20 have fit the issue that raised the concern at the
21 Philadelphia VA so that I think we need to look at this
22 with two separate sets of rules.

23 The staff out in the field needs criteria,
24 we all agree, that can't be too subjective. We can give
25 them objective criteria if they were suited to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 particular applications. Not individual applications,
2 but the particular specialties of nuclear medicine
3 diagnostic, nuclear medicine therapeutic and the various
4 fields of radiation oncology so that there would be, I
5 think, the need to look at these as separate issues.

6 Now, what about the issue of diagnostic
7 radiology, for example, in cardiac - well, in issues
8 where the cardiologist or the radiologist exposes the
9 patient to an excessive amount of radiation during the
10 course of the procedure?

11 Is that governed by this as well, or is that
12 a separate issue?

13 MEMBER VAN DECKER: You're asking about
14 machine producing radiation, Doctor?

15 CHAIRMAN MALMUD: Beg your pardon?

16 MEMBER VAN DECKER: You're inquiring to
17 machine-produced radiation?

18 CHAIRMAN MALMUD: Yes, machine. That's
19 radiopharmaceuticals.

20 FEMALE PARTICIPANT: That apply in an
21 Agreement State.

22 MR. EINBERG: Just to go on the record, they
23 would not apply and we do not regulate that.

24 CHAIRMAN MALMUD: So, then we have a simpler
25 issue. We simply have the nuclear medicine approaches and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the radiation oncology approaches. I just wanted to
2 clarify that.

3 Dr. Suleiman.

4 MEMBER SULEIMAN: Orhan Suleiman.

5 FDA has had reported incidents of serious
6 overdoses from CT, from fluoroscopy. So, those things do
7 happen from diagnostic procedures.

8 CHAIRMAN MALMUD: Are they dealt with by the
9 FDA?

10 MEMBER SULEIMAN: Yes.

11 MR. EINBERG: And the FDA has their own
12 criteria, which is -

13 MEMBER SULEIMAN: Serious adverse event,
14 serious adverse event. Let me add earlier there was an
15 incident a number of years ago and I know it was public,
16 and I forget the - it was a holmium isotope. It was used
17 for research. And instead of the - I think the critical
18 organ was supposed to be the kidneys or it was the other
19 - or the bladder.

20 Anyway, they wound up giving a lethal dose
21 to the kidneys and they didn't find this out until, you
22 know, six to 12 months later. So, that's an example where
23 the wrong organ got the dose for a number of reasons. And
24 there was a lot of publicity and publications out of it.

25 To be honest, I wonder if that ever got

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reported as a medical event to the NRC. It was under
2 trials.

3 CHAIRMAN MALMUD: It was an FDA issue.

4 MEMBER SULEIMAN: Oh, definitely, but it was
5 a radioactive -

6 CHAIRMAN MALMUD: The last thing the country
7 needs is duplicative regulations. If it's handled by one
8 agency, it needn't be handled by the other as long as one
9 agency or another is dealing with it. That's just a
10 personal comment.

11 However, I still remain concerned about the
12 points that Ms. Weil made and the point that Dr. Welsh
13 made. And that is that these screening criteria will not
14 apply to certain situations in radiation oncology that
15 might result in untoward effects. And, therefore, I think
16 we need to revisit it.

17 The criteria that you've presented, I
18 believe, are perfectly applicable to diagnostic nuclear
19 medicine procedures.

20 MS. McINTOSH: So, then, do you believe it
21 would be prudent then for the Committee to come up with
22 a screening criteria for therapy and we just - we keep
23 these for diagnostics?

24 CHAIRMAN MALMUD: I would want to hear the
25 Committee's opinion about keeping these. I personally

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 see nothing objectionable then and I see everything
2 applicable in them, but that's just one man's view on
3 nuclear medicine as it relates to this.

4 With respect to radiation oncology, we may
5 need a radiation oncology subcommittee to deal with this
6 and come up with criteria that are applicable to
7 radiation oncology.

8 If then the people in the field have one set
9 of guidelines for nuclear medicine and one set of
10 guidelines for radiation oncology, we would have met our
11 responsibility in giving them guidelines that will
12 assist in patient safety and not interfere with the
13 practice of medicine in both disciplines.

14 Dr. Welsh.

15 MEMBER WELSH: There's been a lot of valuable
16 discussion and important points raised. And I might offer
17 a suggestion just to throw down as a practical solution
18 to see if this would solve the concerns that have been
19 raised.

20 Number one, Ms. Weil has brought up the
21 question of excluding the target. So, how about if we
22 change the wording to include a dose other than the
23 intended dose to the intended target.

24 That would allow us to include erroneous
25 doses to the intended target rather than exclude the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 target entirely.

2 The second point was my concern about these
3 nominal figures, which I still think they're held over
4 from an era that is long gone and not necessary, but I
5 appreciate and I'm sensitive to those who like these.

6 Therefore, I might suggest like Dr.
7 Thomadsen said, come up with some numbers that are more
8 appropriate and that would be much lower and capture
9 everything that could be in this abnormal occurrence,
10 including lower doses to the optic chiasm, lower doses
11 to the gonads if we're talking about fractionated
12 teletherapy, et cetera.

13 And then finally on the next slide where we
14 have the two bullet points unintended permanent
15 functional damage to an organ or physiological system,
16 I might suggest adding the word "unexpected." So,
17 unintended and unexpected permanent functional damage.

18 Because unintended of course, but
19 unexpected means that - it means something different.
20 Because we know that radiobiologically there are
21 thresholds that make possible unintended effects
22 unlikely to have been related to the radiation itself
23 depending on the clinical circumstances.

24 Radiation sensitivity, hypertension,
25 diabetes, these predispose individuals to more likely

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 consequences of doses of radiation, as an example, that
2 might be unexpected. Certainly they would be unintended,
3 but would they be expected?

4 So, those are just some - a first attempt
5 at a practical solution to the problem at hand.

6 CHAIRMAN MALMUD: Thank you. Dr. Guiberteau.

7 MEMBER GUIBERTEAU: Yes, I agree with most
8 of what Jim said and I think the sentiment that's going
9 around here.

10 I would really not like to see the screening
11 criteria go away, because I've had a concern for a long
12 time that this really roots this in what we're supposed
13 to be doing. And that is radiation - the medical effects
14 - adverse medical effects of radiation and I think these
15 are very helpful.

16 I do agree that they're not as sensitive as
17 they need to be. If we get rid of them, then there is,
18 you know, reporting these things is not necessarily
19 benign to those physicians who are treating patients who
20 fall accidentally, because there are effects that are not
21 covered in here that are not just unintended, but they're
22 unrelated or incidental. And I think the screening
23 criteria are a way of, you know, are a way of getting
24 around that.

25 I agree very strongly that from a, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 whether you're talking about - and I know that it's not
2 covered, but diagnostic radiology and radiation oncology
3 or nuclear medicine that I think trying to get one set
4 that fits all will either make it too sensitive for some
5 areas, and not enough for others and I would really
6 encourage the ACMUI to ask for criteria that fit what we
7 do, the major categories.

8 And I think like Dr. Malmud, I think that
9 makes sense to me. And I think that would solve several
10 problems.

11 CHAIRMAN MALMUD: Dr. Suh.

12 MEMBER SUH: So, I think this is a complex
13 topic. And I think the two issues we have are we need the
14 screening test that's sensitive. But at the same time,
15 I agree with the sentiment around the table where that
16 we also need a specific modality.

17 So, as Dr. Welsh pointed out, this criteria
18 are greater than or equal to 10 gray, that means very
19 different things to the optic chiasm versus the spinal
20 cord.

21 And I could think of a scenario where
22 someone, lets say, has gamma neck radiosurgery the optic
23 chiasm gets nine gray. Which in some people's estimation
24 is a pretty high dose particularly if it's unintended and
25 it caused blindness to the patient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 With this criteria, you know, question is
2 would it be caught or not. So, I think it does need to
3 be specific to modality. Because if you're talking about
4 brachytherapy, the dose of 10 gray over a couple of months
5 is very different than giving it in one single session
6 like in gamma neck radiosurgery. So, I agree that I think
7 it needs to be specific to modality.

8 I think these are very generic numbers and
9 I think there's a chance that you're going to miss some
10 abnormal occurrences which should be reported.

11 CHAIRMAN MALMUD: Thank you. Dr. Van Decker.

12 MEMBER VAN DECKER: Just a couple of
13 comments, if I may. I guess one reminder to all of this
14 is that every case that's going to fit into this category
15 almost by definition had to have fit medical events,
16 right?

17 So, all of these are being looked at.
18 There's a root cause being done on all of these for
19 systems errors and everything else. So, there is a
20 screen.

21 The screen is did you make medical event?
22 So, that's our screen and we have a universe out there
23 and we have people looking at it at the State level and
24 people looking at it at the NRC level.

25 Now, we're talking about a second screen.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 A second screen that would raise it to the level of, you
2 know, would it be reported at the Congressional level,
3 would we be taking a higher resource to look into this?

4 So, then the question is, where is that
5 second screen before we get everybody, you know, running
6 in a circle?

7 And in Orhan's point, I think this is
8 similar in clinical trial work when we talk about adverse
9 events versus serious adverse events where we raise that
10 second screen.

11 And I think as you would well point out,
12 these red bullets here, the unintended and unexpected
13 stuff is actually very similar to the wording that goes
14 into serious adverse events.

15 Now, obvious in clinical trials
16 irrespective of the radiation piece of it, which is an
17 extra piece of this to the screen, you know, I can see
18 many pragmatic reasons for why staff would like a number
19 cutoff to say, well, that's clearly in a medical event,
20 this just could be looked at, at medical - at root cause
21 they are in, you know, we may not need to be looking for
22 high enough that we definitely need a medical expert to
23 say, well, put it back into the medical event category
24 and root analysis there versus, yes, I think this is the
25 highest level of abnormal occurrence and it stays there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 rather than going back into the other medical event area.

2 What that number is, I think you can have
3 lots of different views on it. And I think that's going
4 to be the problem here trying to come to some consensus,
5 you know.

6 The last comment I want to make because I
7 don't want to get lost in all of this is where this
8 Paragraph Number 3 fits in, because this is making me
9 really confused here.

10 You know, I think as our State
11 representative pointed out, both III.A.3 and III.A.4 are
12 fairly subjective in nature to some degree. And, you
13 know, if you put them in as an or situation as I think
14 was proposed irrespective of the top two pieces, then you
15 bring up the question of who's making the decision
16 process on, you know, what is significant deficiency and
17 what was a procedural control or generic, you know, I
18 think that that kind of fits into the category.

19 And I think we had this conversation on the
20 teleconference call about this topic is that I think that
21 the real or at the bottom is, or under the discretion of
22 the staff and the agency. Which means it didn't fit any
23 of these and that's okay, but we think we wanted to report
24 it to do some due diligence here.

25 But I think once you start getting into, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know, subjective kind of things and the question becomes,
2 what's the adjudicating body for Three and Four, is that
3 a physician, is that a systems management person and how
4 do you really sort out that piece of the puzzle, you know,
5 those actually look more fuzzier to me than some of the
6 stuff we're talking about up above, actually.

7 So, I can see that point, but I'm not quite
8 sure how it plays in.

9 MS. McINTOSH: I would just like to remind
10 the Committee that we believe we've already actually seen
11 an example of III.A.3 or Four. And that would be the VA
12 Philly events.

13 If these new criteria were in place, they
14 wouldn't have captured the VA Philly events. But the fact
15 that there were numerous events is - makes it obvious that
16 there was some sort of programmatic breakdown.

17 And that's what this, you know, trending for
18 generic implications probably will not be that
19 complicated.

20 MR. EINBERG: And just - I'm sorry. And just
21 to add, you know, we have inspectors. The inspectors go
22 out and inspected the VA. And whenever there is a
23 significant event like this, then, you know, they bring
24 their results back and it gets a lot of discussion and
25 management review here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And if there is a determination made by NRC
2 staff that there has been significant program breakdown,
3 that's incumbent upon us to make that determination and
4 report to Congress.

5 MEMBER VAN DECKER: Discretion.

6 MR. EINBERG: Absolutely.

7 MEMBER VAN DECKER: But at the same time if
8 we looked at the top piece of this, we would say anything
9 that fits into this category. Whether we've put in a
10 radiation number, plus the clinical stuff, or clinical
11 stuff alone, the root cause of that is either going to
12 be human error or systems error or, you know.

13 So, it fits into the category. I mean, you
14 have to have a breakdown of where you came from. So, I
15 mean, this explains some of that other piece of it.

16 I'm not sure it adds other than, you know,
17 common sense root cause analysis stuff, but that's fine
18 because I understand the last piece is discretion. I got
19 that.

20 CHAIRMAN MALMUD: Dr. Thomadsen.

21 VICE CHAIRMAN THOMADSEN: I had some comments
22 on that. But, first, if you go back to Slide 5, please,
23 by inserting the green intended on the dose, that would
24 eliminate those medical events so as the target receives
25 the correct dose, but other organs do not.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Such as if the prostate were to have gotten
2 its correct dose, but at the same time the rectum received
3 a dose higher to Five ccs, then 150 percent of what was
4 intended, the dose other than the intended dose to the
5 intended target would not be triggered.

6 So, this whole thing would not - it could
7 never be an abnormal event. So, I don't think we want the
8 green test there.

9 And going back to III.A.3, I agree with Dr.
10 Van Decker here that how this would be used is a definite
11 problem.

12 Back in the days when there was the QMP rule
13 and we had a misadministration with no other violations,
14 we were cited as violating the QMP only because the - if
15 we had a misadministration, we therefore could not have
16 had a QMP that would have prevented the
17 misadministration. So, we were in violation.

18 And one could say if you had an abnormal
19 event, therefore you obviously fail in III.A.3 where you
20 could not possibly have had an abnormal event.

21 CHAIRMAN MALMUD: I have a question. I
22 thought that III.A.3 applies to situations that don't
23 necessarily involve patients at all. For example, having
24 a one curie technetium generator in a room which is
25 unlocked. That would be a III.A.3, wouldn't it? A serious

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 safety-significant deficiency in management -

2 (Simultaneous speaking.)

3 CHAIRMAN MALMUD: Beg your pardon?

4 MS. MCINTOSH: No, I don't believe so.

5 CHAIRMAN MALMUD: That wouldn't be covered
6 by this?

7 MS. MCINTOSH: We have a process called the
8 agency action review meeting in which we look at
9 procedural issues at licensees facilities. Because even
10 though an individual event may not be significant, the
11 fact that there is a procedural issue, a repetitive
12 problem which could lead to a bigger issue is something
13 that the Agency has always been concerned about, has
14 always looked at.

15 So, it's not necessarily that a significant
16 error occurred. It's a precursor kind of - it's the
17 identification of a precursor to a possible significant
18 error. That's all this is looking at. It wouldn't - again,
19 going back to the - I keep using this example, but back
20 to the VA Philly example. I think most people would agree
21 that the individual cases there were not significant
22 relative to, you know, a very serious event at a medical
23 facility.

24 But the fact that there was one incident
25 involving one specific physician, an incident involving

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a specific physician which so many patients got the wrong
2 dose, is something that NRC would be interested in, is
3 something that we do believe Congress will be interested
4 in.

5 They would be interested to know that at
6 Hospital X in the year, you know, 2008 there were, you
7 know, 118 errors in this one procedure.

8 We believe that they would be interested in
9 knowing that. And that's all this kind - that's all these
10 criteria are intended to capture. It's programmatic
11 generic - programmatic things with generic information.

12 CHAIRMAN MALMUD: There already is a system
13 to capture the department that has a moly generator for
14 technetium production with a curie in it in a room with
15 no safety controls on the door so that anybody could walk
16 in.

17 Reading this, it seems to me that that is
18 included here. May be included elsewhere, but it's also
19 inclusive here.

20 Isn't that a serious safety-significant
21 deficiency in management or control?

22 MS. McINTOSH: I don't believe that one
23 incident of deficiency in management or procedural
24 control would be what we would capture to report to
25 Congress necessarily.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. EINBERG: But it possibly could though.

2 CHAIRMAN MALMUD: It possibly could.

3 MR. EINBERG: Yeah.

4 CHAIRMAN MALMUD: Homeland Security would be
5 interested to have a curie sitting around unprotected.

6 All right. Just a question.

7 Dr. Thomadsen.

8 VICE CHAIRMAN THOMADSEN: Just a question
9 also in the title on Three, are those events at facilities
10 other than nuclear power plants and other than all
11 transportation events, or is it other than nuclear power
12 plants and now including all transportation events?

13 What are the limits on the other as far as
14 what is modifying we're referring to?

15 MS. MCINTOSH: It's referring to events -

16 MR. EINBERG: The way I would read it, Dr.
17 Thomadsen, and we have somebody from research here also
18 who is responsible AO criteria and he can correct me if
19 I'm wrong, but the way I would read this is it's events
20 at all facilities other than nuclear power plants. And
21 then, also, all transportation events.

22 VICE CHAIRMAN THOMADSEN: I would suggest
23 clarifying that now.

24 MEMBER WELSH: Jim Welsh.

25 To follow up on Dr. Thomadsen's point, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 would agree that perhaps clarifying that sentence is
2 worthwhile. But similarly as Bruce pointed out a few
3 minutes ago, my suggested wording perhaps needs to be
4 wordsmithed also so as to not cause confusion as Bruce
5 has pointed out, but also capture the spirit of what Ms.
6 Weil's point was that you could still overdose the
7 intended organ and have an abnormal occurrence.

8 So, I don't know how to wordsmith it other
9 than perhaps putting in "or" in there and having intended
10 dose - other than the intended dose to the intended
11 target, or a dose other than the dose to the intended
12 target.

13 CHAIRMAN MALMUD: May I try to bring the
14 question forward in the following fashion? The first one
15 to the Committee is does the Committee feel that it's
16 realistic to have a single standard applicable to both
17 nuclear medicine procedures and to radiation oncology
18 procedures?

19 Dr. Langhorst.

20 MEMBER LANGHORST: The criteria that NRC
21 staff is wanting to have put in place helps them to decide
22 this medical event really doesn't need any more medical
23 review by a physician because we know it wouldn't meet
24 these unintended or unexpected consequences.

25 If we have something low enough that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 triggers it to catch anything, I mean, to catch what Dr.
2 Welsh is talking about as far as the potential blindness,
3 I would suggest that maybe for the intended target that
4 doses greater than 50 percent more or something like that
5 as far as what was intended and it's greater than a
6 hundred rad to any other tissue. And that's a really
7 sensitive criteria that then you have a physician come
8 in and review for the red items of the
9 unintended/unexpected results.

10 It's always disappointing to me that we're
11 trying to save so much money and not having the NRC hire
12 a lot of physicians to do these reviews when we have a
13 lot of people who review things in a power plant
14 situation.

15 I mean, I think we should have a lot of
16 physicians looking at these particular events to see if
17 they are of significance to report to Congress.

18 So, I think you can have a very sensitive
19 number that may lead to a lot of physicians having to -
20 consultants having to review these things, but I think
21 that's what's needed and could be very simple and trigger
22 that additional review by a medical professional.

23 CHAIRMAN MALMUD: So, you believe that it
24 could be a single standard applicable to both nuclear
25 medicine procedures and radiation oncology procedures?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER LANGHORST: Yes.

2 MEMBER MATTMULLER: Steve Mattmuller.

3 I'm really struggling with the direction of
4 our discussion today. Because as I remember past
5 discussions on this issue, using the blue criteria and
6 evaluating existing medical events and then taking them
7 to the level of an AO that goes to Congress, I thought
8 we had a pretty uniform agreement that these were too
9 sensitive.

10 That if you look back at some of the past
11 reports that go to Congress, there are really incidents
12 that don't need to go to Congress. And, hence, that's why
13 we try to come up with the unintended.

14 So, now I'm really struggling with now we're
15 saying it's still not sensitive enough. I mean, I thought
16 we were already in agreement that they were too
17 sensitive.

18 CHAIRMAN MALMUD: Well, that was my point,
19 Steve. You are correct. We were trying to eliminate
20 unnecessary reports to Congress which created issues
21 that really were trivial.

22 My question is, can we have such a sensitive
23 screening that is applicable to both radiation oncology
24 and nuclear medicine?

25 They're two different techniques. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 within radiation oncology, there are a variety of
2 techniques.

3 When people in the field have to evaluate
4 these, they are not sophisticated - they are not
5 necessarily sophisticated Ph.D. physicists. And,
6 therefore, they will go by the book. And if the book says
7 X, they're going to do X even though it may be trivial.

8 So, the trick is to find the standards that
9 are a reasonable cutoff, and I'm questioning whether or
10 not such a standard could be applied across these various
11 disciplines. And that's the question I'm addressing and
12 that Dr. Langhorst wants to comment on again.

13 MEMBER LANGHORST: Thank you. So, really the
14 new criteria are the red listed bullets. That is the new
15 criteria to make that an adverse occurrence.

16 What was used in the past, the blue bullets,
17 NRC is asking, staff is asking this is what we want to
18 check to see do we need to bring a medical consultant on
19 board to then review the red bullets.

20 I think we need to have a low threshold for
21 that criteria on when to bring in a medical professional
22 to judge the red criteria that would be
23 unintended/unexpected permanent functional damage,
24 those criteria.

25 And I am sensitive to the need to save money,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 but I think it would be good to have medical professionals
2 judge these criteria and have a very sensitive trigger
3 point for staff to choose or to have exceeded to then
4 bring in that medical professional.

5 CHAIRMAN MALMUD: Mr. Einberg.

6 MR. EINBERG: Thank you, Dr. Langhorst.

7 And to your point and to Dr. Welsh's point
8 as far as that the criteria were too sensitive previously
9 and we were trying to fix that, part of the criteria if
10 you look - actually, can you bring up the blue criteria,
11 the third bullet there, greater than or equal to a
12 thousand rad to any other unintended organ, that
13 previously we've clarified that.

14 It previously stated to any organ. And that
15 was, you know, whenever you had a medical event because
16 of the therapeutic doses, you would automatically have
17 an AO. So, we put in "unintended organ" to clarify that
18 to raise the threshold there. However, as per this
19 discussion, that's had unintended consequences as well.

20 CHAIRMAN MALMUD: Dr. Welsh.

21 MEMBER WELSH: To answer your question, Dr.
22 Malmud, I personally think that we could come up with one
23 set of criteria that would be appropriate for both
24 nuclear medicine, diagnostic procedures and all forms of
25 therapy if the nominal figures are adjusted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 appropriately. They would have to be decreased to
2 capture everything, but I do think that it's possible.

3 I think the question, a big question,
4 big-picture question might be which is going to be an
5 easier task to come up with criteria for nuclear
6 medicine, diagnostic, HDR brachytherapy, permanent
7 implant brachytherapy, gamma knife, et cetera, et
8 cetera, versus the solution that's currently proposed in
9 addressing the numbers.

10 I personally think that we could use the
11 proposed suggestion up on the board now and adjust the
12 numbers far easier than the alternative of coming up with
13 criteria for all the different modalities.

14 And for that reason as well as the other I
15 have mentioned, I'm in favor of one set of criteria for
16 both nuclear medicine and therapy.

17 CHAIRMAN MALMUD: Thank you. Dr. Suleiman.

18 MEMBER SULEIMAN: I think some systems
19 analyst somewhere is saying, gee, this would be so easy.
20 I think you need a systems approach to this.

21 This is a - you want a sensitive indicator.
22 So, let's say the medical event criteria is adequate, but
23 then you want to triage and pick up the really serious
24 ones.

25 I don't think based on my own experience and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I can give you examples, unless you get exam-specific,
2 you're not going to be able to apply criteria across the
3 board.

4 And even if you try to somehow translate
5 that into a risk number, I wouldn't go there, I mean, to
6 sort of standardize or normalize, because risk varies for
7 individual.

8 If you've got cancer patients that all have
9 expected lifetimes of a year, their risk of harm versus
10 their risk of living is very different than a
11 four-year-old child. So, risk varies, but that's, I
12 think, what we're trying to drive here.

13 You're trying to come up with a dose number
14 that sort of says, this is serious, we need to report
15 this.

16 I think at triage, somehow you're using the
17 medical event criteria. And then at that point, a
18 decision has got to be made. I think experts in the
19 appropriate modality have to say, this is standard of
20 practice, this is normal, and whereas the same number may
21 be serious for another modality.

22 So, I'm uncomfortable with trying to make
23 it apply across the board to everything. I think you need
24 to segregate somehow by modality or source.

25 CHAIRMAN MALMUD: Dr. Welsh.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER WELSH: Jim Welsh.

2 If I might reply to Dr. Suleiman's points,
3 yes, you are correct, but I think that the red bullet
4 points that have been added help clarify this. Because
5 when we say permanent functional damage or significant
6 unexpected adverse effect or death, I don't think that
7 you have to be a health professional to recognize such
8 severe consequences.

9 So, the numbers on the previous part of the
10 boolean and, I think, are irrelevant, but could we come
11 up with - we could come up with some numbers.

12 It's the important second component of this
13 boolean and, the red bulleted added points that are the
14 real meat of all this and permanent functional damage or
15 death satisfies those concerns. And I don't think that
16 you need to bring in medical experts for each and every
17 one of these things that is more than 250 rad to the
18 gonads, because they're not going to satisfy the other
19 criteria in the boolean and of death or unexpected
20 permanent functional damage.

21 So, I think that the added red bullet points
22 answer that concern.

23 CHAIRMAN MALMUD: So, Dr. Welsh, you're in
24 favor of the current proposal with the exception of the
25 doses that are stated?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER WELSH: Yes, those nominal figures
2 are inappropriate.

3 CHAIRMAN MALMUD: Dr. Guiberteau.

4 MEMBER GUIBERTEAU: You know, I think there
5 are two things in the screening that we deal with in
6 diagnostic radiology. And one is the sensitivity of the
7 study, but the other major area is the appropriateness
8 of the screening criteria.

9 I think we go to great pains in the NRC and
10 the ACMUI to address our stakeholders based on the
11 modalities that they deal - that they use.

12 The medical event criteria as the initial
13 screening criteria, apply to everyone. So, it's very
14 general.

15 I think we owe it to our stakeholders and
16 I think we need these screening criterias because unlike
17 Jim I think once it gets to these medical issues that only
18 a physician is qualified to deem whether they are
19 significant or not, that puts that clearly where it
20 belongs in the practice of medicine.

21 In the interim screening criteria between
22 medical events and abnormal events based on dose if they
23 are category-specific, would basically put this - put
24 that part of it back where it belongs in the NRC. So, it
25 would tie these two together and I'm very uncomfortable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 trying to get not only medical event as generic criteria,
2 and then we're trying to parse it out by another set of
3 general criteria.

4 I think what will happen is that in nuclear
5 medicine, some things will, you know, we're going to have
6 to have them pretty low to catch some of our things. And
7 the same is true with radiation oncology.

8 And what I don't want to deal with is, for
9 instance, in my case, the nuclear radiology, you know,
10 stakeholders saying, well, you know, all of these things
11 are being captured because we have one set of criteria.
12 And it's, you know, it's too low for really - it's
13 capturing a lot of things we don't need.

14 So, I mean, I do understand it would be nice
15 to do that, but I think the process here is winnowing out.
16 And in order to winnow out, we go from the general
17 criteria of a medical event and parse this out based on
18 the secondary criteria, and then get to the medical
19 issues. To me, that makes perfect sense.

20 MS. McINTOSH: Dr. Malmud.

21 CHAIRMAN MALMUD: Thank you, Ms. McIntosh.

22 MS. McINTOSH: I appreciate that comment,
23 Dr. Guiberteau. And I realize that this committee is here
24 to help us, you know, with regard to identifying what is
25 - or that the medical aspect of it is your - is primarily

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 what will always be your focus.

2 But I do want to also reiterate along with
3 Dr. Guiberteau's comment, that we are in an environment
4 where we're trying to be open as possible, because we are
5 told we have to be. And part of that openness is reporting
6 things as promptly as we possibly can and in a timely,
7 you know, in a timely manner.

8 So, from the staff's perspective, it would
9 be helpful for us to have some common sense kind of
10 criteria that will help us to relatively quickly identify
11 things for screening so that they are promptly reported
12 to Congress, you know, unless the NRC is accused of, you
13 know, withholding information and that sort of thing.

14 And also we recognize that we are not
15 physicians and we don't want to be put in a position where
16 the criteria are so general that we're sort of making
17 these medical judgments.

18 We would prefer that, you know, things be
19 parsed out for us enough that just with our HP and
20 engineering backgrounds we can decipher that event
21 enough to say it makes sense for this event to be sent
22 to a consultant. And we get that done in, like I was
23 saying, a timely manner to satisfy our stakeholders'
24 concerns of being informed promptly of things.

25 So, I'm just throwing out for consideration

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 as well.

2 CHAIRMAN MALMUD: Thank you. We understand
3 the goal. We're trying to assist you in achieving it, and
4 obviously we have differing opinions within the
5 Committee at the moment.

6 Dr. Langhorst.

7 MEMBER LANGHORST: I wanted to ask a question
8 of you. So, you feel with this openness, that you really
9 do need to have your screening criteria as part of this
10 whole description and how NRC staff decides to go seek
11 medical professional opinion; is that correct?

12 MS. McINTOSH: We believe it's an approach
13 to helping us to meet that goal.

14 MEMBER LANGHORST: And it needs to be part
15 of this definition and not part of this is the screening
16 we do.

17 MS. McINTOSH: Well, no. I guess what I'm -
18 the point that I was trying to make with the openness
19 comment is that NRC is not perceived as unduly
20 withholding information just because it's taken us so
21 long to get these events reported to Congress.

22 I mean, we do have a time frame to get them,
23 you know, an event that happens this year, maybe it kind
24 of looks odd if it's not reported to Congress until three
25 years later because we had to always hire a medical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 consultant.

2 I just throw that out for consideration.

3 MEMBER LANGHORST: And so, I'm still not
4 clear. Does it need to be part of the AO definition, or
5 can it be these are the criteria that NRC staff have
6 established to then seek medical - a medical consultant
7 to judge the red bulleted items that are the definition
8 for an adverse occurrence?

9 MR. EINBERG: To answer your question, Dr.
10 Langhorst, I'm not sure whether it will need to be out
11 in the open or if this is more of a procedural issue that
12 we use to get a medical consultant to further that
13 threshold.

14 My personal belief is that I'd rather have
15 more things out in the open than, you know, have it
16 transparent and we have people comment on it. But having
17 said that, I'm not sure whether, you know, it's a
18 requirement or not.

19 MEMBER LANGHORST: Okay. Well, I guess my
20 point is, is, I mean, it still can be out in the open.
21 It sounds like this definition is difficult to change
22 because of all the logistics of doing what you've
23 described in your next steps. And it's kind of tied to
24 all adverse occurrences.

25 You have to time it with the reactor adverse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 occurrences when you make those changes. Am I correct in
2 that?

3 MR. EINBERG: The abnormal occurrence of
4 definitions or the policies, yeah, there's a paper. It's
5 for the entire agency where -

6 MEMBER LANGHORST: Okay. So, I might suggest
7 that NRC can still remain open by having its guidance on
8 its criteria that they know needs more medical review on
9 judging the red bulleted items, that then gives you some
10 flexibility of adjusting those criteria as you learn more
11 going through what is a true abnormal occurrence or what
12 is a significant abnormal occurrence. And that might be
13 easier to change going forward rather than being part of
14 the actual definition of adverse occurrence.

15 CHAIRMAN MALMUD: You wish to comment on
16 that, Mr. Einberg?

17 MR. EINBERG: I think that's certainly an
18 approach. I would have to explore it with our different
19 offices and our Office of General Counsel to see whether
20 that's achievable or not.

21 The one thought I do have in those regards
22 is that, you know, the NRC would have their screening
23 criteria, but then each Agreement State would have to
24 have a separate screening criteria or they would have
25 their own screening criteria. Which, you know, there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 could be all these different screening criteria out
2 there, and so that's - from a practical standpoint, it
3 would be better to have one and have that all discussed.

4 CHAIRMAN MALMUD: Ms. Bailey.

5 MEMBER BAILEY: I may be confused, but I
6 think when we get to abnormal occurrences there is the
7 report to Congress; NRC has that role with the states.
8 I mean, we've entered our medical events and, yes, we're
9 going to communicate, well, here's what happened and we
10 may come to the decision, it would be NRC's criteria that
11 develop the report to Congress.

12 MR. EINBERG: Well, if -

13 MEMBER BAILEY: - it went into the report to
14 Congress.

15 MR. EINBERG: The criteria that is being
16 proposed now is just to have the criteria that's in the
17 red then.

18 MEMBER BAILEY: Right.

19 MR. EINBERG: But as far as making that
20 determination, then I guess we're discussing whose role
21 would it be to make that determination whether it's
22 significant or, yeah.

23 MEMBER BAILEY: And I think routinely we
24 would all work - we and NRC would come together. Because
25 routinely, NRC has come into our space at that point and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 go on and why do you think this is not an abnormal
2 occurrence or why do you think it is.

3 So, I don't know that we would necessarily
4 have different screening criteria.

5 MR. EINBERG: Okay.

6 MEMBER BAILEY: I believe you would have a
7 role and say, yeah.

8 MR. EINBERG: Okay.

9 MEMBER BAILEY: I think, at that point.

10 MR. EINBERG: Okay. Thank you, Ms. Bailey.

11 CHAIRMAN MALMUD: Another issue which hasn't
12 been discussed is that - it's been alluded to is that our
13 responsibility to our stakeholders, including the
14 providers of these services, the requirement for a
15 physician or physicist to review a case will create
16 anxiety within the department that's being looked at.

17 And if the criteria are too sensitive,
18 that's going to create an atmosphere in which there may
19 be given human behavior, a desire not to reveal events.

20 Once again I come back to the issue which
21 I won't give up on mentioning, although I may be defeated
22 on it in the Committee, and that is I don't believe that
23 these criteria are uniformly applicable across the
24 various specialties in radiology in the use of
25 radioactive material.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And to make them too sensitive so that they
2 are fair to one specialty and unfair to another is a
3 mistake. Whereas if they are defined in a handbook and
4 guidance to our people out in the field, they'll know what
5 to do.

6 When I was in the military, they used to say
7 the best training manuals were written by geniuses for
8 the Navy to be used by less than geniuses. And I would
9 make an analogy here in that it's the responsibility of
10 NRC and this committee in its consulting role, to devise
11 the best criterion possible so that they can be applied
12 by people who are not as highly trained as members of this
13 committee are.

14 And we miss that point when we assume that
15 they're going to have the same ability to make a wise
16 decision as some of you, and that they have the ability
17 to do that. They need guidelines.

18 I don't see a uniform guideline. Dr. Welsh
19 does, and he and I differ on this point, because he comes
20 from one specialty, and I from another.

21 Dr. Welsh.

22 MEMBER WELSH: Jim Welsh.

23 If I might respond to continue the debate
24 with your perspective and with Dr. Guiberteau's, I would
25 go back to the question that you raised earlier about how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 often does a diagnostic nuclear medicine procedure ever
2 get elevated to the point of abnormal occurrence.

3 And I think I heard earlier the answer is
4 screen the rarely, if not zero, and that might be in part
5 because of those new red bulleted items such as death and
6 permanent damage.

7 And if I'm correct in this interpretation,
8 it doesn't matter what the previous component of that
9 boolean and is. Those numbers could be anything. It
10 doesn't matter if they were - the numbers were zero,
11 because if you don't get death and unintended permanent
12 damage from a diagnostic procedure, you will never have
13 inappropriately low or an unduly sensitive definition
14 for abnormal occurrence that would adversely affect the
15 nuclear medicine diagnostic community.

16 CHAIRMAN MALMUD: I accept your point.

17 Dr. Suleiman.

18 MEMBER SULEIMAN: Really, I don't want to say
19 what I'm going to say, but I will, okay.

20 (Laughter.)

21 MEMBER SULEIMAN: I don't want to introduce
22 risk. I told everybody we shouldn't be discussing it. But
23 if you administer a diagnostic dose that's a thousand
24 times what they should have got, you may not see
25 short-term deterministic harm, but you've clearly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 increased the risk of that individual.

2 How do you weigh that? I mean, I'm asking
3 you. So, is that any less important than maybe giving an
4 extra several gray to a therapy patient?

5 So, I wouldn't - the problem is we consider
6 diagnostics so safe that the community sometimes gets
7 pretty flippant and sloppy about how they use diagnostic
8 procedures. I mean, that's my perspective.

9 The community they are diagnostic, we don't
10 worry about that, but we've seen at least with
11 machine-produced equipment where you actually get skin
12 burns or necrosis and you get hair loss. And so, it's
13 capable.

14 So, sorry, Dr. Malmud, I agree with you a
15 hundred percent. You have all these guidelines for
16 different diseases and you look at it and you find out
17 what the state of the practice is, and medicine is fuzzy.

18 So, what's pretty precise and limiting in
19 one area and I'm going to use external radiation therapy,
20 may be completely inappropriate for some of the other
21 things.

22 So, trying to have one size fits all, one
23 number fits all, is why we're dealing with this thing
24 right now.

25 I think you need a triage. I don't think you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 need medical analysis earlier on. I think the final
2 analysis will be when you've got this very specific
3 procedure and the hospital as the institutions, the site
4 should be evaluating these errors or mistakes on a case
5 by case.

6 But the purpose of the NRC just like the
7 purpose of the FDA is, are we seeing a trend here? Is this
8 broader than just this isolated incident? Should we do
9 something?

10 I mean, aside from reporting to Congress
11 whatever, you know, all statutes have that requirement.
12 So, when I first started government I said, wow. Then I
13 find out that every statute says you have to let us know
14 what's going on, but I think clearly you can't just be
15 collecting information and not passing it on.

16 But at some point you may not need expensive
17 review early on, but only if it's simple so you can do
18 the analysis. But as I said, I look at this thing and I
19 get confused. How am I going to interpret this? How am
20 I going to apply it?

21 But if it was different modalities, you
22 could probably come up with some pretty simple numbers
23 that you could have cutoffs for.

24 And then finally the physicians will weight
25 in and say this is appropriate or this is not appropriate.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN MALMUD: Thank you. Dr. Langhorst.

2 MEMBER LANGHORST: I think you can have one
3 criteria for all if it has to be part of this formal
4 definition that can only be changed every so often.

5 I'm uncomfortable with trying to do
6 specific therapy numbers in a definition that can't
7 change often.

8 So, really, adverse occurrence are the red
9 bulleted items. And I think that if NRC needs some
10 criteria on who, when to bring in that medical
11 professional, I think that should be as you've been
12 calling it, Dr. Malmud, guidance. It shouldn't be part
13 of this definition.

14 But if we have to have criteria to be part
15 of this definition, I think we want a very sensitive one,
16 very simple one that then brings in the medical
17 professional to decide the red bulleted items.

18 CHAIRMAN MALMUD: Thank you. Dr. Welsh.

19 MEMBER WELSH: Jim Welsh.

20 If I might respond to Dr. Suleiman's point,
21 I think that even if we try to come up with separate
22 criteria for each one of the modalities or diagnostic
23 procedures, we're still going to wind up pretty much
24 where we are now, but maybe with different numbers.

25 Because I think Orhan's point was maybe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 stochastic events from a nuclear medicine procedure in
2 which the dose was via the administered activity, was a
3 thousand times off, could result in an adverse health
4 effect such as a stochastic rather than these
5 deterministic effects that we're classically thinking
6 of.

7 However, bullet point Number 3 is
8 significant unexpected adverse health effect, which I
9 believe would include any of these stochastic events
10 also.

11 So, there is inherently going to be some
12 medical judgment in this whole process of abnormal
13 occurrence definition, but I don't believe that the extra
14 - and I think significant extra effort of trying to come
15 up with different categories for each one of the
16 different modalities is an exercise that's really
17 worthwhile in the long run because we're going to come
18 up with the same solution in the end, I think, for
19 everything.

20 CHAIRMAN MALMUD: Thank you. I also thought
21 that Dr. Langhorst's point that these numbers will change
22 with time as new procedures are introduced and,
23 therefore, these numbers will need to be reevaluated
24 periodically is a very valid one.

25 And we'll need to back off of my point based

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 upon the argument presented by Dr. Langhorst and the -
2 your willingness to apply the current criteria to
3 radiation oncology procedures.

4 Mr. Einberg.

5 MR. EINBERG: One possibility, if I may
6 suggest, is to have a subcommittee formed to decide what
7 those numbers should be. And then we'll take the action
8 from our standpoint to determine whether we can point,
9 you know, use that screening criteria from an
10 administrator's standpoint or whether it has to be in a
11 more formal abnormal occurrence process.

12 We'll still need some kind of screening for
13 everything - we're still - I think what I'm hearing is
14 we're going in the direction that we need some kind of
15 screening criteria whether it be modality specific or
16 not. But perhaps a subcommittee could hash that and then
17 provide something to the NRC staff.

18 And then we'll take that and either put it
19 into the formal abnormal occurrence process, or we'll put
20 it into some kind of a handbook or into one of our
21 administrative procedures for using that to screen
22 whether we get a medical consultant or not.

23 CHAIRMAN MALMUD: Thank you. Dr. Suleiman.

24 MEMBER SULEIMAN: Let me ask a question that
25 we, both agencies, have dealt with the last year. But when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a patient gets a radiopharmaceutical and the amount of
2 activity that they've received, the dose that they've
3 received may be 40 or 50 times that which they were
4 supposed to receive, and in some cases some of these
5 patients, this is number of patients are subject - there
6 are some issues there whether it's with the user or
7 manufacturing or both, but some of them, in fact, may have
8 received more than, you know, five millisieverts, five
9 rads or higher, because the dose estimates by experts say
10 we could be off by two or three in either direction. So,
11 they could be getting much more than five or 10 rads or
12 lower.

13 Would that qualify as an abnormal
14 occurrence, or is that just a product mislabeling - is
15 that serious enough to -

16 CHAIRMAN MALMUD: To whom is your question
17 addressed?

18 MEMBER SULEIMAN: Anybody. To NRC.

19 MR. EINBERG: That's under the existing
20 abnormal occurrence, or the new proposed AO criteria?

21 MEMBER SULEIMAN: Both. Would it fall under
22 one, and not the other?

23 MS. McINTOSH: Under the current criteria if
24 it's not one of these listed organs, bone marrow, gonads,
25 so on and so forth, it has to be at least a thousand above

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 what was prescribed.

2 If it's one of those targets, it has to be
3 what the target specifies there, a hundred rad to the bone
4 marrow or lens of the eye or 250 to the gonads.

5 Under the new criteria, it wouldn't - it
6 would have to be unintended permanent functional damage
7 would have one of those unintended statements or
8 significant adverse health effect things would have to
9 have occurred.

10 MS. HENDERSON: Under either of the criteria
11 it would not be an AO, because it's not triggering the
12 doses.

13 CHAIRMAN MALMUD: Okay, thank you. I think
14 Dr. Welsh had his hand up next.

15 MEMBER WELSH: And my point is that, yes,
16 that that's correct. It would not be an abnormal
17 occurrence, and it should not be.

18 Because in my understanding, the abnormal
19 occurrence is way at the top of the list as the worst
20 possible scenario, and this does not qualify for that.
21 And I don't think too many diagnostic procedures ever are
22 elevated to that severity that they would or should meet
23 any existing or proposed definition.

24 MS. HENDERSON: I mean, that doesn't mean we
25 wouldn't capture it under medical event and that there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 could be a big programmatic problem that caused the
2 medical event. So, it would still be looked at.

3 MEMBER SULEIMAN: I mean, that's sort of how
4 it's played out.

5 MS. HENDERSON: Yes, yes, uh-huh.

6 CHAIRMAN MALMUD: Mr. Mattmuller.

7 MEMBER MATTMULLER: This would be to the
8 staff. Was it your intention with retaining the blue
9 criteria, that that would be the trigger point as to when
10 you would have a physician review the case to see if then
11 the red points apply?

12 MR. EINBERG: That's correct.

13 MEMBER MATTMULLER: Okay.

14 CHAIRMAN MALMUD: Thank you. Now, is the
15 Committee prepared to vote on this document as amended
16 at the current time?

17 Ms. Weil.

18 MEMBER WEIL: In the interest of
19 wordsmithing, I would just like to suggest that
20 unintended and unexpected might be better unintended or
21 unexpected.

22 Dr. Welsh, is that what you were after when
23 you suggested that? Does it need to -

24 MEMBER WELSH: Yes, yes.

25 MEMBER WEIL: Okay. So, it should be an "or".

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Okay.

2 And then if we could go back one to the first
3 statement, a medical event that results in - this is back
4 in my original point. Could we say an unintended dose to
5 the intended target - that's ugly - or then the phrase
6 that is there, a dose other than the dose to the intended
7 target that is.

8 That's not particularly elegant, but I
9 think it needs to be an "or". I think we need to have one
10 statement that refers to the intended target, and one
11 statement that refers to unintended target.

12 CHAIRMAN MALMUD: Dr. Thomadsen.

13 VICE CHAIRMAN THOMADSEN: I'm still unclear
14 as to why.

15 MEMBER WEIL: Because you think it's a
16 medical event and - it just seems to me that this language
17 is so exclusive of intended targets that it is
18 misleading.

19 VICE CHAIRMAN THOMADSEN: Yes, but why do we
20 care if the target gets more dose? We had a medical event
21 with Zevalin where the patient received - I think it was
22 50 percent more dose than they should have, according to
23 the description. Actually, the disease disappeared. They
24 had no other problems to any other organs in their body,
25 because the doses would not have triggered any of that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 But that would have been an abnormal event, but actually
2 was a beneficial event.

3 Why do we care?

4 CHAIRMAN MALMUD: Dr. Welsh.

5 MEMBER WELSH: I'm going to contradict
6 myself because thanks to your question or comment I've
7 come up with the example in nuclear medicine diagnostics
8 where you can have possibly an abnormal occurrence. And
9 that is diagnostic procedure for thyroid cancer, in which
10 case you would want to diagnose - make a - with thyroid
11 disease. A diagnostic procedure for thyroid disease in
12 which the dose was so off that you ablated the entire
13 thyroid inadvertently.

14 And for that reason, I think that Ms. Weil's
15 point is important that it can be the intended target.
16 But if the intended dose is way, way off, you can have
17 an abnormal occurrence.

18 That's why as inelegant or ugly as that
19 wording is, it's to the point that I think that it's worth
20 wording it.

21 CHAIRMAN MALMUD: Except that one of the
22 risks of I-131 therapy to the thyroid is ablation.
23 Unintentional ablation is a risk, because the thyroid has
24 variable radiosensitivity.

25 So, even though the dose may be very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 carefully calculated, in a particular patient it may
2 result in ablation.

3 Dr. Welsh.

4 MEMBER WELSH: If the isotope was I-123 for
5 diagnostic procedure and the isotope was I-131 and it was
6 the incorrect activity, you could have -

7 CHAIRMAN MALMUD: That's clearly a
8 misadministration. It's the wrong radiopharmaceutical.

9 MEMBER WELSH: It's a medical event
10 misadministration and it would perhaps fall into this
11 category.

12 CHAIRMAN MALMUD: Yeah, I agree. Dr.
13 Suleiman.

14 MEMBER SULEIMAN: All right. I remember a
15 couple of years ago at a Society of Nuclear Medicine
16 meeting where one of the physicians gave a talk. And the
17 first thing he says, I don't want to disappoint you all,
18 but we give every patient 150 millicuries per thyroid
19 ablation. End of discussion.

20 And so, ever since then I sort of ask my
21 nuclear medicine colleagues, what do you use? Some do the
22 dosimetry, and some don't.

23 That tells me that the practice of medicine,
24 of therapeutic, there may be some that are doing it one
25 way, and there may be others that are doing it another

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 way, but I think that's still being sorted out among the
2 medical community. So, it's medical practice until the
3 professionals all decide you should be doing it, you
4 know, this way.

5 Which gets back to the fuzzy standards.
6 What's acceptable for one group? I mean, at some point
7 you have to kick it over to the medical community and say,
8 this is standard of care, this is standard of practice.
9 And so, we're going to have this fuzzy line as to what's
10 appropriate or not.

11 With the therapeutic I think especially
12 with the particulates maybe if you overdose, there's
13 nothing wrong with it. So, you give it up, so, as long
14 as you're making sure you're not falling below that
15 threshold.

16 There are just so many complex issues that
17 one number - and then you've got the uncertainty, you
18 know. You have one person say, well, we've exceeded the
19 limit, and some other person will say, no, it's up by a
20 factor of two.

21 FEMALE PARTICIPANT: See if this works.

22 CHAIRMAN MALMUD: Ms. Weil.

23 MEMBER WEIL: To the point that was made
24 earlier that thyroids are radiosensitive in different
25 ways, an unintended dose to the target, not the intended

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 dose that behaved peculiarly, but an unintended dose to
2 the target, or take out the - actually the green intended.

3 So, it's just adding that small phrase to
4 the beginning, which then would be inclusive of
5 unintended doses to the target organ, not eliminating
6 them and saying only doses that are other than the
7 intended target.

8 Does that make sense?

9 CHAIRMAN MALMUD: Dr. Thomadsen.

10 VICE CHAIRMAN THOMADSEN: Well, according to
11 that, then, all medical events in which there is an
12 unintended dose to the target, that is the target
13 receiving more than 20 percent, would be an abnormal
14 event.

15 MEMBER WEIL: Only if it met the other red
16 criteria at the bottom.

17 VICE CHAIRMAN THOMADSEN: That doesn't seem
18 to be what that was saying.

19 (Discussion off the record.)

20 MS. McINTOSH: We do intend that the
21 unintended permanent functional damage, one of those
22 statements, also be met.

23 VICE CHAIRMAN THOMADSEN: In that case, the
24 or after the target maybe should be an "and".

25 (Simultaneous speaking.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 VICE CHAIRMAN THOMADSEN: Right.

2 MS. McINTOSH: This was screened for us, and
3 then we would look at this.

4 VICE CHAIRMAN THOMADSEN: Yeah, and I'm not
5 sure that that's actually capturing that.

6 CHAIRMAN MALMUD: Ms. Henderson.

7 MS. HENDERSON: May I suggest that this
8 really does need to be addressed by a subcommittee. That
9 we're doing a workshop here and wordsmithing and that we
10 really need to take the time to look at this very closely
11 and it's not going to happen in the time frame we have
12 today.

13 CHAIRMAN MALMUD: I think most of us agree
14 that there's clearly a lack of consensus here with regard
15 to the current document. We understand the goal, but we
16 haven't achieved it yet and it should go back to a
17 subcommittee.

18 I motion for further clarification and then
19 representation to this. Do we all agree that we can do
20 that? There is agreement. Thank you for the
21 recommendation.

22 And, Angela, than you for putting together
23 a very difficult document, but a very sound one in terms
24 of where we need to go from here. Thank you.

25 MS. McINTOSH: Thank you, Dr. Malmud.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN MALMUD: Mr. Einberg.

2 MR. EINBERG: I assume that you'll form a
3 subcommittee at this point then?

4 CHAIRMAN MALMUD: Yes. Does anyone wish to
5 volunteer to chair this subcommittee?

6 (No response.)

7 (Laughter.)

8 CHAIRMAN MALMUD: I think Langhorst, Dr.
9 Welsh and Dr. Thomadsen have demonstrated intense
10 interest in this, as has Dr. Suleiman. But I'm standing
11 on the left side of the table, and Dr. Palestro has been
12 deafening.

13 (Laughter.)

14 CHAIRMAN MALMUD: And I know that you have
15 something to say.

16 MEMBER PALESTRO: I'll be happy to work on
17 the subcommittee.

18 CHAIRMAN MALMUD: Thank you. And Ms. Weil.
19 Okay. So, that's the committee. Dr. Langhorst is the
20 chair, Ms. Weil, Dr. Palestro, Dr. Thomadsen. And then
21 on this side of the table we have with Dr. Langhorst, we
22 have Dr. Welsh.

23 MR. EINBERG: Dr. Malmud, I'd like to also
24 offer an NRC staff resource to the committee, and that
25 would be Angela.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN MALMUD: Thank you.

2 MR. EINBERG: I think that is - in previous
3 subcommittees, that's been very useful to have a staff
4 resource who can answer questions on various aspects.

5 I would also perhaps recommend that Ms.
6 Bailey be considered for the subcommittee, because it
7 does have implications for the states.

8 CHAIRMAN MALMUD: All right, thank you. Oh,
9 Dr. Langhorst.

10 MEMBER LANGHORST: I would ask one more
11 thing. I would definitely ask the NRC staff to give the
12 subcommittee their conclusion on whether criteria needs
13 to be part of the definition, or whether it can be removed
14 from that and obviously publicly shared, but not be part
15 of the definition of adverse occurrence. That would be
16 greatly helpful to our subcommittee.

17 MR. EINBERG: Okay, absolutely we'll do
18 that. And then also, Ashley, if you could send Dr.
19 Langhorst the wordsmith edits as a starting point, you
20 know, we've done some work on those and that might be a
21 good place to start with.

22 CHAIRMAN MALMUD: And with that, we will take
23 a break. Can we get back at 10:30? Thank you.

24 (Whereupon, the above-entitled matter went
25 off the record at 10:12 a.m. and resumed at 10:31 a.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN MALMUD: We'll begin with a
2 presentation by Mr. Cool, reducing occupational dose
3 limits, and he will discuss the potential changes to 10
4 CFR Parts 20 and 50. Thank you - excuse me, I stand
5 corrected. Dr. Cool.

6 DR. COOL: Good morning, ladies and
7 gentlemen. It's good to be back and see a number of you
8 that I have known for many years and appreciated the
9 relationship.

10 It turns out that the last time we talked
11 about this subject was actually October of 2010. So, it's
12 been a little while.

13 So, what I intend to do today is to give you
14 just a very quick, full update for those who haven't
15 touched this subject of late, and then to review the
16 information that is in the staff's SECY paper.

17 So, by way of background, the NRC
18 regulations for radiation protection derive their bases
19 from national and international recommendations, use as
20 points of reference for its international standards, use
21 both national and international analyses of health
22 effects, radiation risks, as well as reflect an ongoing
23 coordination with various federal and the state
24 agencies.

25 The last time 10 CFR Part 20, which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 referred to as "Part 20" most of the time, was
2 significantly revised was 1991. That was the culmination
3 of a 12-year process of revision, substantial revision,
4 and actually had its basis in the then new ICRP
5 recommendations, Publication 26 from 1977.

6 Other portions of the regulations which
7 were not cross-references as in they contained their own
8 explicit dose criteria or references, were not updated
9 at that time. So, in fact, part of the issue that we have
10 is that you have within the NRC regulation guidance
11 structure three new generations of recommendations and
12 scientific information going all the way back to 1958 and
13 '59.

14 ICRP completed their latest revision update
15 of their recommendations in December of 2007. ICRP
16 Publication 103.

17 The staff as we had committed to our
18 commission did an analysis, which we presented to them
19 in December of 2008 indicating that there were some areas
20 that certainly warranted an examination for possible
21 updates.

22 The Commission approved us going off and
23 beginning to engage the stakeholders and initiating for
24 development of technical basis information in April
25 2009. We have been busy doing that since that point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 A set of recommendations in a staff paper
2 was provided to the Commission on April 25th of this year,
3 and that's what I will be reviewing for you.

4 Over the last three years we have done a lot
5 of different things to try and reach out to various
6 stakeholder organizations. We've had interactions with
7 this committee on at least three occasions, with the
8 Advisory Committee on Reactor Safeguards, with a wide
9 variety of organizations, professional societies,
10 groups and otherwise the organization of agreement
11 states, conference of radiation control program
12 directors.

13 Federal Register Notices put out there. We
14 all know that everyone reads the FR, but that's the way
15 in which we can actually formally develop a docket and
16 keep track of all the things for nice, legal purposes.

17 We ran a series of three more formal
18 facilitated roundtable workshops where if you take this
19 table and make it about three times as large, you put 30
20 something people around it representing every kind of
21 licensed use that we have, plus some other stakeholders
22 and get them all to talk about this subject, you have sort
23 of a rough idea of what those two to three days worth of
24 activities were. They were quite enlightening.

25 The workshop in Los Angeles was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 specifically aimed towards medical uses. More than half
2 the participants were from the wide variety of different
3 medial uses. Several of you here participated in those
4 workshops.

5 In addition to that, there was a called
6 third phase after the ICRP put out a separate new
7 recommendation related to protection for lens of the eye,
8 which was last year.

9 We had a total of 59 formal comments on the
10 docket. From the 500,000-foot level there was general
11 support for the idea of doing some updates, updating
12 methodologies and terminologies.

13 There was also equally a generalized view
14 that we should just say "no" to changes to the actual
15 regulations, dose limits, ALARA, those sorts of things.
16 A view, unfortunately, not substantiated by detailed
17 discussions despite our attempts to elicit them with
18 regards to the risks, generalized statements that the
19 impact would be unacceptable that you would no longer be
20 able to practice medicine, you'd no longer be able to do
21 industrial radiography, et cetera, and the number of
22 times where there was a view expressed that the kinds of
23 sources that are used in the United States as in typically
24 somewhat higher activities than that used in Europe,
25 meant that we should have different dose limits

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 applicable to us. That's a view.

2 So, to briefly review where some of the
3 pieces are, radiation risk. The current basis for the
4 regulations, as I said, is a mixture from 1958 to 1990.
5 Part 20 itself in basis, is based on what was known in
6 the late 1970s as in one times 10 to the minus four per
7 rem cancer mortality and risk of heritable disease.

8 Now, that's not the number that we are all
9 familiarity with. Because by the time the rule was
10 finalized in 1991, there had been updates to the
11 dosimetry in Hiroshima/Nagasaki, there were
12 considerable additional follow-ups with that cohort and
13 other cohorts and the general presumptive risk for
14 radiation was more like five times to the minus four per
15 rem.

16 In addition, there was a broadening
17 consideration of not just cancer mortality, but
18 morbidity, years of life lost and other things as they
19 looked at the risk. And that's all discussed in a moment
20 in the methodology.

21 The most recent analysis is actually from
22 EPA, EPA's radiogenic cancer risk models and projections
23 for the US population, which was published in April of
24 2011. Their value for incidence, 1.2 time 10 to the minus
25 three per rem of radiation. Cancer mortality, 5.8 times

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 10 to the minus four with the range on that latter number
2 being 2.8 times 10 to the minus four to one times 10 to
3 the minus three.

4 And so, while if you look at the risk
5 estimates and you look at the ranges of risk that are
6 associated with each of those, those uncertainty bands
7 clearly overlap. In fact, the current uncertainty band
8 does not include the central estimate of the previous
9 band.

10 Methodological basis, again, we have a wide
11 variety of things. You've got some parts such as Part 50,
12 Appendix I, which is the ALARA effluent for reactors,
13 some of the things related to sources in Part 30 and other
14 things, which go all the way back to '58 and '59, critical
15 organ concept, a system which did not allow the summation
16 for internal and external exposures.

17 Part 20 generally based on Publication 26
18 and 30, total effect of dose equivalent approach, some
19 also now use the ICRP 60 methodology. The Commission has
20 by specific license amendment, authorized the licensee
21 to use the new set of methodologies that came out in the
22 early '90s for a licensee so long as they use that entire
23 set. And so, they couldn't cherry pick new and old
24 numbers, whichever they thought would be more
25 advantageous for them.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The public exposure limits in Part 20
2 actually reflect the new risk estimates, because the
3 proposals at the time that rule was a proposed rule
4 included within the range of options, the current
5 recommendation for a public dose limit of 100 millirem
6 a year.

7 The occupational numbers do not, because
8 the proposals that were out at that time did not include
9 the recommendations for lowering the occupational dose
10 limit in light of the revised radiation risks. And there
11 was nothing upon which we could base a change.

12 The staff, in fact, in the statement of
13 considerations noted the publication, the ICRP
14 recommendations, and noted that at that time that the
15 change was not substantial enough to warrant stopping the
16 presses, those aren't actually the words used, but that's
17 an easier way to express it, because of the significant
18 changes and reductions that were already being made and
19 the importance of getting that out and getting it
20 implemented and would be revisited later.

21 So, in addition to that, something which
22 actually becomes fairly important in some of the medical
23 modalities at the time in 1991 that the rule was
24 published, the external dose was measured by the mean
25 dose equivalent as in the badge at the point of highest

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 exposure on the body.

2 The modeling in approaches and
3 considerations have of course continued to improve. And
4 the NRC regulation today now recognizes effective dose
5 from external exposure allowing for several different
6 standard methodologies for calculating an effective dose
7 from one or more badges when there is known geometries
8 shielding to the body such as the leaded aprons in
9 interventional radiology and cardiology, which
10 substantially reduces the effective dose on an
11 individual vis-a-vis that which would be the badge on the
12 collar up above the lead apron.

13 The basis for the occupational dose limits
14 in 1977 wanted to have protection be roughly equivalent
15 to that which was generally accepted for a safe working
16 environment. Roughly, 1.3 to the minus four risk.

17 That risk actually corresponded not to the
18 limit selected, but to the limits and the assumption that
19 the application of the as low as reasonably achievable
20 principle would result in essentially everyone getting
21 a fraction of that as in one rem.

22 So, the actual numeric equivalence to
23 generally accepted working environment was one rem, not
24 five rem.

25 ICRP's recommendations in 1990 adopted a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 considerably more complex multi-attribute approach. I
2 won't attempt to describe to you all of the
3 considerations that went into that, but you can enjoy
4 reading the first appendix of ICRP Publication 60 if
5 you're having a little bit of insomnia and wish to go into
6 those details from that time. That has essentially not
7 changed since that point.

8 ICRP's new recommendations did not change
9 the occupational dose limits. They still recommend an
10 average of two rem per year over a five-year period, or
11 sometimes expressed as 10 rem over five years, with a
12 maximum of five in any one year.

13 Underlying that, the basis is a basis that
14 it is not acceptable to have a cumulative exposure over
15 the working lifetime of an individual to be greater than
16 one sievert, or 100 rem. That is actually the same
17 underlying basis which is the support for the NCRP, the
18 National Council on Radiation Protection and
19 Measurement's recommendation.

20 NCRP chose a slightly different recommended
21 approach. They said five in any one year, but that an
22 individual's cumulative should be limited to one times
23 N their age in years.

24 And you can see that that has different
25 implications over the course of time depending on how you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 might accumulate exposure.

2 I should also note that the Commission in
3 putting out the revision of Part 20 in 1991, explicitly
4 stated that it considered and had rejected the concept
5 of imposing a lifetime dose limit because of a number of
6 complications associated with the tracking follow-up and
7 the implications for individuals.

8 So, to quickly now walk you through some of
9 the pieces in the SECY paper, you will find that this
10 tracks fairly well to different paragraphs in the SECY
11 paper.

12 First, a discussion of the updated dose
13 assessment methodology. There was general support for
14 incorporating the latest scientific information and
15 modeling. We've gotten a lot more refined in how we model
16 the intake and movement of radioactive material on the
17 body, how do you calculate the doses. We are well beyond
18 the old phantoms, which were the nice geometric cylinders
19 and cones, to now the voxel phantoms with more critical
20 detail and transfer back and forth.

21 Those are in the process of being utilized
22 along with the most recent revisions to nuclear decay
23 data to prepare a new set of dose coefficients, which
24 would be the information necessary to calculate the
25 annual limits of intake and derived air concentrations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that would be part of Appendix B.

2 So, the stakeholders said, yes, we think you
3 should go ahead and use those. Yes, we think you should
4 go ahead and take the time necessary to have the new
5 information, do it once, leapfrog the generation in the
6 middle, and bring everything up to date.

7 And, oh, by the way, Don, can NRC see if you
8 can get the other federal agencies to come along with you?
9 The difficult takes a bit longer.

10 Terminology. With the changes in the
11 calculation approaches came a change in how the dose was
12 described as the new term. Current rule talks about total
13 effective dose equivalent.

14 Actually, that phase was something we
15 created, because the ICRP including their
16 recommendation, dah, dah, dah, used the entire long
17 sentence of the sum of dah, dah, dah, dah, dah, dah, dah,
18 dah. And you can't do that when you write a rule and write
19 that long paragraph every single time.

20 Our friends in the General Counsel's Office
21 suggested to us, I think quite rightly, you need to have
22 a term and stick with it so there's a definition. So, we
23 created that.

24 Interestingly, everybody picked it all up.
25 Even ICRP now uses the phrase with the newer terminology,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 which is effective dose.

2 So, often times you will see in ICRP
3 documents now, the reference to the limit as the limit
4 to total effective dose. The sum of the internal and the
5 external component.

6 They drop the equivalent when the
7 calculation changed from using quality factors to
8 describe the effects of different types of radiation,
9 gamma, beta, to the use of the radiation weighting
10 factor. So, there was a change in the methodological
11 calculation with the terminology.

12 Everyone said, yeah, it's correct you
13 should probably do it so that we're all using the same
14 language. Although, it's going to be really hard to
15 explain to all the people we've trained all the years,
16 that total effective dose really is sort of the same thing
17 as total effective dose equivalent and we're changing all
18 of this and we're changing all the procedures because
19 it's the correct term.

20 Okay. What we suggested to the Commission
21 is that that should be updated and that we should look
22 at ways to provide some flexibility so that instead of
23 making everybody just, snap, change a bunch of things,
24 that we allow for time so that as people update procedures
25 and activities, they can incorporate this into it and,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 therefore, reduce the burdens of moving forward.

2 The occupational dose limits, the one that
3 everyone seems to focus on, the occupational dose limit
4 does not have its basis in the current radiation risk
5 projections.

6 In fact, from a legal perspective, you can
7 accumulate doses at five rem per year every single year
8 and be within the regulation.

9 Now, the total framework which also
10 requires that exposures be reduced as low as reasonably
11 achievable, additional words on that, means we would be
12 really unhappy with that. But, in fact, it allows a
13 situation in which individuals could accumulate
14 exposures in 20 years, which would exceed the recommended
15 cumulative level.

16 And, therefore, cause them to question
17 whether or not some change should be made in order to
18 provide a more explicit assurance that each individual
19 would be provided protection in addition to the fact that
20 the application of ALARA provides protection and moves
21 the majority of the population to well below that dose
22 limit.

23 The recommendations of both ICRP and NCRP
24 have flexibility built in, because this is not a precise
25 number. Nothing dramatically changes at 99.9 versus a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 hundred in accumulation, or at two rem a year versus 2.1
2 or 2.2 rem a year. They are all dots on the regulatory
3 straight line that is drawn for purposes of constructing
4 regulation.

5 For the more we know there are differences
6 in population, differences in each of us, my risk is
7 different from Dr. Zanzonico's risk, et cetera, et
8 cetera, et cetera. We're all different.

9 When I was talking with Dr. Mike Lang the
10 other day, he's six-foot-five. A very large individual.
11 Substantially different organ geometries and otherwise.

12 So, we know that this is a population
13 average that has to be used for radiation protection
14 purposes. This is not an estimate of an actual
15 individual's risk associated with it.

16 Occupational exposure in the United States
17 comes from a lot of places. That yellow, big piece of the
18 pie is something that no one takes direct control over.
19 That's the doses that airline crews, stewardesses and
20 other folks get as a result of flying to and fro about
21 the earth at 30,000 feet in the cosmic radiation field
22 the whole time.

23 Medical is the second largest component,
24 almost all of which is not reportable to the NRC under
25 the current regulations. Well, that's interesting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 In addition to what you have, other types
2 of activities, commercial, industrial, government,
3 education, some of those things.

4 So, I put you up only one chart for interest
5 of discussions. This is from NCRP's Report Number 160
6 from two years ago looking at occupational exposure. This
7 data was derived from data received from dosimetry
8 processors.

9 So, it is uncorrected data in the sense that
10 this information may have been used to calculate an
11 effective dose, which might well have been less than the
12 actual badge dose.

13 In that circle up there on the right-hand
14 side, you'll see doses that are greater than the
15 currently recommended average value by ICRP of two rem
16 per year. You'll, in fact, see values in each year that
17 are greater than five rem per year.

18 Again, I can't tell you the extent to which
19 those actually represent occupational overexposures or
20 that which may represent badge readings which, in fact,
21 are not a total effective number for purposes of
22 demonstrating compliance.

23 If you'd like to do the math on that, you'll
24 see that 99.57 percent of the folks in that distribution
25 in 2006 are less than the two rem per year average. ALARA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 works almost all of the time. Does a good job. And that's
2 really where protection comes from in an operational
3 setting.

4 So, stakeholder feedback. We don't like the
5 idea of changing the regulation. We think there will be
6 significant impacts on licensed activities and delivery
7 of healthcare and other sorts of things.

8 There were numerous suggestions that
9 changing these numbers or changing ALARA or anything else
10 would increase the weight of noncompliance, which was
11 intimated. Not quite allegations that we actually could
12 follow up on, but intimated that there is noncompliance
13 in various categories with people leaving their badges
14 and doing other things so as not to nudge up against that
15 occupational dose number.

16 And again, the statements that sources and
17 uses are different, our sources are higher, we should
18 have a different dose limit.

19 I will tell you that the staff rejects the
20 last argument. A health and safety limit that provides
21 adequate protection in public health and safety should
22 have no basis in what size source you use. It should be
23 providing protection for whatever you use.

24 In looking at all of this and giving you a
25 brief preview of the discussion I'll talk about in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 minute, which is the discussions on the lowest reasonably
2 achievable approach, the staff concluded that there
3 would be a technical basis for considering or exploring
4 in greater detail, I believe that's the exact words used
5 in the recommendation of that particular section, to
6 reduce the dose limit perhaps to two rem per year.

7 Within this, one of the significant
8 discussions was stakeholders in our initial activities
9 said, please do not impose averaging. Make us look at
10 multiple years of exposure, go back and get people's dose
11 histories over multiple years, keep track of it, do all
12 of that in calculating where they can be in this
13 particular year with all of the variables associated with
14 it. We really don't want you to go there.

15 Now, I suspect that as we would go forward,
16 we might hear some modifications of that view and they
17 see what the alternatives might be.

18 In the staff paper, we have suggested to the
19 Commission that a single number might be a more
20 straightforward approach, but that there certainly
21 needed to be flexibility.

22 One of those approaches might be the same
23 approach which is already in place for public exposure,
24 or in place for planned exposure situations, which is to
25 allow for application and approval of an additional dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 amount with whatever specific conditions and go over
2 whatever period of time might be appropriate so that you
3 can deal with the particulars of the case as need be
4 without imposing the burden of additional record
5 keeping, recording and transferring things upon the
6 entire license community.

7 That would tend to work pretty well for a
8 lot of materials users who usually have no difficulty in
9 applying for and looking at exactly what they need. And
10 the states in discussing the proposal with them were in
11 agreement with that. It matches their approach of liking
12 to work with the individual licensees which they have
13 when they have issues in order to figure out the best way
14 to move forward and have protection.

15 I will tell you that the folks in our reactor
16 community are not so enamored in this approach for a very
17 simple reason. No chief nuclear officer of a reactor is
18 ever going to allow his reactor to apply for an additional
19 dose limit. That would look very bad on his INPO rating.

20 So, the discussion will have to continue,
21 because there are implications on both sides of the
22 equation.

23 Let's move on to some of the other issues,
24 because we have not all that much time and I want to engage
25 in some discussion with you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The lens of the eye was the most recent
2 recommendation. The ICRP recommended a reduction from
3 the current value which was 15 rem, 150 millisieverts in
4 any one year, to an average maximum value.

5 This was based on considerable evidence
6 that has been accumulating that the threshold for
7 cataract induction was more on the order of 50 rem
8 accumulated dose in the lens of the eye. Substantially
9 less than the several hundred that had been previously
10 estimated.

11 Numerically, that would mean with the
12 ICRP's recommendation of using two rem lens dose
13 equivalent average over a five-year period and five rem
14 maximum, that the numeric number is exactly the same as
15 the numeric numbers for effective dose of a whole body.

16 Now, in many circumstances or most
17 generalized circumstances, the effective dose and the
18 lens dose will be similar. Not exactly the same, because
19 there are differences in the criteria. But you will also
20 recognize that if there are situations in which there is
21 shielding to portions of the body such as the leaded
22 aprons, or you have lower energy beta/gammas or very
23 specific things or fields, that you can have substantial
24 differences.

25 And that, in fact, in some cases that would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 make the lens of the eye the limiting dose limit for the
2 circumstance, which it essentially has never been in the
3 regulations to date.

4 I will tell you that this recommendation
5 from ICRP has already been incorporated by the
6 International Atomic Energy Agency and the International
7 Basic Safety Standards, which was approved by IAE's board
8 of governors just a year ago.

9 IAEA will in two weeks have a technical
10 meeting in Vienna to start looking at developing
11 implement guidance for how they're going to do that.

12 We intend to have staff participate. We
13 expect to have a couple of US dosimetry processors be
14 present to help discuss those sorts of issues.

15 Certainly information that is discussed
16 there will be useful in an ongoing dialog for ourselves.

17 The feedback when we put this out was
18 actually sort of a mixture of things. There was some
19 question to the scientific information. There was a whole
20 bunch of questioning about whether a cataract should be
21 considered an equivalent effect as a cancer. And there
22 was concern about the implication of the numbers and that
23 would be a controlling dose in certain situations.

24 So, the staff has actually recommended at
25 this point, that we explore for purposes of trying to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 develop a detailed technical basis, a reduction in
2 limits, but we have not recommended a particular value.

3 If you look at the comments received, those
4 would point towards a single number value at five rem
5 suggested by several of the commenters. So, that's what's
6 on this particular slide.

7 There needs to be continued dialog, because
8 there are a lot of open questions of how you do this. The
9 dosimetry for lens of the eye, the dosimetry when you have
10 leaded glasses, if you have leaded glasses with side
11 shields and a variety of other circumstances which
12 heretofore have not been crucial in the analysis process
13 become more so now.

14 Embryo/fetus, this is an application to the
15 occupational limit for a declared pregnant individual.
16 This is the only limit in the regulations which only
17 applies if the individual chooses to declare it. So, it
18 is a variable situation.

19 As we discussed the recommendations, there
20 was some mixed feedback. Much of what we heard from
21 licensees and groups were that they were able to
22 accommodate the individuals. So, there was no
23 substantial impact to the activities.

24 There were concerns expressed about the
25 lower value whether that might cause individuals to not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 declare, because they wanted to complete residency
2 programs or otherwise. A variety of dose possibilities
3 not unlike some of the things we've heard with the
4 occupational dose limit itself.

5 We've recommended to the Commission that we
6 continue to develop a detailed regulatory basis for
7 reducing the limit to the 100 millirem level, which is
8 the ICRP recommendation.

9 The ICRP recommendation is stated to apply
10 only to the period after the declaration, which again is
11 a variable highly dependent upon when the individual
12 would choose to declare it.

13 And, in fact, if you construct a whole
14 series of scenarios, in some cases would be more
15 restrictive than the present NRC regulation, which is 500
16 millirem over the entire gestation period.

17 It could be more restrictive? It, in fact,
18 could be less restrictive under some circumstances if she
19 declared later, or if a fairly substantial portion of
20 that dose had already been received before the choice to
21 declare it.

22 So, there are some things that still need
23 to be discussed and elaborated and which will directly
24 impact what the implications would be for different
25 groups of licensees.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ALARA planning. ICRP's recommendations
2 provided a significant new emphasis on a consistent use
3 of optimization there were for the whole process of
4 improving protection and the use of constraint.

5 Their term for values that are used in
6 planning or delimiting a set of options for consideration
7 to try and get the best achievable protection.

8 We had a number of proposals to add
9 requirements to the ALARA program. In fact, I'll be quite
10 frank with you. We started off just thinking this might
11 well be attacked better by adding strength to ALARA,
12 which at the moment is a generalized statement that you
13 should reduce exposures and, in fact, is hardly ever
14 cited against. Citations are usually against licensee's
15 procedures or commitments rather than to the regulation.

16 That also has some downsides to it. First
17 is an opposition to determine constraints. In fact,
18 there's an opposition to any specification of a planning
19 value in the regulation.

20 Quite frankly, people said, Don, if you make
21 that a number that is de facto a limit, you might call
22 it by some other name. But if you require us to do specific
23 things and if we have to take actions to return to
24 compliance, it sounds and looks and quacks just like a
25 limit.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, having gone back and forth and looked
2 at the implications, having examined some things, we
3 actually received some specific proposals from state
4 commenters on how to construct this, which focused on the
5 approach that's typically in reactors, a very detailed,
6 proceduralized process and reviews and approvals and
7 information, all of which pretty much guarantees they're
8 never going to be anywhere close to the limit, or the DOE
9 approach which is a limit of five and an administrative
10 control level in their radiation protection guide, which
11 requires the deputy under secretary approval to exceed,
12 meaning it doesn't happen, and concluded that we could
13 do that. We could impose a lot of procedural burden and
14 detail, lots and lots and check boxes. And that when it
15 got all said and done, it would not change at all the
16 possibility that an individual could get over whatever
17 the planning value might be, because you could go through
18 all of the little box - you could check all the little
19 boxes, you could do the approval, somebody could approve
20 it and you could happily go right through it. Because
21 unless you require a change in the doses, you could still
22 have the higher doses.

23 So, in the end, the view was that it was
24 probably simpler and more straightforward, more
25 performance-based if you want to provide the protection

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for that small group of individuals to high dose end, you
2 move the limit and you work with people to figure out the
3 right way to do it.

4 Some of the other things out there, one
5 that's a favored subject for the Health Physics Society,
6 isn't it time to use SI?

7 Well, certainly there are a lot of people
8 who feel that way. The scientific literature is all that
9 way.

10 There is the Health Physics Physician
11 Statement now which is just do it. Just chop it off, end
12 of discussion. Just make everybody go ahead and do it,
13 for which I would quietly reflect it's not nearly that
14 simple. If it were that simple, the US would have all of
15 our speed signs in kilometers long ago.

16 So, we have recommended to the Commission
17 that this is certainly an issue that requires some
18 additional exploration.

19 There is a step that would already be
20 consistent with the NRC's metrication policy which came
21 out in the mid-`90s, which would be to list the SI first
22 one step in the direction.

23 There needs to be considerable discussion
24 with our federal partners in the states and others who
25 would do this. I have heard a number of people in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 states who have said, oh, we after I am dead, okay.

2 On the other hand, we also have
3 observations, for example, following the Fukushima event
4 and the incredible press coverage and interest for months
5 and months and all the reporters going over there. All
6 of that discussion being in millisieverts and
7 becquerels.

8 A whole new viewpoint of what people are
9 using and a real question of so, why are you still doing
10 this and what's a rem?

11 So, it's time to look at it, but we are not
12 at all convinced that it actually passes the threshold
13 where we should actually demand a change, continued
14 exploration needed.

15 Reporting of occupational exposure,
16 another one that gets stuck sideways in lots of people's
17 thinking.

18 Currently today there are seven categories
19 of licensed activities that are required to report. That
20 does not include any of the medical categories. Nuclear
21 pharmacy is one of the categories, but none of the medical
22 physician categories, et cetera, are required to report.

23 Further, in terms of agreement states, it's
24 a D. They do not have to include it in terms of the
25 compatibility.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, in fact, very few of the states require
2 any reporting of occupational exposure to their
3 organizations.

4 So, when you look at the data that we have
5 available for doing this analysis and if you go looking
6 for something that would help us understand if some
7 individual were working in Virginia and in D.C. and in
8 Maryland, three different regulatory jurisdictions, you
9 would discover that you've got nothing to rely upon.

10 So, it certainly begs the question of
11 whether there is a value and whether there should be a
12 reexamination of the implications.

13 Now, I will be very frank with you. The
14 energy necessary to get to a national database of
15 anything is very substantial. It has been done in source
16 security in tracking of sources with the money and
17 associated focus on that post-September 11, 2001, but not
18 without extreme handwringing.

19 So, this again is something that will
20 require a lot of discussion in what are the possible ways
21 to make progress.

22 So, we've suggested to the Commission we
23 explore the options, they explore the mechanisms. We
24 don't have a viewpoint at the moment. It's pretty clear
25 to us that it's not simply make everybody report their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 occupational exposure to the regulatory authority.

2 Obviously there are some categories which
3 have such low occupational exposures that that doesn't
4 make sense. Why should I make small gauge uses otherwise
5 report when they can't possibly get anywhere as close to
6 this.

7 On the other hand, there are a number of
8 categories including much of what's represented by this
9 group around this table for which there is no data unless
10 we go licensee by licensee to mine it in terms of getting
11 information.

12 In terms of the other portions of the
13 regulations, we have recommended to the Commission that
14 in parallel with the revision of Part 20, that we step
15 up and move forward with the development of the revision
16 for Part 50, Appendix I. Move that out of the really old
17 maximal permissible concentration approach. Have it
18 parallel to current recommendations.

19 And that, in fact, as we pursue rulemaking
20 in other areas where the older dosimetry and standards
21 occur to look to bring those forward as a policy direction
22 to move forward. We think that's an appropriate approach.
23 It will take some time, of course.

24 As I said, much of the scientific
25 information for calculating internal exposures is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 yet available. In fact, the complete set of revised dose
2 coefficients from ICRP is not expected to be done until
3 the end of 2015. So, there is still some time.

4 Now, while it doesn't apply to medical, Part
5 20 applies to everything and, thus, is subject to the
6 backfit requirements for reactors and for fuel cycle and
7 for several other types of facilities that have that
8 requirement put in place.

9 The previous revision was justified as a
10 substantial increase to public health and safety on both
11 quantitative and qualitative grounds.

12 I think you can readily see that there are
13 some things where you cannot do a dollar per person rem
14 improvement to justify making a change, but that there
15 are a variety of other reasons which may make that the
16 right thing to do. Those are the qualitative grounds that
17 we put in place.

18 Things such as a change in dose limit could
19 be argued under the grounds of a redefinition of adequate
20 protection. That would have to be worked through in each
21 of the individual basis to put together the particular
22 case once we know what the proposal might be.

23 So, don't let my statement here suggest that
24 that would or would not be used, or how it would be
25 constructed. It very much depends on the details of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 proposal.

2 So, that means that we need a lot of
3 additional information. It's really hard to generate
4 specifics of the regulatory analysis, cost benefit
5 analysis when you're discussing concepts. It only works
6 if you actually are talking about the specifics of the
7 impacts, what might the language actually look like. What
8 might be the approach for compliance as in the general
9 approach to the guidance.

10 The staff has recommended to the Commission
11 that we believe that there's a sufficient basis to
12 warrant the continued expenditure of our resources to
13 develop the details to work through with the stakeholders
14 the possible implications so as to develop that
15 regulatory basis, the technical basis for each of these
16 areas and bring it back to the Commission.

17 We have not recommended to the Commission
18 that this is the final decision on any of those things.
19 Details to follow. Clearly we expect some renewed
20 discussion on what's the right kind of flexibility,
21 because one size does not fit all.

22 And we're recommended to them that they
23 approve that the staff continue to move forward in
24 parallel that with the regulatory basis for doing Part
25 50, Appendix I.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 With that, ladies and gentlemen, I am
2 concluded with this walk-through of that and I will be
3 pleased to answer your questions. Thank you very much.

4 CHAIRMAN MALMUD: Thank you, Dr. Cool.

5 Are there questions for Dr. Cool? Very
6 thorough presentation.

7 Dr. Zanzonico.

8 MEMBER ZANZONICO: Pat Zanzonico. That was
9 terrific. Really very thorough and clear presentation.

10 You know, now is not the time and place if
11 there ever is a time and place to pursue linear
12 non-threshold, et cetera, et cetera, that show a basis
13 for a lot of these recommendations, but - and this is not
14 a rhetorical question: Are you aware of any
15 epidemiological studies which indicate that among NRC
16 licensees, whether medical or non-medical, a
17 statistically significant increase in cancer which mean
18 compliant with the five rem limit?

19 In other words, is there any data other than
20 the linear non-threshold theorizing, so forth, support
21 dose reduction of any sort from the five rem limit.

22 DR. COOL: From an epidemiological
23 standpoint, there have been several studies that have
24 been done looking at various occupational databases.
25 Some of them have shown slight differences depending on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 data which is included or excluded.

2 In fact, right now there is underway - it's
3 gotten nicknamed the million man study, to take the
4 databases that are available in the United States in DOE,
5 in NRC, and do a more detailed analysis on those looking
6 particularly at the earlier years.

7 Now, we are fairly far removed where; one,
8 doses were higher and; two, there has now been a fairly
9 significant time period for a follow-up to see if there
10 is anything that can be drawn from those studies. So, that
11 information is not available now.

12 You have in addition to that, I'm sure
13 you're aware, the ongoing work that the NRC contracted
14 with a national academy to look at doses in populations
15 round nuclear facilities and do a refresh of the study
16 that was done in 1990 or so to look at whether there was
17 any evidence of statistical differences for those
18 populations at the very low environmental dose rates.

19 That is also in an ongoing process. The
20 National Academy gave Phase 1 of its report with some
21 recommendations for a pilot which the staff is currently
22 considering.

23 There's the DOE low dose study program and
24 other things looking at the cellular, molecular other
25 side of things and trying to work through the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 information. Low dose for them is 10 rem, but that work
2 continues.

3 And you see a wide variation in things that
4 are found depending upon single cells, small groups of
5 cells or something which comes closer to tissue levels.

6 All of that information helps to contribute
7 to our understanding. At this point, it does not provide
8 information that would suggest that from a regulation
9 development basis that we would have a basis to move away
10 from a linear model.

11 Now, quite frankly, if I took off my NRC hat
12 and said just Don Cool, do I think the radiobiological
13 response of a human to radiation is linear? No. I don't
14 know of any response that we have that's linear to
15 anything.

16 On the other hand, I only know two
17 reasonably effective regulatory structures. They're
18 either lines, or they're a switch.

19 And so, within the construct of what we have
20 while we certainly need to continue to look at what we
21 know and refine that and apply what we know if we're
22 looking at a particular case in point for the regulatory
23 structure, I think we still need to be in a position of
24 using this in order to have a consistent, predictable,
25 transparent process.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN MALMUD: Thank you.

2 MEMBER ZANZONICO: Can I just make an
3 editorial comment? Pat Zanzonico.

4 As much as I would like to say otherwise,
5 because I just have this visceral suspicion - linear
6 non-threshold model. I think given the available data and
7 given the applicable risk assessment algorithms, it's
8 really hard to argue against a reduction of either the
9 occupational MPD to two gram per year, or in particular
10 the lens dose MPD.

11 The one point I would make is, and I agree
12 completely that certainly for medical work is the
13 overwhelming majority, essentially a hundred percent,
14 are less than two rem per year.

15 There is a practical and cost implication
16 for reducing that dose limit nonetheless, because most
17 sites use an action level of ten percent of the MPD. It's
18 as reasonable as any in terms of triggering some
19 reduction.

20 And so, by reducing the MPD from five rem
21 to two rem, then obviously the action level for many sites
22 will be reduced from 0.5 to 0.2 rem. And there may be
23 practical and economic implications of that that are
24 real, nonetheless, even though all of their workers are
25 below the two rem proposed limit.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. COOL: That's very correct. The question
2 that Marge, although I didn't mention today, is a key
3 question in terms of how you demonstrate compliance and
4 your confidence that you will stay in compliance. I would
5 agree.

6 CHAIRMAN MALMUD: Thank you. Dr. Van Decker.

7 MEMBER VAN DECKER: Thanks. A small handful
8 of questions, if I could. Number one, help me understand
9 where you are process-wise.

10 So, a SECY paper has gone and it says you
11 want to continue where you're heading. And the ask to come
12 back is continue to find a technical basis for rulemaking
13 or what's going to come back is go ahead with rulemaking
14 and establish stakeholders and your timeline for
15 rulemaking.

16 DR. COOL: Thank you. I realized as you
17 started to ask that, that I didn't actually tell you where
18 we were.

19 The staff has given the paper to the
20 Commission. The Commission is still in the voting
21 process.

22 What the staff has requested is permission
23 to continue to develop the regulatory basis over the next
24 three years at least to the point in which technical
25 information like the dust coefficients would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 available to have a regulatory basis upon which to write
2 a rule.

3 Now, we have told them that we would like
4 to go beyond what might typically be a regulatory basis
5 development and actually look at the specifics of
6 possible rule language in order to be able to check the
7 implications. Normally, that's done after the basis is
8 developed.

9 But in terms of process, this is a
10 regulatory basis development, complete a regulatory
11 basis roughly at the end of 2015.

12 With agreement to then work a proposed rule,
13 there would be public comment on the proposed rule after
14 Commission, there would have to be analysis, comments,
15 agreement on the final rule, publication and an
16 implementation period.

17 When you start to do the math on that, a
18 possible effective date is 2020 or perhaps further.

19 MEMBER VAN DECKER: Okay. I understand the
20 shrewdness of your answer. I like that very much,
21 actually.

22 So, my second comment here is, you know, on
23 an ALARA program basis, you know, most of the time raw
24 data is being used to, you know, work internally and not
25 always, you know, effective dose is being calculated on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 all of this.

2 You need to recognize that internally we
3 need to be doing something so that we're not chasing our
4 tail on a large variety of things. And I'm not sure what
5 can be done to help that out, but we'd have to think about
6 it some.

7 And I guess my last comment on this is just
8 the general comment, you know. There's nobody here that's
9 going to say we're not against trying to provide the most
10 safe and effective environment possible for occupational
11 workers, as well as our patients and everything else.

12 And obviously, you know, the lower, you can
13 go lower. It's always better than higher. And then the
14 question comes, it comes at what cost and where does that
15 cost go.

16 So, you know, what's in the purview of the
17 practitioner or the occupational worker, which is
18 obviously time, distance, shielding, right? But
19 recognize that that pressure point which comes by this
20 may not be the only pressure point that gets us as a
21 society where we want to be.

22 Because as you pointed out, the majority of
23 your five percent outliers are not the regulation within
24 this room per se. It's mostly machine-produced, right?
25 Fluoroscopy, fluoroscopy, fluoroscopy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. COOL: There is a substantial
2 contribution out there, but I will also reflect that in
3 our interactions with the states, one of the things that
4 has been quite clear is that there can be only one set
5 of requirements.

6 There are 37 agreement states. The adequacy
7 and compatibility would apply. They will have a single
8 set of standards.

9 MEMBER VAN DECKER: Yeah, okay. Well, we
10 know I believe that. But my question and my comment is,
11 obviously, you know, a pressure point on the machine on
12 the production on what can be done technology-wise in
13 addition to just the pressure point on the individual,
14 obviously, so that there are really more stakeholders in
15 this in a broader sense than just, you know, what you're
16 there in and how they become a percentage of what can be
17 done to help improve the environment rather than just the
18 pressurization of time distance shielding.

19 So, and, you know, reference defaults on
20 machine-produce may help, but some concept that this is
21 a broader discussion needs to at least be recognized.

22 CHAIRMAN MALMUD: Thank you. Any other
23 comments?

24 MEMBER LANGHORST: Dr. Cool.

25 CHAIRMAN MALMUD: Oh, Dr. Langhorst.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER LANGHORST: Dr. Cool, thank you.
2 That was a very thorough talk on that, but you did not
3 come back to other agencies and their implementation or
4 evaluation of these dose limits.

5 And so, for agreement states I would
6 understand that if they have to meet the NRC requirement,
7 that they would apply that to all radiation sources, I
8 would assume, but what is the - and you may not have
9 answers, but what is OSHA doing in regard to their
10 regulation on radiation control programs?

11 And another question I have is, would this
12 impact the FDA's limit for human research subjects, which
13 is currently five rem whole body or three rem to organs?

14 So, kind of a question for you, question for
15 Dr. Suleiman, too.

16 DR. COOL: The U.S. interagency has this as
17 an ongoing discussion. We have an Interagency Steering
18 Committee on Radiation Standards that talks about it
19 almost constantly.

20 The Environmental Protection Agency is
21 looking at a number of things. And, in fact, has in
22 preparations advance notice of proposed rulemaking to
23 move - to propose or to discuss moving to this methodology
24 in some of the general applicable environment standards
25 for the fuel cycle, 40 CFR 190, 192 and other parts.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 On Monday will be the first formal meeting
2 of an interagency subcommittee that will look at
3 questions of whether there should be an update to the
4 formal federal guidance on occupational exposure last
5 revised in 1987.

6 OSHA put out a request for information some
7 several years ago earlier on before we actually started
8 this process, and is watching to see what we will do.

9 It's not active at this moment, but they are
10 engaged in following the discussion and the process.

11 The Department of Energy completed just in
12 the last year a couple of rulemaking, which moved the
13 scientific information to the 1990 approach. They are
14 also interacting with us, but at this point have not
15 indicated an active consideration of any other changes
16 to the system.

17 FDA is also represented on that committee,
18 and we have two individuals from the states who are
19 observers and actively participate in that discussion.

20 So, we're trying to keep tabs on it.

21 CHAIRMAN MALMUD: Thank you.

22 MEMBER LANGHORST: Thank you.

23 CHAIRMAN MALMUD: Dr. Suleiman.

24 MEMBER SULEIMAN: I have comments for you,
25 but I'll answer that question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER LANGHORST: Thank you.

2 MEMBER SULEIMAN: The Radioactive Drug
3 Research Committee writes those are independent. They
4 apply to the research subject. So, right now they stand
5 as they are.

6 MEMBER LANGHORST: Okay, thank you.

7 MEMBER SULEIMAN: I will share with you that
8 we've been wanting - I've been wanting to readjust those,
9 you know, because I think research is risk based unlike
10 occupational protection, I mean, the way the standards
11 - standards are protected, you know, you have like a 55
12 mile an hour speed limit so all of society is safe You
13 don't have a speed limit for each care and each person
14 or whatever. But with research, you've got people with
15 different ages and whatever. I mean, I'm just sharing
16 with you what's going through some of our minds.

17 The questions to you, Don, or at least just
18 to let you know what I think, my observation is that ALARA
19 more so is appropriate, it seems to be working in
20 occupational.

21 I think in medicine it's not - and probably
22 that's not relevant here, but it's not practice. People
23 don't often even know the doses they are giving.

24 So, I always argue that the first step in
25 practicing ALARA is knowing what you have in the first

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 place. So, how can you make sure you're reducing the doses
2 if you don't know what you have?

3 A little anecdotal story that I think is
4 important in sharing, we had a poster to be presented in
5 some meetings a couple of years ago on RDRC. And we had
6 the RDRC limits and other dose numbers up there from a
7 variety of radiation sources, including background.

8 And I found it really, really interesting
9 to me that some of our - we have a lot of smart, educated
10 people at the agency. Obviously a lot of them don't have
11 radiation background, but they picked up on the general
12 population limit of one millisievert and similarly with
13 you were talking about the fetal limit, and they said,
14 why would you have a limit that's less than background
15 radiation? Because we had the background level there.

16 Can I just share that with you? I think -
17 I also feel that if the two millisievert limit is
18 attainable because you've got data that shows that and
19 I've heard from colleagues in New York who say people seem
20 to be complying with it.

21 But as long as we at some point we say this
22 is fine, we can't keep - just because we can detect lower,
23 we don't want to keep on lowering things where it becomes
24 impractical, but I share that one millisievert
25 observation both regarding the limit to the fetus and to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the general population.

2 So, I'm comfortable with what's being
3 proposed. I just wouldn't want to get ridiculously lower
4 here.

5 CHAIRMAN MALMUD: Thank you. Other comments
6 or questions? Dr. Welsh.

7 MEMBER WELSH: Jim Welsh. Appreciate the
8 presentation and the perspectives, but I have to say that
9 I, as an individual, am not in favor of lowering the dose
10 limits. And I would call your attention to the ASTRO paper
11 that was submitted to the NRC in January 2011 addressing
12 this issue in which that organization also posed
13 reduction in annual limits.

14 And I would ask if stakeholder
15 representation has been provided by ASTRO, AAPM, ACR and
16 the other really large players which have a large
17 population of radiation biologists to provide input to
18 perhaps balance the perspective of the ICRP, the NCRP and
19 their reports.

20 Because as we all know, there are extremely
21 differing opinions on this subject and I, for one, do not
22 feel that the ICRP report accurately reflects the
23 reality.

24 So, I just ask if AAPM, ACR, ASTRO has
25 continued to weigh in as we proceed and make these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 recommendations.

2 DR. COOL: I believe all of those societies
3 were present seats at the table in Los Angeles. I'm not
4 sure that all of them actually formally submitted
5 comments on the work group.

6 Our intention if the Commission agrees that
7 we should continue this dialog and start to look at
8 specifics and details, is that we would be both welcoming
9 and trying very hard to get all of those players to the
10 table and interacting to try and have the best
11 understanding of the various implications.

12 CHAIRMAN MALMUD: Thank you.

13 DR. COOL: Thank you, sir.

14 CHAIRMAN MALMUD: We'll move on to the next
15 presentation. Mr. Mattmuller.

16 DR. COOL: Before we do, can I make a request
17 that I can also join the subcommittee on the AO events?

18 CHAIRMAN MALMUD: The subcommittee we just
19 formed, absolutely.

20 DR. COOL: Thank you.

21 CHAIRMAN MALMUD: You are hereby appointed,
22 unless the chair objects.

23 MEMBER LANGHORST: Not at all.

24 (Pause in the proceedings.)

25 MEMBER MATTMULLER: Good morning. I'll give

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you an update on where we are with molybdenum-99, an
2 update on the progress we're trying to make for a safe,
3 robust and affordable supply of moly-99. And of course
4 it's very important to us because that's the parent to
5 technetium-99m, the most commonly used nuclear medicine
6 diagnostic radionuclide.

7 And for the test of my talk instead of
8 stumbling over molybdenum-99 each time, I'll refer to it
9 as moly, and technetium-99 as technetium.

10 And technetium is used in about 80 percent
11 of all procedures and worldwide. And the majority of the
12 moly used with technetium comes from highly-enriched
13 uranium which is defined as greater than 20 percent. But
14 typically the targets that are used for moly production
15 are around 95 percent.

16 And, unfortunately, there are countries in
17 the world that have a strong desire for HEU and are
18 interested in its use for, shall we say, non-constructive
19 uses.

20 And so, there's a coordinated
21 multi-national effort to - sorry - to phase out the use
22 of HEU in the world, but our need for moly right now for
23 our technetium is about 12,068 curies per week, which is
24 somewhat of a bizarre unit.

25 But that's defined as a unit of measure for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 moly producers present in a shipment six days after it
2 leaves the producer's facility. And in the US alone, we
3 use up about half of the world's need for moly-99.

4 So, let's take a look at our supply chain
5 and why it's often characterized as being fragile. On the
6 left we have the reactors around the world. None are in
7 the US. And some have multiple supply lines to the
8 producers in the middle. And then to the far right are
9 the two US generator manufacturers.

10 All these reactors currently right now are
11 using HEU for moly production, except the two exceptions
12 here at the bottom, or at least OPALs at the bottom.
13 that's the Australian reactor. And they're 100 percent
14 LEU. And the SAFARI reactor in the yellow is about -
15 they're in the progress of changing over and they're
16 about 50/50 right now. They use LEU to HEU for targets.

17 Complicating this supply line are the large
18 distances between reactors to producers, to generator
19 manufacturers. International borders have to be crossed.

20 You're talking about radioactive packages
21 that are in Type B packages as opposed to the Type A that
22 we're most familiar with which can be a robust cardboard
23 box. Type B is usually a steel and concrete-type
24 structure that has to also withstand accidents. So, it's
25 a substantial, expensive and difficult package.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 One of the other bigger concerns for our
2 fragile supply is the age of the reactors. Most of these
3 you could say are baby boomer reactors. They were
4 commissioned in the '50s and '60s.

5 Two exceptions. The Maria, you could say,
6 is a Generation Y in that it was rebuilt in 1993. And the
7 newest is Generation Z, the OPAL reactor down in
8 Australia, but unfortunately for us it's halfway around
9 the world.

10 So, as some - and also contributing to this
11 issue is some reactors supply more than one producer. As
12 you can see the lines crossing from reactors to different
13 producers.

14 But even in this process of converting to
15 LEU, the producers can be a bottleneck in that not just
16 do they have to redesign LEU targets that work in the
17 reactors, the producers now have to redesign their
18 processes to handle the LEU targets and which usually
19 means they need more hot cells, which are very expensive.
20 And they have to deal with more waste than what they
21 typically use or typically are accustomed to.

22 And as an example, well, it used to be an
23 example, is the OPAL reactor. The OPAL reactor can
24 actually produce a lot more moly than it does right now.
25 And my talk is now out of date as of two days ago.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 They didn't have the processing capability
2 to handle the additional moly. But if you're a real moly
3 junky, you may have seen the new story that came out two
4 days ago where the Australians are going to invest about
5 \$170,000 million into a new processing line so they can,
6 in essence, double their production capabilities of moly
7 to the world, which is great news. I just wish they were
8 a little bit closer to us.

9 So, that's probably the best news we've had
10 in a real long with regards to moly, and that just came
11 out two days ago.

12 This is also a partial diagram of the supply
13 chain which missing to the right of the manufacturers are
14 the hundreds of nuclear pharmacies around the country
15 that take the technetium generators and prepared the
16 technetium kits and then send out to the thousands of
17 nuclear medicine departments around the country.

18 And this is all with the clock ticking with
19 moly with that half life of two and a half days and
20 technetium with six hours.

21 So, it's why we always say this is fragile,
22 because it's a time sensitive product, complex in distant
23 supply chain, reactors near the end of their lifetime,
24 the need to convert to LEU and also the need for
25 processors to convert their systems to LEU.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Here's an older slide from 2010 of where
2 moly comes from. It doesn't have the contributions of
3 the newer reactors that have been added lately such as
4 the Maria reactor in Poland or the LVR15 in the Czech
5 Republic, but - and their contributions are important,
6 but that's still a relatively small percentage, but still
7 the big five on this diagram are responsible for 95
8 percent of the moly.

9 The NRU being the oldest in Canada, and
10 unfortunately whose breakdowns over the years have sort
11 of made it our poster child for our fragile moly supply.
12 And it's scheduled to be shut down in four years in 2016.
13 It's handling 31 percent of our supply right now.

14 In 2016 when the NRU shuts down, this is what
15 - well, somewhat our slide is going to look like, because
16 hopefully by then the Australians will be into this.

17 But I Canada, they have no plans to update
18 the - to replace the NRU. In Canada, they have a two-prong
19 program for producing moly or technetium for just Canada.
20 They're going to have a network of cyclotrons to produce
21 technetium directly and to distribute it quickly, of
22 course, within metropolitan areas.

23 And then they also have a second program
24 where they're going to use linear accelerators to produce
25 moly versus a gamma neutron reaction on stable moly-100

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 much in the same way as NorthStar here in the US plants
2 produce moly. And I'll be talking about them later.

3 Also in the news recently has been the Maple
4 reactors. There is some legal wrangling going on between
5 AECL - or what's going to operate the Maples versus
6 Nordion, but I think it's just a business legal dispute
7 of course involving money.

8 Because if you look at the Maples, they were
9 originally designed for HEU fuel and HEU targets long
10 before the moly issues came to light.

11 And they're having trouble - well, they
12 can't get it to operate properly now as it was originally
13 designed. To get it to operate with LEU targets and LEU
14 fuel would practically require redesigning the whole
15 reactor from the ground up.

16 So, I think personally it's very, very
17 unlikely that we'll ever - they'll ever be
18 recommissioned. I think, unfortunately, especially in
19 terms of moly, we'll never receive any from the Maples.

20 So, there are plans, fortunately, to update
21 some of the big five reactors around the world. The first
22 one I'll talk about is the BR2 in Belgium. And this is
23 a design for the replacement reactor, the MYRRHA. And
24 it's actually going to be more of a two-in-one facility.

25 Their plans for it are to be called Europe's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 fast spectrum irradiation research facility with a
2 secondary aspect for radionuclide production.

3 And they do plan to use LEU fuel and targets
4 for this. This is some of the different reactors though
5 that you may have seen and that there's a standard
6 research reactor to the right, but also they are going
7 to have an accelerator produce protons directed into the
8 targets within the reactor so they can have, I mean,
9 usually test reactors just irradiate neutrons. This one
10 will be able to irradiate with neutrons and protons. So,
11 quite the hybrid.

12 Their plan is to have this operational in
13 about 11 years. So, it's still some time away and they've
14 not even started to dig for it yet.

15 The next one would be the HFR in the
16 Netherlands. The replacement is called PALLAS. And they,
17 too, plan to use LEU fuel and targets for the new reactor.
18 And I should back up a little bit in that one of the
19 efforts currently going on right now is Covidien. It's
20 working to develop LEU targets that would work in both
21 reactors on both the BR2 and the HFR right now, which is
22 somewhat a bit of a challenge to get one target to fit
23 and work in both reactors.

24 So, Covidien is actively working on that now
25 as part of this process to phase out HEU now rather than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 later. But certainly when these new reactors come online,
2 that will also take care of the issue in that both fuel
3 and targets use LEU.

4 This one also in on what I would call a slow
5 timeline. It's about 11 years away before they commission
6 it.

7 Here's one I can get excited about. From the
8 donut, the OSIRIS French reactor is going to be replaced
9 with the Jules Horowitz reactor. And this is a national
10 image from about a year ago of their construction site.

11 So, its plans are approved, construction is
12 underway, they're making great progress and their hope
13 is to have this operational in about three years.

14 But some like the Belgium reactor, the
15 problem with this reactor is that it's really designed
16 only for irradiation testing. They weren't really
17 interested in radionuclide production. So, now it's sort
18 of being added as an afterthought and here's a diagram
19 of their reactor core and test pools and such in that now
20 they figure they can squeeze in moly targets that would
21 maybe be 500 targets a year.

22 They think it can maybe squeeze in a
23 thousand targets a year. But if they put in a thousand,
24 then it starts competing with other targets that they had
25 originally planned the reactor for. So, it's not an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 automatic.

2 500 targets a year they estimate would
3 produce about 2,000 six-day curies per week. So, about
4 one-sixth of what we need in the world.

5 So, back to our donut of moly. If we add in
6 the French reactor in three years, their old reactor is
7 eight percent on the right, the new one gets to 25 percent
8 of the world's supply is 17 percent. Some crude drawing
9 skills, and this is what our moly looks like. Still
10 missing 14 percent.

11 At this point, it's too soon to know how soon
12 the Australians will be up and working, but I'd like to
13 think they'd be up and operating far sooner than the other
14 reactors who are a good 11 years away.

15 So, we still have a need for more moly
16 especially here in the US. And hopefully it will come from
17 one of the four projects that currently are underway here
18 in the states that are through the Global Threat
19 Reduction Initiative. That's Department of Energy's
20 national - or security agency or administration, but
21 they're GTRI to get civilian - to reduce civilian use of
22 HEU and replace it with LEU where possible.

23 The GTRI program is providing technical
24 support for these groups through Los Alamos, Argonne
25 National Laboratories. And they're also providing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 multi-million dollar grants. And they're also, you know,
2 to date moly production has been in a lot of reactors that
3 have other functions and other support from the
4 governments and there's really not been a true commercial
5 market model for moly.

6 Well, the GTRI is now saying you have to do
7 it with LEU and you have to have full cost recovery. So,
8 it's like get rid of all the fuzzy economics that exist
9 today.

10 And the big issue with that is waste
11 management especially here in the US since there is -
12 well, the waste is an issue. I won't comment any further.

13 So, the first of these projects is with GE.
14 And they were going to redo an old process of neutron
15 activation of the moly-98 cold nuclide to produce
16 moly-99. And this is the way moly used to be produced
17 years ago before fission took over in popularity.

18 The biggest issue with this is that moly
19 produced in this method typically is two to four orders
20 of magnitude less than a fission moly that we produce now.
21 And the problem is, is that then you need to use a bigger
22 column in your generator.

23 The column for an approximate comparison
24 for a fission moly used in the generator today, is about
25 the size of a piece of chalk from - hopefully you all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 remember what a chalkboard looks like - versus a column
2 for neutron capture moly is maybe about nine, ten inches
3 tall and about the thickness of a broom handle.

4 So, a much bigger column which produces -
5 which the biggest issue was that it limited the amount
6 of moly that you put on the column. So, the generator
7 should not nearly be as big as what we're accustomed to
8 today.

9 So, GE was trying to address this issue by
10 looking at a technology called gel generator technology
11 that I believe in India they are using, but they've only
12 been - in India, they're only successful with it with very
13 small generators. I don't even think they exceeded one
14 curie in size. So, completely ineffective for our uses.

15 And so, sadly at this point in time, GE has
16 put their program on hold. So, they're no longer an active
17 participant.

18 Another group that's looking is Morgridge
19 Institute of Research. And they've partnered with a group
20 called SHINE Medical Technologies. And they too have
21 gotten awards from - through the GTRI program, but
22 they're working on a brand new program - or process, I
23 should say, for producing moly.

24 And instead of using neutrons from a
25 reactor, they're trying to use a process of using

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 neutrons that are made from the reactions of two
2 different isotopes of hydrogen, of deuterium, or heavy
3 hydrogen, and tritium.

4 And so, in their proposed accelerator,
5 they'll be pushing ions towards the target chamber - or
6 the deuteron ions, excuse me, the gas flow is
7 accelerated. And the accelerating deuterons strike the
8 tritium gas in the target chamber creating neutrons.

9 And then the neutrons are multiplied by the
10 beryllium multiplier. And then these neutrons strike in
11 the blue segment there, the aqueous LEU target. So, it
12 starts a subcritical fission of the LEU uranium to
13 produce moly.

14 Their projected production rate for a
15 single unit like this that's about six feet tall, would
16 be about 500 six-day curies per week.

17 It is an interesting new process because it
18 doesn't involve a research reactor. However, one of their
19 big challenges will be dealing with the waste, because
20 they still have fission of LEU uranium.

21 A third company is Babcock & Wilcox and they
22 too are pursuing a different technology using what they
23 call a MIPS or a modular isotope production system, where
24 the fuel and the targets are one in the same liquid and
25 fission occurs.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And then at some point in time when they feel
2 there's enough moly has built up in the fission process,
3 they remove the solution, separate out the moly.

4 And of course whenever there's fission of
5 uranium like this with either process, neither process,
6 most processes, only a small percentage can actually
7 fission occurs to produce moly.

8 So, there's substantial cost savings to
9 putting the solution back into the reactor to let it
10 continue to build up its moly production.

11 They've been at this probably the longest
12 of the groups. And as they put it, they have completed
13 Phase 1 which included conceptual design,
14 bioengineering. They've completed research projects
15 with Argonne, Los Alamos, University of Purdue. They've
16 got their QA plan in place with the NRC, but then the other
17 challenge is market conditions.

18 And so, actually, they've put their project
19 on hold right now, too. They are not going further yet.
20 So, unfortunately, it too is on hold.

21 So, the fourth group in the US is NorthStar.
22 And they too are proposing a nontraditional method for
23 moly production.

24 Like the Canadians, they are using a
25 high-power electron beam generated by a linear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 accelerator. The beam strikes a solid tungsten window and
2 this creates a bremsstrahlung radiation or breaking
3 radiation.

4 And for a quick Physics lesson,
5 bremsstrahlung radiation is electromagnetic radiation
6 produced by deceleration of an electron and deflected by
7 another charged particle. Typically an electron.

8 The electron uses its kinetic energy and
9 it's converted into a photon because as all the
10 physicists will tell you here in this room, energy is
11 conservative.

12 So, and this reaction has a big - again,
13 we're starting - the GE reaction, we're starting with a
14 cold, stable moly-100 in this case. And the photon
15 interacting with the nucleus causes the ejection of the
16 neutron and it's converted to moly-99.

17 So, a huge upside to this process is that
18 there's no fission of LEU. There's no uranium, plutonium
19 or fission waste that they have to deal with.

20 The one downside they do have similar to the
21 GE process, is that it will be low-specific activity. So,
22 that is the challenge, but then they're also working on
23 new technology that I'll explain later to address that
24 issue.

25 So, I hitchhiked up to Argonne to see their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 linear accelerator that they're using for their test. And
2 the accelerator they have there, they're using - the beam
3 is - actually from the diagram on the left, the
4 accelerator sits about where it says "Argonne." And the
5 first unit you see is actually the beam splitter.

6 And part of the beam goes to the alpha
7 magnet, which in the photograph above it is the green
8 magnet structure or what I would call a U-turn magnet,
9 but I'm not a physicist. So, then this from one beam,
10 they're able to split it and irradiate the target on both
11 sides. Also in the inset, there's a smaller beam magnet
12 about - like bends at about ten degrees.

13 They have completed some tests on their
14 targets, but right now they're in the process of
15 upgrading the energy of their linear accelerator so they
16 can perform higher energy tests.

17 Now, they're also still moving forward for
18 their production plans and they're going to be building
19 a plant in Beloit, Wisconsin with about 12 or 14 sets of
20 linear accelerators.

21 And in the production plant, they'll have
22 two linear accelerators on each side of the target using
23 - they won't be directly aligned with each other. They'll
24 be offset with using a magnet very similar to the red
25 10-degree bending magnet.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 To me, personally, once they're fully
2 operational for the number of linear accelerators
3 they're going to be using, they're going to use the
4 electricity equivalent not to one, but three auto
5 assembly plants.

6 So, instead of having three large auto
7 assembly plants, there's just going to be this one plant
8 drawing the same amount of electricity. That's a lot of
9 electrons.

10 So, the target in moly production, this is
11 the actual target that they're using up at Argonne. In
12 the inset, this is a blown up image of the target holder
13 that has 25 slots in it. Currently they're using little
14 wafers - not wafers, but slugs for an inelegant
15 description, that's about a millimeter to 12 millimeters
16 in diameter. It's about the size of a dime of the
17 moly-100.

18 Now, if you've got two high-energy electron
19 beams on you, you're going to get warmed up. So, to remove
20 the heat from this, they're using helium gas to
21 recirculate through the target. And that's why you can
22 see the two big ports on either side. That's for the
23 helium and flow of cooling system.

24 For their actual production linear
25 accelerator system, they are planning on using a bigger

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 version of this target where the disc of moly will
2 actually be about 25 millimeters in diameter or about a
3 little bit bigger than a quarter.

4 So, no more physics, but hopefully you're
5 up for a little bit of chemistry here. As I've mentioned
6 before with the GE process and with the NorthStar
7 process, it's low-specific activity moly.

8 So, for a conventional generator system,
9 low conventional - or excuse me - low-specific activity
10 moly would require the larger column reducing the amount
11 of moly they could put on it. So, how to solve this
12 problem.

13 One way is the brand new generator
14 technology that involves what they call ABEC
15 chromatography, which is aqueous biphasic extraction
16 chromatography.

17 In this system, the first generator column
18 is an ABEC column. Or a little bit less of a mouthful would
19 be a primary separating column, or PSC is how we refer
20 to it.

21 The PSC is a polymer, a polyethylene glycol,
22 which is the static chain to the right on a base molecule
23 of polystyrene divinylbenzene. Like I said, the PSC.

24 And it's suitable for a two-phase system of
25 separating out liquid, separation of metal ions such as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 moly and technetium.

2 And the first big difference with this type
3 of generator system is that the current generator is the
4 moly on a solid phase aluminum column. In this generator
5 system, the moly is going to be in a liquid solution
6 adjacent to the generator.

7 The first step then would be to pass the moly
8 technetium mixture through the PSC column where the moly
9 has very little affinity for it, but great affinity for
10 the technetium. So, the technetium is going to be
11 extracted and adhere to the PSC while the moly passes
12 through and goes back to the vial.

13 So, this has an advantage in that since the
14 moly is all off or out of the mixture, you just have
15 technetium on your column. You can then pass saline
16 through your PSC to cool off your technetium.

17 And regardless of your low-specific
18 activity moly that you started with, you can get
19 high-specific activity technetium in your collection now
20 which is great for nuclear pharmacies for producing kits
21 as they do now.

22 So, this is a little bit better schematic
23 how the generator will operate. As I said before, the big
24 difference is the moly and the technetium in a mixture
25 in a solution in a vial separate from the generator.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 It's pushed through the ABEC PSC column, and
2 this actually shows it going to a separate vial. But in
3 essence, the moly would continue back to the original
4 solution vial.

5 the technetium is then alluded off of the
6 ABEC by saline running through the ABEC through the
7 aluminum guard column. And this is an additional
8 purification step just in case there is any additional
9 - or I shouldn't say additional, I should say residual
10 moly that's on the PSC. It will be trapped by the aluminum
11 on the guard column so to ensure that our final product
12 of technetium is equivalent to what we get now.

13 If you split this diagram in half, you
14 basically have a diagram for the technetium generator
15 that we have now where the moly is on the aluminum column
16 already and we just pass saline through it to pull off
17 the technetium.

18 the other advantage for this system is that
19 once the moly-99 has decayed away, the residual solution
20 can actually be returned to NorthStar and they plan to
21 recover the residual moly-100 that's in the solution that
22 was never irradiated.

23 So, there is of course an expense to having
24 moly-100. So, they're able - part of their economic
25 market plan is dependent on recycling the unused

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 moly-100.

2 So, this is the current plan they have - or
3 I shouldn't say - version of what they call their
4 TechneGen generator. And this is up at Argonne right now.

5 In it, you can see the two - but at the bottom
6 there at the vertical, those are two syringes that are
7 used for pulling and moving the solutions from the
8 different vials through the different columns.

9 Above them are multi-valve systems with
10 different lines going to the vials or the reagent vials
11 that are on top.

12 To the left you can see a stainless steel
13 and a lead-shielded vial. The one would be for the
14 moly-100 - or excuse me - the moly-99/technetium-99m
15 mixture. The other one is a waste vial.

16 The PSC column would sit - you can see the
17 four vials on top and there's a square lid there. That's
18 where the PSC column actually fits in this unit.

19 Different solutions fit on top, and the
20 technetium collection vial, shielded collection vial,
21 would fit on the far right of this unit.

22 This is - they've gotten to the point where
23 they are - actually, they've been active in talking to
24 the FDA and they're actually very close to submitting
25 this to the FDA. So, they do have a prototype what they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 call their moly-technetium reagent kit, which is good for
2 five elutions using this system.

3 In it is the primary separation column which
4 is difficult to see in the bottom right and a clear
5 plastic back. Behind that are the four different reagents
6 that will be used for the elutions.

7 Actually, one of the vials has hydrogen
8 peroxide in it that they'll use to clean and sanitize the
9 pathway of the tubing from the PSC to the collection vial.

10 There's a sodium hydroxide in it, which is
11 the solution used to move the moly-technetium mixture
12 through the PSC to the waste vial.

13 There's also - I forgot to mention this
14 earlier. There's also a vial of sodium acetate that once
15 the moly-technetium mixture has gone through the PSC
16 once, they use sodium acetate to run through it also again
17 as a purification step to pick up any residual moly that's
18 still on the PSC before they elude it to the guard column.

19 Also in this picture on the bottom left you
20 see blue that are small filters, adapters that in this
21 current kit attach to the vials before they put it on top
22 of the TechneGen. And all this right here is good for five
23 allusions.

24 The next part to this process is the
25 technetium collection kit. And this is what they have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 with their current version.

2 You have the guard column which is, sadly,
3 difficult to see to the left. In the middle is a new -
4 is a needle that they've worked on. It's actually a
5 two-in-one needle in that one puncture of the septum as
6 a port for flow of the technetium into it. And the other
7 port is used for venting the vial so there's equalization
8 of pressure and it flows easily.

9 The collection vial you see, and also from
10 a nuclear pharmacy perspective our old friend the blue
11 millipore sterilizing filter with a 0.22 pore. And this
12 is actually the same type of filter that's used in current
13 generators right now and its flow pathway.

14 So, this is assembling the collection kit
15 here and it's also showing the two-part lead shielding
16 that's used.

17 The collection vial of course goes in the
18 bottom half on the right. What the picture in the inset
19 is trying to show is this two-part needed. It's there if
20 you strain. I could have used a bigger, better picture,
21 but someone on the staff made a complaint about that, but
22 we won't go there.

23 What you can see just above the inset is the
24 guard column, the blue millipore filter, the dual
25 two-in-one needle adapter all assembled. And then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 there's a core plastic shield that goes over that.

2 That whole assembly then goes in the top
3 half of the lead shield that then is put together on the
4 bottom half holding the collection vial. And there's
5 little notches that fit into - or tabs that fit into
6 notches to lock it together securely.

7 This is then put on the right side of the
8 generator. So, you have - it's all set up. Your PSC's in
9 place, your vials, your solutions are in place, the
10 collection vial is there.

11 Then to lift the generator, you hit "Start"
12 on a computer. And the computer controls the vials, the
13 movement of the liquids through the different vials
14 through columns and whatnot. It's a completely automatic
15 process.

16 So, to borrow a line from - ad line from
17 Oldsmobile, this is not your father's generator. So,
18 completely different.

19 You can almost think of this more as a hybrid
20 between what we have for a standard technetium generator
21 now and what we use in PET for F18 and FDG synthesis with
22 the chemical synthesis unit. And likewise with the PET
23 module we add our reagents to it, put our vials, our
24 sterilizing filter in place. Close up the box between the
25 hot cell and then hit Start on the computer.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, there's been a couple different
2 variations in the FDG modules. More of a second
3 generation module. It would be set up similar to this to
4 where you have individual vials of solutions.

5 Current generations of FDG synthesis
6 modules now have a cassette where each individual vial
7 is already fixed in place into this plastic cassette that
8 can only go in one way into the module.

9 And so, that's a nice difference in that you
10 can imagine at 0 dark hundred in the middle of the night
11 a pharmacist trying to set this up where he might get the
12 vials in the wrong order. If you have this cassette, then
13 it eliminates that possibility.

14 So, and NorthStar is now working on that
15 cassette system. So, they plan to have that with our next
16 generation.

17 So, they have already done some testing with
18 this generation of TechneGen. And they've already tested
19 up solutions up to two curies of moly. And the technetium
20 elutions have passed all QC tests in regards to
21 sterility, pH, moly breakthrough.

22 And then they've also taken these elutions
23 and they've prepared technetium kits with them. And
24 quality control in the kits has also passed all quality
25 control tests. So, it's a system that's working very,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 very well.

2 So, this represents Part 2 of their plan.
3 Part 1, of course, is the production of moly. With their
4 process will be a problem with standard generator. But
5 this new technology, it's a very elegant solution.

6 In fact, they plan to go to market using moly
7 produced by the MURR reactor in Missouri using the same
8 process that GE was going to use. That is the neutron
9 bombardment of moly-98 to produce moly-99.

10 So, they're not waiting for their Beloit,
11 Wisconsin facility to be constructed. They're plan then
12 to the market sooner rather than later using moly from
13 Missouri.

14 And then once their production facility is
15 up and running, they then plan to use just the moly from
16 Wisconsin.

17 Now, the other interesting thing about this
18 generator is that conceptually it can be used for other
19 separations of different parent-daughter radionuclides.

20 And they've already tested it for
21 separating the alpha emitter bismuth-213 from its parent
22 actinium-225. And it's also been used for separating
23 gallium-68 from its parent of germanium-68.

24 So, the ABEC chemistry has other
25 applications besides technetium and moly that we may see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in the future.

2 So, we're making slow progress on new
3 reactors and new LEU targets. We do have some very
4 promising US producers for moly. And we have exciting
5 generator technology on the horizon and soon a new source
6 of moly from the MURR reactor here in Missouri using end
7 gamma reaction stable moly-98, but so far we still don't
8 have a solid and fair - fair plan yet and just how to pay
9 for all this.

10 Perhaps the biggest challenge in this whole
11 process will be obtaining adequate reimbursement, which
12 is of course likely to be for much more expensive moly
13 in the future.

14 So, metaphorically I would say we're
15 between the rock of the GTRI saying no more cheap HEU
16 fission moly for our field, and the hard place of limited
17 additional reimbursement from CMS for more expensive
18 moly. Thank you.

19 CHAIRMAN MALMUD: Thank you, Mr. Mattmuller.
20 Oh, I see we have some questions. Dr. Welsh.

21 MEMBER WELSH: Yes, thank you. Thank you,
22 Steve, for that wonderful presentation. And I can't help
23 but believe that it's not by accident that you omitted
24 one of the major players in this whole arena.

25 And just in the way of disclosure and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 fairness, you all know I've been on this committee for
2 about six years and I've given presentations very similar
3 to what Steve has just given.

4 And I think it was Dr. Malmud who said at
5 the conclusion of my presentation that it looks like I'm
6 calling for a very large Manhattan Project scale US
7 effort. And I was, but I've grown tired of holding my
8 breath waiting for that to happen.

9 So, I am on the board of directors for a
10 company that does plan to make moly in the United States.
11 And the name is Co-Key, in the way of disclosure.

12 Just in way of information, this is - the
13 plan is for twin 20 megawatt research reactors to be
14 housed in Gainesville, Florida using in-depth designed
15 LEU fuel, LEU targets just like the OPAL reactor of ANSTO.
16 The projected output is 7,000 six-day curies per week,
17 365 days a year, which is very favorable compared to
18 anything else that's been proposed.

19 And also unlike some of the other innovative
20 technologies mentioned such as neutron capture and
21 photonuclear reactors, this technology makes moly-99 and
22 the therapeutic isotopes that are important to me as a
23 radiation oncologist.

24 Also, unlike some of the other innovative
25 concepts with low-specific activity moly, this approach

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 uses an FDA-approved extraction method for its moly which
2 is identical to the Australian OPAL reactor.

3 There was a presentation to the NRC on June
4 8th of this year. The environmental report gets submitted
5 in December of this year. So, just a little addition to
6 Steve's otherwise excellent and thorough presentation.

7 CHAIRMAN MALMUD: Thank you, Dr. Welsh. Dr.
8 Van Decker, I believe, was next.

9 MEMBER VAN DECKER: Well, first of all, I
10 want to thank both my colleagues. I thought it was great
11 scientific discussions and it's good to see activities
12 going on, because I think everyone in the clinical realm
13 is worried about the age of the reactors we're working
14 with and the HEU, LEU mandate. And so, you know, concerns
15 about, you know, what's available in the future.

16 I guess my question to both of you, and it
17 has to do with something else going on in parallel, is
18 where do you see the cost of technetium rising to
19 percentage-wise to where it currently is given any of
20 these methodologies and the hoops and the hassles and the
21 push buttons and - because access, I mean, access to the
22 patient is obviously the bottom line of this, right? So,
23 ballpark?

24 MEMBER MATTMULLER: Ballpark, easily double
25 the cost of moly is what I've read and seen, but that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for moly.

2 And so, in a good, efficient nuclear
3 pharmacy for every atom of moly or every millicurie of
4 moly, you can get five millicuries of technetium out in
5 a dose.

6 So, if there's a hundred percent increase
7 in moly, then there's a 20 percent increase in technetium
8 to the patient.

9 So, and this gets to be very contentious in
10 different economic models of the different groups.
11 There is the OECD, which is a European group mostly the
12 US is participating in. And they're looking at it and
13 they're just starting - or have just started a more
14 in-depth analysis of trying to get a better handle on
15 this.

16 It's hard to get good data from the
17 different manufacturers, different reactors. Some of
18 this is market sensitive data that they don't like
19 sharing. Some of the reactors get different levels of
20 government support. So, it's hard to figure out what it
21 really costs to make the moly.

22 Some of these reactors have other functions
23 as far as test facilities that generate income in that
24 regard as opposed to a reactor dedicated just to moly
25 production.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MEMBER VAN DECKER: So, the business model
2 concern of this is obviously you well alluded to, you
3 know, the CMS draft rule starting January 1st has put into
4 place a tiny incentive -

5 MEMBER MATTMULLER: Yes.

6 MEMBER VAN DECKER: - to the end producer.

7 MEMBER MATTMULLER: Yes.

8 MEMBER VAN DECKER: A tiny incentive to the
9 end user -

10 MEMBER MATTMULLER: Yes.

11 MEMBER VAN DECKER: - to purchase
12 LEU-produced moly.

13 MEMBER MATTMULLER: Right.

14 MEMBER VAN DECKER: Buy a new coding system
15 and add on the administrative cost in order to
16 incentivize the use of LEU from overseas rather than HEU.

17 MEMBER MATTMULLER: Right.

18 MEMBER VAN DECKER: The odds of that going
19 up the supply chain to all the people you just put there
20 to really making LEU-produced moly easily available -

21 MEMBER MATTMULLER: Right.

22 MEMBER VAN DECKER: - is, unfortunately,
23 unlikely to be the case by any stretch of the imagination
24 although it's kind of an interesting concept. And
25 obviously it is an investment of money that's going up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the chain and then going overseas.

2 So, you know, the concept of having an
3 investment at home that pushes science and technology and
4 may have other pieces to it, obviously, is attractive so
5 long as, obviously, the cost containment - and the
6 healthcare cost containment era also is a piece of the
7 puzzle.

8 MEMBER MATTMULLER: That's a great summary
9 as to why I put "fair," because - into my last statement
10 about fair reimbursement. Because what CMS is proposing
11 in a perfect world would work if everyone switch from LEU
12 to HEU moly at once and everything was then HEU, but this
13 is a gradual phase-in process for drop of HEU, increase
14 of LEU.

15 And so, how does a producer keep track of
16 this moly came from HEU, this came from LEU, this is
17 eligible for the additional payment, and then the
18 generator manufacturer has to keep track of it, and these
19 reactors don't operate every day of the week throughout
20 the year, you know, they have to shut down for maintenance
21 and such.

22 So, the pharmacy could have a generator with
23 LEU-produced moly in it this week. The same generator
24 next week would be HEU. So, then how do you keep track
25 of that with your different customers and they keep track

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of it to say, okay, I can charge \$10 more for this.

2 It's very, very cumbersome and complicated
3 and impractical.

4 CHAIRMAN MALMUD: Dr. Suleiman.

5 MEMBER SULEIMAN: Nice presentation. I've
6 been involved with the OECD group and typical technetium,
7 it depends on the drug, which costs differently, run
8 around four to \$700 for the entire procedure.

9 The cost of the technetium-99 component
10 depending on the size of generator you buy, whatever, has
11 been estimated to be in the several dollar range.

12 When CMS made the decision to go with a \$10
13 - July 6th they proposed in a Federal Register document
14 to reimburse preferentially an additional \$10 for
15 LEU-manufactured technetium-99. And the intent of that
16 was to stimulate, because you now have all the molybdenum
17 coming from Australia and from - South Africa also sends
18 a pure LEU-based moly. It's not all being sold.

19 Because right now even though at some point
20 the NRU, the Canadian reactor is going to go offline, they
21 are online right now and they're producing a significant
22 amount of HEU-produced moly. So, you have a financial
23 disparity.

24 So, the intention was to sort of stimulate
25 and get people to start buying the LEU-produced moly-99

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and give them a \$10 out of a \$700 - one of the companies
2 has actually stated that they will be producing 100
3 percent LEU-based moly effective next year.

4 And the other question is, will there be
5 people who are willing to buy? You know, is that, or isn't
6 it? I mean, I've raised the question where I've heard the
7 critics and I said, it's just an experiment. If there are
8 no takers, then it will fail.

9 This is just intended to make the transition
10 smoother. CMS shouldn't have bothered with the \$10
11 simulation and wait for the reactor in 2016 to shut down.
12 The transition will happen. It will just be much more
13 bumpy.

14 So, I think CMS over and above their usual
15 work, you know, made an effort to try to help stimulate
16 this transfer. So, that's the intent there.

17 The comment was published July 6th. So, I
18 guess they'll come out - they do this annually. So, you
19 talk about getting rulemaking. It's always fascinating
20 for the regulatory agencies, but every year they
21 apparently do this to set the prices for Medicare
22 reimbursement on an annual basis.

23 So, they say this is what we're going to pay
24 for next year, and we publish it and they allow people
25 to comment for 60 days. And they do this every, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 every year. So, I assume there will be some sort of final
2 decision on this.

3 But right now there's actually a large
4 supply of molybdenum out there. And the firms that have
5 invested in the LEU production were sort of saying, we're
6 there, but there aren't any buyers.

7 Now, clearly that will shift dramatically
8 in 2016. So, I think things are moving in the right
9 direction, but the cost, the technetium component of the
10 total procedure, including the drug, is extremely,
11 extremely small, you know.

12 CHAIRMAN MALMUD: I think the encouraging
13 news is that there are many different groups working on
14 this. And, therefore, the marketplace will dictate which
15 are or which one is successful.

16 Hopefully it will be more than one
17 successful one and try and keep the cost as low as
18 possible in the open marketplace.

19 So, it's encouraging because only last year
20 there was gloom and doom with regard to the supply of moly
21 for the production of technetium, and now it's much more
22 optimistic. So, we thank you for a very thorough
23 presentation and I think we're all encouraged by it.

24 If we may, we'll move on to the next item
25 on the agenda, which is NRC staff. And I think - is Sophie

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Holiday going to do the work? Yes, Ms. Holiday.

2 MS. HOLIDAY: Hello, everyone. All right.
3 This is our favorite part where we pull out our calendars.
4 We're going to pick our tentative dates for the spring
5 2013 meeting.

6 As you may recall, I sent out a Meeting
7 Wizard scheduler where everybody gave feedback for their
8 possible open dates.

9 One thing I want to mention before I give
10 these dates is the Committee has brought up that they
11 would like to meet with the Commission. I have tentative
12 dates that are possible for us to meet with the
13 Commission, and then I have a separate set of dates where
14 if you would like to plan it without having a Commission
15 meeting.

16 CHAIRMAN MALMUD: What are the possible
17 dates for the Commission?

18 MS. HOLIDAY: Okay. The number one choice for
19 a possible Commission meeting would be April 22nd and
20 April 23rd. That would be a Monday and a Tuesday.

21 CHAIRMAN MALMUD: Well, what about choosing
22 that one preferably if we can get a group together since
23 that would be able to reduce the number of travels.

24 MS. HOLIDAY: Okay.

25 CHAIRMAN MALMUD: How about the 22nd and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 23rd?

2 MS. HOLIDAY: Okay.

3 CHAIRMAN MALMUD: Is anyone not available
4 the 22nd and 23rd of April?

5 MEMBER PALESTRO: I'm not.

6 CHAIRMAN MALMUD: Chris, you're not.

7 MEMBER PALESTRO: No, I'm not.

8 CHAIRMAN MALMUD: How about April 15th and
9 16th?

10 MS. HOLIDAY: The only other date that would
11 be available for the Commission would be May 13th and May
12 14th.

13 CHAIRMAN MALMUD: May 13 and 14?

14 MS. HOLIDAY: Yes, and that's another Monday
15 and Tuesday.

16 CHAIRMAN MALMUD: May 13, 14, anyone not
17 available then?

18 MEMBER PALESTRO: Me again.

19 CHAIRMAN MALMUD: Well, if that's not
20 possible, then we would have to have a small group come
21 down and meet with the commissioners on a separate day.

22 So, it looks like April is shot if Dr.
23 Palestro can't make the 13th and 14th. There are no other
24 two other days available that don't conflict with the
25 National meeting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So, let's go back to March.

2 MS. HOLIDAY: So, if we were to consider days
3 where we know the Commission is not available, it seems
4 like the best date for everybody where I did not see
5 conflict was April 15th and 16th. That's also another
6 Monday and Tuesday.

7 CHAIRMAN MALMUD: Is everyone available
8 April 15th and 16th?

9 MEMBER MATTMULLER: Can we bring our tax
10 accountants with us?

11 (Laughter.)

12 CHAIRMAN MALMUD: April 15th, 16th sold.

13 MS. HOLIDAY: Okay. So, I'll put that as our
14 first choice.

15 Now, we actually have a couple of choices
16 as our backup dates. I have Thursday, April 18th, and
17 Friday, April 19th.

18 CHAIRMAN MALMUD: Is everyone available for
19 that date?

20 MEMBER PALESTRO: That's the ABS meeting.

21 MS. HOLIDAY: ABS?

22 CHAIRMAN MALMUD: 18th and 19th, so that's
23 out.

24 How about April 22, 23? Not available, all
25 right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 April 29, 30 as the backup date.

2 MS. HOLIDAY: Dr. Palestro, are you
3 available at that date?

4 MEMBER PALESTRO: Yes.

5 MS. HOLIDAY: Okay.

6 CHAIRMAN MALMUD: Looks like we have no
7 indication of unavailability on that date. So, that could
8 be our backup, 29, 30.

9 MS. HOLIDAY: Okay. So, then I have our
10 first choice is April 15th and 16th, and our backup date
11 would be April 29th and 30th.

12 And then, if it helps, then we could arrange
13 a separate meeting with the Commission with a smaller
14 group.

15 CHAIRMAN MALMUD: Yes.

16 MS. HOLIDAY: Do we have a problem with
17 meeting those dates or those proposed - no, okay. And,
18 actually, I have a handout to pass out.

19 (Pause in the proceedings.)

20 MS. HOLIDAY: Just one sheet. This is the
21 portion where we go over our recommendations and our
22 action items that were brought forth during these two
23 days.

24 (Pause in the proceedings.)

25 MS. HOLIDAY: Okay. Item Number 6, Dr. Malmud

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 asked NRC staff to find data on events in which the
2 radiopharmacy has dispensed the incorrect amount of a
3 radiopharmaceutical. That is an NRC action item.

4 Are there any questions, comments or
5 concerns with that item?

6 MEMBER WEIL: Did we ask for a broader range
7 of incorrect administrations or dispensing? Was it just
8 incorrect amount? Was it incorrect isotope? I mean, it
9 was a request for error.

10 CHAIRMAN MALMUD: Yes, the issue was the
11 usefulness of the dose calibrators.

12 MEMBER WEIL: Right.

13 CHAIRMAN MALMUD: And, therefore, it was
14 specific to the dosage.

15 MEMBER WEIL: Just to dosage.

16 CHAIRMAN MALMUD: The dose calibrator would
17 not be intended to detect the wrong isotope, although it
18 -

19 MEMBER WEIL: But it would.

20 CHAIRMAN MALMUD: - would by accident, yes.
21 We discussed that, but we did ask for - do you want to
22 broaden the request to incorrect pharmaceutical -

23 MEMBER WEIL: If that's feasible.

24 MR. EINBERG: It is feasible.

25 MS. HOLIDAY: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. EINBERG: We're already doing this
2 preliminary -

3 CHAIRMAN MALMUD: Might as well.

4 MR. EINBERG: We're already looking at some
5 preliminary numbers, but we don't have the capability
6 in-house to do a detailed search. So, we're going to -
7 but in the past 10 years there has been 650 human errors.

8 And so, from that we need to refine the
9 dosage in isotopes.

10 MEMBER WEIL: Ten years?

11 MR. EINBERG: Ten years. And that's a
12 preliminary number.

13 MS. COCKERHAM: Dr. Malmud?

14 CHAIRMAN MALMUD: Yes.

15 MS. COCKERHAM: Could I just ask Ms. Weil if
16 their revision on the screen reflects what you - the
17 clarification now?

18 MR. EINBERG: Can you read it for us?

19 MS. COCKERHAM: Sure. It says Dr. Malmud
20 asked NRC staff to find data on events in which the
21 radiopharmacy has dispensed the incorrect amounts of a
22 radiopharmaceutical or the incorrect
23 radiopharmaceutical.

24 CHAIRMAN MALMUD: Thank you.

25 MS. HOLIDAY: Okay. Moving on to Item 7, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 ACMUI recommends licensing radium-223 dichloride under
2 10 CFR 35.300 and recommends, but does not recommend
3 requiring direct measurement of activity before and
4 after administration.

5 CHAIRMAN MALMUD: Correct.

6 MS. HOLIDAY: Okay. Moving on to Item 8, the
7 ACMUI endorses the Committee report that was submitted
8 on July 16th, 2012 with the following changes. We just
9 wanted to make sure we captured this in open form directly
10 what we wanted to change in the report.

11 Number 1 was recommend licensing of
12 radium-223 dichloride under 10 CFR 300 and recommend, but
13 not require, direct measurement of activity before and
14 after administration.

15 Number 2, remove statement regarding
16 applicability of report for all future alpha-emitting
17 particles.

18 And Number 3, remove the statement
19 regarding radium-223 dichloride significantly
20 prolonging survival. The ACMUI will submit a report to
21 the NRC staff with the aforementioned changes.

22 CHAIRMAN MALMUD: We all agree.

23 MS. HOLIDAY: Okay. Moving on to Item 9, the
24 ACMUI requested that the reporting structure reviews
25 remain on an annual basis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN MALMUD: Correct.

2 MS. HOLIDAY: Okay. Moving on to Item 10,
3 today Dr. Malmud created a subcommittee to review the
4 refined abnormal occurrence criteria and provide
5 recommendations to NRC staff.

6 The subcommittee members include Dr.
7 Langhorst as the chair - oh, I'm sorry, that's supposed
8 to be Ms. Bailey, Ms. Weil, Drs. Palestro, Dr. Welsh, Dr.
9 Thomadsen and Mr. Mattmuller. The NRC staff resource
10 person will be Ms. Angela McIntosh.

11 CHAIRMAN MALMUD: That is correct.

12 MS. HOLIDAY: Okay. And our last item is Dr.
13 Langhorst asked NRC staff to provide direction as to
14 whether or not the trigger criteria needs to be a part
15 of the abnormal occurrence criteria, or if the trigger
16 criteria could be used separately.

17 Did I capture that correctly?

18 CHAIRMAN MALMUD: That's correct.

19 MEMBER LANGHORST: Yes.

20 MS. HOLIDAY: Okay. And then of course the
21 last item which has not been entered yet is that we have
22 proposed that the spring 2013 meeting date will be April
23 15th and 16th, with a backup date of April 29th and 30th.

24 CHAIRMAN MALMUD: Correct.

25 MS. HOLIDAY: Okay. So, now I just want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 touch upon the last bit of administrative items. For
2 those of you who did not submit a financial disclosure
3 form, I will send you the address via email.

4 At this time, could you remove your name
5 tags? I will need those for the next meeting. And, also,
6 could you write down your hours for the pay period so that
7 I can submit that as well? And that concludes my
8 presentation.

9 (Discussion off the record.)

10 CHAIRMAN MALMUD: If there are no other items
11 for presentation, we will adjourn the meeting to meet
12 again in April on the dates we have determined to be dates
13 set, and the backup date as well. Thank you all. I thank
14 you all for your participation and presentations. Thank
15 you, and have a safe trip home. Look forward to seeing
16 you at the next meeting.

17 (Whereupon, the above-entitled matter went
18 off the record at 12:36 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com