

**Official Transcript of Proceedings**  
**NUCLEAR REGULATORY COMMISSION**

Title: Advisory Committee on the Medical Uses  
of Isotopes : Open Session

Docket Number: (n/a)

Location: Rockville, Maryland

Date: Tuesday, April 16, 2013

Work Order No.: NRC-182

Pages 1-163

UNITED STATES OF AMERICA  
NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

+ + + + +

OPEN SESSION MEETING

+ + + + +

TUESDAY, APRIL 16<sup>th</sup>, 2013

+ + + + +

The Open Session portion of the meeting was convened in Room T-2B3 of Two White Flint North, 11545 Rockville Pike, Rockville, Maryland, at 8:00 a.m., Leon S. Malmud, M.D., ACMUI Chairman, presiding.

MEMBERS PRESENT:

LEON S. MALMUD, M.D., Chairman

BRUCE THOMADSEN, Ph.D., Vice Chairman

DARICE G. BAILEY, Agreement State Representative

MILTON GUIBERTEAU, M.D., Diagnostic Radiologist

SUSAN LANGHORST, Ph.D., Radiation Safety Officer

STEVEN MATTMULLER, Nuclear Pharmacist

CHRISTOPHER J. PALESTRO, M.D., Nuclear Medicine  
Physician

JOHN SUH, M.D., Radiation Oncologist

ORHAN SULEIMAN, Ph.D., FDA Representative

WILLIAM VAN DECKER, M.D., Nuclear Cardiologist

LAURA WEIL, Patients' Rights Advocate

JAMES WELSH, M.D., Radiation Oncologist

NRC STAFF PRESENT:

BRIAN MCDERMOTT, Director, Division of Materials Safety  
and State Agreements

CHRIS EINBERG, Chief, Radioactive Materials Safety  
Branch, Designated Federal Officer

SANDRA GABRIEL, Ph.D., Acting Medical Radiation Safety  
Team Leader

ASHLEY COCKERHAM, ACMUI Co-Coordinator, Alternate  
Designated Federal Officer

SOPHIE HOLIDAY, ACMUI Co-Coordinator

NEELAM BHALLA, FSME/DILR/RPMB

STEPHANIE BUSH-GODDARD, Ph.D, RES/DSA/RPB

SUSAN CHIDAKEL, OGC/GCLR/RMR

JIM DWYER, RI/DNMS/MB

SARA FORSTER, RIII/DNMS/MLB

CASSANDRA FRAZIER, RIII/DNMS/MLB

MICHAEL FULLER, COMM/OCM

LATISCHA HANSON, RIV/DNMS/NMSB-A

VINCENT HOLAHAN, Ph.D., FSME

DONNA-BETH HOWE, Ph.D., FSME/MSSA/RMSB

DEBORAH JACKSON, FSME/DILR

ED LOHR, FSME/DILR/RMPB

KEVIN NULL, RIII/DNMS/MLB

PATTY PELKE, RIII/DNMS/MLB  
GRETCHEN RIVERA-CAPELLA, FSME/MSSA/RMSB  
MOHAMMAD SABA, RES/DSA/RPB  
SAMI SHERBINI, Ph.D., RES/DSA  
RONALD ZELAC, Ph.D, FSME/MSSA/RMSB

MEMBERS OF THE PUBLIC:

PAUL BESSETTE, VIEWRAY  
DAVID BREUNING, VIEWRAY  
ROBERT DANSEREAU, NY STATE DEPT OF HEALTH  
WILLIAM DAVIDSON, UNIV OF PENNSYLVANIA  
JAMES DEMPSEY, Ph.D., VIEWRAY  
DANIEL DUVALL, M.D., CMS  
LYNNE FAIROBENT, AAPM  
RILLA HAMILTON, NNSA  
KAREN LANGLEY, UNIV OF UTAH  
ANDREW MCKINLEY, ASNC  
MICHAEL PETERS, ACR  
JOE RODGERS, THERAGENICS CORPORATION  
MICHAEL SHEETZ, UNIV OF PITTSBURGH  
PARRISH STAPLES, NNSA  
MICHAEL STEPHENS, FL BUREAU OF RADIATION CONTROL  
CINDY TOMLINSON, ASTRO  
NANCY WERSTO, FDA  
PAUL YURKO, VETERANS HEALTH ADMINISTRATION

TABLE OF CONTENTS

	<u>PAGE</u>
11. 10 CFR Part 35 Rulemaking Update	5
12. Status of Data Collection on Patient Release	8
13. The NNSA's Efforts to Minimize the Use of Highly Enriched Uranium in Molybdenum-99 Production	33
14. The Federal Government's Center for Medicare And Medicaid Service's (CMS) 2013 Reimbursement Policy for non-HEU Produced Medical Isotopes	62
15. Status of Licensing Guidance for ViewRay™	92
16. Thyroid Cancer Survivors' Conference	100
17. Germanium-68/Gallium-68 Generator, Clinical Uses and Regulatory Relief	137
18. Administrative Closing	159

## 1 P R O C E E D I N G S

2 CHAIRMAN MALMUD: Good morning, everyone, and  
3 today's session will be on the new agenda that was  
4 distributed yesterday so that the first item on the agenda  
5 is being presented by Ms. Bhalla and Mr. Lohr, which is 10  
6 CFR Part 35 rulemaking update.

7 MS. BHALLA: Good morning, Dr. Malmud, and members  
8 of the committee. This is Ed Lohr and me, Neelam Bhalla,  
9 and we are from the rulemaking group from FSME. We don't  
10 have a lot here to say today except for -- give you and  
11 update for this proposed Part 35 rule making. Next slide.  
12 Okay.

13 I'm just going to, as I said, provide the  
14 rulemaking update. Basically we have had, as you all know,  
15 the ACMUI review of the proposed draft and then we had also  
16 said the proposed draft to the agreement states for their  
17 preliminary review. These -- as you know, the draft has  
18 just now gone to the Commission, but you did have the  
19 opportunity to review and provide your comments. So we have  
20 received the comments from the agreement states as well.  
21 Besides that, we have our internal process where we send to  
22 -- within the agency to different groups for their reviews.  
23 So now we have all the comments and the working group has  
24 started to resolve those comments.

25 The next step that's going to happen is a comment  
26 resolution, how we convey this proposal to the Commission is  
27 -- we call it a SECY paper. So in this SECY paper, so as  
28 far as ACMUI goes, so we would be providing your report that

1 you provided to us the last -- the final report came last  
2 week, April 9th, and we do want to thank the committee and  
3 Dr. Zanzonico on that for the very thorough review and the  
4 comments.

5           So what will go to the Commission is that report,  
6 the way it is, as an enclosure, and along with that will be  
7 another enclosure which will provide to the Commission how  
8 the staff resolved ACMUI's comments and the comments that we  
9 did take, and perhaps there may be some comments that the  
10 staff perhaps did not accept. But we would have to give a  
11 pretty good reason as to why the staff did not take those  
12 comments.

13           So the scheduled proposal -- rule is still on  
14 schedule to go to the Commission mid-2013. That's this  
15 year, in a few months. And then final rule is due to the  
16 Commission late 2014 because as you know once we -- once the  
17 rule goes to the Commission, the pending Commission  
18 approval, the rule will be published in February  
19 [unintelligible] for certain time period, and for this one  
20 we proposing 90 days, but that's again up to the Commission.  
21 May extend it for a little bit longer or make it shorter.  
22 And then that same process will start for the final rule.  
23 We will be going over the comments, resolve the comments,  
24 and again the final rule would come to the committee as  
25 well. And then we do the comment resolution and eventually  
26 the final rule would go to the Commission and that plan is  
27 late 2014. Ed, do you want to add anything?

28           MR. LOHR: I think you've covered it very well,

1 Neelam. And of course we want to entertain any questions  
2 that the committee may have on the process and know where we  
3 are.

4 CHAIRMAN Malmud: Are there any other questions  
5 from members of the committee? Dr. Langhorst.

6 MEMBER LANGHORST: I would just request that at  
7 least 90 days be given for a comment period, if not a little  
8 bit longer, because I think the length of our discussions on  
9 teleconferences gives you an idea that this is a pretty  
10 expansive change and we want to make sure that the licensees  
11 have plenty of time and others to comment on the many  
12 changes that are being proposed, so I would hope it is at  
13 least 90 days.

14 CHAIRMAN MALMUD: Any other comments? If not,  
15 thank you. Ms. Bhalla, Mr. Lohr, thank you very much. I'll  
16 say personally that it's been a pleasure working with you  
17 over these years. You've been very helpful to us in  
18 clarifying issues, patient with us, and understanding our  
19 perspective, and we're very supportive of the effort. Thank  
20 you both.

21 The next item on the agenda is the status on data  
22 collection on patient release. And we're a bit ahead of  
23 schedule. Is Mr. Saba here?

24 MR. SABA: Good morning, Dr. Malmud and members of  
25 the committee. I'm Mohammad Saba, the project manager for  
26 the patient release study, and it's my pleasure to give you  
27 an update on the project this morning.

28 Basically, in the first few slides -- oh, it's not



1 this -- this picture shows how difficult it is, the job for  
2 balancing the situation if it needs two groups. There are  
3 two groups. One group that believes we are too conservative  
4 in assumptions, methods, calculations in Reg Guide 839, and  
5 we should revisit that objective. And the other group  
6 thinks we are too relaxed -- oh, and we have to relax that.  
7 We should change the regulation to what it was before, the  
8 old rules that was more conservative.

9           Basically, for the next few slides I give you an  
10 update. I'll give you a brief background, and history of  
11 the subject, just to refresh your memory, and then give you  
12 updates on what we have done and what we are going to do on  
13 the project. Previous rule -- the current rule was -- it  
14 became effective in 1997. And before 1997 patient release  
15 was based on the following. I just extracted it from the  
16 old regulation. The licensee authorized release from  
17 confinement for medical care and patient are all human  
18 research subjects, administered a radio pharmaceutical unit  
19 until the measure dose surveyed of the patient or the human  
20 research subject is less than five millirems per hour at a  
21 distance of one meter, or the activity in the patient is --  
22 or in the human subject is less than 30 millicuries.

23           A major advantage of the old rule was the release  
24 was based on directly measurable criteria, i.e. the activity  
25 of volume at the time of release, or the dose rate of one  
26 meter from the patient. A significant disadvantage of the  
27 previous rule was that it was not flexible. It didn't allow  
28 for the specific conditions of patients fall and release.

1 If we knew the patient -- was not -- is not going to be in  
2 contact with anybody after release, we couldn't release her  
3 yet.

4           On the other hand, the current rule is the same.  
5 A licensee may authorize the release of control of any  
6 individual who has been administered radioactive material or  
7 implant containing radioactive materials if the total  
8 effective dose to any other individual is not likely to  
9 exceed five millirem. The current rule is based on the --  
10 goes to members of the public. It does not provide the  
11 licensee with a measurable quantity to be used for releasing  
12 the patient. Therefore, a model is required to translate  
13 the release criterion in to an operational quantity. That's  
14 why NRC came up with guidance. The model suggests that --  
15 by NRC by use of licensee to determine the release criterion  
16 is described in Regulatory Guide 8.39. Release of patient  
17 administered radioactive material.

18           The model provides two options for the licensees.  
19 The first option is to use the fall parameters. The second  
20 option is to use specific parameters. So it's more  
21 flexible.

22           The other parameters include effective half-life  
23 of radio isotopes, duration of exposure of the member of the  
24 public, attenuation of radiation in the patient, and the  
25 target, et cetera. It is very interesting to note that the  
26 use of the default parameters in the model leads to a  
27 release criterion that is nearly identical to the 30  
28 millicuries retained activity criterion in the old rule, and

1 of course this is not coincidental -- we didn't push.

2 As you know, the Commission last year directed the  
3 NRC staff to review publicly available data and see if any  
4 data is missing. Either reproduce the data or -- and so we  
5 had to do some collection of data if you need to do.

6 Assessment of the rule is not within the scope of  
7 this work. Basically, the objective of this work is to see  
8 how well a patient release practices are working, and to  
9 what extent the 500 millirem limit is met. In addition, the  
10 Commission directed the staff to examine the methods and use  
11 in Regulatory Guide 8.39 to calculate the dose to members of  
12 the public and to recommend this as appropriate. The items  
13 to be reviewed include use of point source and point target,  
14 use of gamma ray constant. No credit for self-absorption in  
15 the patient or target. No credit for biological  
16 elimination, occupancy factor .25.

17 Now, I give you an update on the project. So far  
18 we have reviewed so many papers including the guidance from  
19 ICRP, NCRP, and IAEA. There appears to be sufficient data  
20 in the literature to reach reliable conclusions on exposure  
21 of the member of the public, both for external and  
22 internals. But we didn't find enough data for one area: the  
23 exposure to workers in nursing homes and hotels. As you  
24 know, some of the patients decide to go to hotels after  
25 release from the hospital. NRC is -- and we found it very  
26 difficult because of different reasons to go through these  
27 facilities and collect data, and so the NRC staff came up  
28 with another approach to stipulate the situation. The NRC

1 staff is conducting calculation using state of the art  
2 phantoms and Monte Carlo calculations to represent the  
3 patient and the target, and to calculate doses.

4           We are -- currently we are doing some QA tests on  
5 our patient -- on our phantom, and we are confident that the  
6 results are close, very close to what experimental data is.  
7 Calculations are designed to assess doses in various  
8 situations such as public transportation, hotels, at home,  
9 et cetera. After this point, it is unclear if any NRC  
10 initiative measurements will be needed. This will be clear  
11 at the end of our literature review. The NRC staff has been  
12 in contact with so many medical centers to get more  
13 information to -- about the patient release practices and  
14 calculations, and any data that they have concerning  
15 exposure of members of the public. This will really help us  
16 to come up with a good quality reg guide in the future.

17           The work is scheduled to be completed by the end  
18 of 2016, but maybe we can finish it earlier. Our first  
19 report would be a draft NUREG. We are going to send it to  
20 FSME and ACMUI for your comments at the end of this year.

21           And the last slide, I provide this flow chart  
22 which is a summary of the project. As you can see, for both  
23 internal and external data for family members, we think we  
24 have enough data in the literature for the family members,  
25 how much exposure they get. But for hotel and nursing home  
26 workers, we may need to perform calculations, time and  
27 motion studies, and also get some information about  
28 procedure of data from medical centers. And for general

1 public we still will need -- we may need to perform some  
2 calculations and time and motion of studies. Once we are  
3 done with this first phase, we use the information we have  
4 from the first phase to develop Reg Guide 8.39. We use the  
5 information from our literature review and we use those  
6 calculations that we do with MCNP [spelled phonetically],  
7 and we also use information that we get as a result with  
8 interaction with medical centers. Okay, that's the end of  
9 it. Thank you so much.

10 CHAIRMAN MALMUD: Thank you, Mr. Saba. Are there  
11 questions?

12 VICE CHAIRMAN THOMADSEN: Mr. Saba, the time and  
13 motion information you're getting for nursing homes and  
14 hotels, how are you gathering that data?

15 MR. SABA: We should contract it out --

16 VICE CHAIRMAN THOMADSEN: Okay, that's not --

17 MR. SABA: It's not our --

18 VICE CHAIRMAN THOMADSEN: -- not set yet?

19 MR. SABA: No, not yet. It's -- there are  
20 questionable -- there are some questions about funding. We  
21 are not clear on that yet. And we don't know that we need  
22 it if we have enough data. We might not need it at all.

23 CHAIRMAN THOMADSEN: What sort of data would --

24 MR. SABA: Oh, for nursing home we might get some  
25 permission from the licensees, or maybe we are hoping that  
26 we might get some papers still in that area, but if we don't  
27 find it we have to do it.

28 CHAIRMAN MALMUD: Dr. Suleiman, you had a

1 question?

2 MEMBER SULEIMAN: Yes. Orhan Suleiman. Why not  
3 just badge some of these sites with all their personnel in a  
4 perspective study, including maybe the carts they carry  
5 around, including themselves, just to do a pretty  
6 comprehensive sweep and collect some real data? I think  
7 it'd be easier

8 MR. SABA: Yeah. That's what we were going to do,  
9 but there will be some legal issues. It should not be done  
10 by NRC, it will be done by contractors. But that's what we  
11 are going to do it with, yeah. I just wanted to tell you  
12 that there are -- we think that there are lots of difficulty  
13 in doing that.

14 MEMBER SULEIMAN: In a former life I remember  
15 having to tell sites to go ahead and badge employees that we  
16 suspected were getting below minimal. I did just for legal  
17 purposes. Go ahead, document it, and then you can feel  
18 safer later. So, yes, why couldn't anybody take that kind  
19 of approach?

20 CHAIRMAN MALMUD: Yes?

21 MEMBER MATTMULLER: Steve Mattmuller. I'm  
22 curious. Parts of 1997, when patients were hospitalized, I  
23 know, at least at our facility, we badged the nursing staff  
24 that took care of those patients, albeit the care was  
25 minimal. But have you considered use -- or maybe trying to  
26 find some of that old exposure data to nursing staff for  
27 those hospitalized patients as part of assimilation to what  
28 a hotel worker would get?

1 MR. SABA: We have been contacting with the  
2 medical centers. Yes, eventually, if we can't do any -- if  
3 we can't go to nursing homes, yes, or hotels, we can't do it  
4 that way and we -- yeah, most of the hospitals they have  
5 some data, but the only problem is we give instructions to  
6 the patient in the hospital, but when they go home, they are  
7 not instructed as much as they are in the hospital, so it's  
8 not the real case. That's...

9 CHAIRMAN MALMUD: Dr. Langhorst?

10 MEMBER LANGHORST: Mr. Saba, you did not mention  
11 whether you were looking at ACMUI's paper on this topic.

12 MR. SABA: Yes, we actually read that.

13 MEMBER LANGHORST: Okay. And looking at our  
14 calculational method --

15 MR. SABA: Yes.

16 MEMBER LANGHORST: Okay, so you -- I did want to  
17 note on your comment concerning the 30 millicuries as far as  
18 the old rule versus current, you really should say that's  
19 only limited to I-131 and it does not necessarily apply to  
20 any other --

21 MR. SABA: Yes.

22 MEMBER LANGHORST: -- isotope.

23 MR. SABA: Yes, thanks.

24 MEMBER LANGHORST: Thank you.

25 CHAIRMAN MALMUD: Thank you. Yes?

26 MEMBER ZANZONICO: Pat Zanzonico. Hi, one  
27 question. When you say that the work of your group is  
28 outside the scope or addressing the rules outside the scope

1 of the work, can you just clarify what that means?

2 MR. SABA: Oh, I'm sorry.

3 MEMBER ZANZONICO: You said that the assessment of  
4 the rule itself is not within the scope of this work.

5 MR. SABA: Yes, yes.

6 MEMBER ZANZONICO: What exactly does that mean?

7 MR. SABA: Clearly they told us don't touch  
8 regulations.

9 MEMBER ZANZONICO: Does that mean that the .5 rem  
10 dose is not in play?

11 MR. SABA: Yeah. It's not that.

12 MEMBER ZANZONICO: So now these are a couple of  
13 comments. One is I'm sure you're familiar with NCRP Report  
14 155 --

15 MR. SABA: Yes.

16 MEMBER ZANZONICO: -- which dealt in depth with  
17 this whole issue, and among the components of that report  
18 were occupancy factors other than the .25 value for  
19 different cohorts of exposed individuals. I still think  
20 that's the most comprehensive treatment of this subject. I  
21 have a biased view of it since I was on the committee that  
22 wrote the report. The -- and just to follow up Dr.  
23 Langhorst's comments, it's the 30 millicurie conformity  
24 between the old and new rule is not just for I-131, it's  
25 really just for I-131 hyper thyroid patients who have a very  
26 long biological half-life; it really doesn't apply -- you  
27 would at least apply the effective half-life to thyroid  
28 cancer patients in whom the biological half-life is much,



1 much shorter. So for .5 rem dose individuals, when needed,  
2 you'll get a much higher releasable activity.

3 Just one final point. Wes Bolt at University of  
4 Florida has really has been developing, publishing state of  
5 the art models, anthropomorphic models that are remarkably  
6 realistic and are very adaptable to all sorts of situations.  
7 And I know he's aware of this ongoing effort by NRC because  
8 I told him about it. And he would be very willing and  
9 certainly able to assist in adapting some of his models to  
10 this sort of calculation, and I can give you his contact  
11 information and so forth. But those I think really are  
12 considered state of the art anthropomorphic models for these  
13 sorts and other sorts of the dosimetric analyses.

14 MR. SABA: Thank you. Again, I told them that  
15 benchmarks are phantom against ICRP data and other  
16 experimental data, and we came really close to what they  
17 had. But thank you so much.

18 CHAIRMAN MALMUD: Ms. Weil?

19 MEMBER WEIL: The common motion studies and the  
20 other use of phantoms presupposes, I assume, that patients  
21 are being given good discharge -- will be using -- the model  
22 will use discharge instructions that are given to patients  
23 about keeping certain distances from certain members of  
24 their family and certain member of the public and other more  
25 vulnerable populations. And I question whether that's a  
26 good assumption to base this research on.

27 MR. SABA: Based on the --

28 MEMBER WEIL: Based on the understanding and

1 following of those instructions.

2 MR. SABA: Yeah, but we wanted to see what's in  
3 reality because the committee directed us to see how -- to  
4 what extent the 500 millirem has been met?

5 MEMBER WEIL: If people follow instructions.

6 MR. SABA: Yes --

7 MEMBER WEIL: But there's a large cohort of people  
8 --

9 MR. SABA: Yes.

10 MEMBER WEIL: -- who don't follow instructions.

11 MR. SABA: Yes

12 MEMBER WEIL: So you won't be capturing that  
13 information? About the people who don't follow those  
14 instructions.

15 MR. SABA: No.

16 MEMBER WEIL: So you're looking at a best case  
17 scenario as opposed to realistic scenario?

18 CHAIRMAN MALMUD: Perhaps it should be  
19 characterized as a compliant scenario versus a non-compliant  
20 scenario on the part of the patient.

21 MEMBER WEIL: No, I disagree with you, Dr. Malmud,  
22 because there's a question as to whether good instructions  
23 are being provided by the licensees in a way that patients  
24 can understand in a language that they understand with the  
25 time to discuss at a time when the patient is perceptive to  
26 instructions. I wouldn't put this off on the patient.

27 CHAIRMAN MALMUD: Well, I'm projecting, perhaps,  
28 to the general physician group, my experience since I treat

1 patients with I-131, and I go through great detail of what  
2 they should be doing and what they should not be doing, but  
3 noncompliance patients in the old days, when we treated them  
4 as inpatients, we found noncompliant inpatients as well who  
5 leave the room, walk down the hallway, urinate on the floor.  
6 So there's always a possibility of noncompliance whether in  
7 the hospital, to the hospital staff, and other members of  
8 the public, or at home. That risk always exists. Under any  
9 circumstances the risks exist.

10 I do agree with you, though, that part of the  
11 treatment plan includes detailed radiation safety  
12 precautions. My own personal feeling about it is that when  
13 the patient goes home, he or she understands the risks to  
14 the family and tends to be very, very compliant. Even  
15 asking questions about what they could and could not do, how  
16 close they can be, et cetera. The risk is always that the  
17 patient will not go home and check in to a hotel without  
18 calling us that they're doing it. That risk exists. In the  
19 hospital the risk is that treating patients on an inpatient  
20 basis will give to the nursing staff and to the ancillary  
21 staff in the hospital radiation burdens over the course of a  
22 period of time, which are greater than anyone in the public,  
23 including the medical staff, would receive exposure casual  
24 exposure through the individual patient. And this was all  
25 discussed at length by this committee prior to my joining  
26 the committee, more than 10 years ago, and that's how the  
27 current rules came to be. So what's being asked for now is  
28 actual calculations and numbers for the models that are

1 shown there. So we will see the data. The data should be  
2 interesting. And as one of my colleagues here pointed out,  
3 the 30 millicurie rule is generally for patients who are  
4 being treated for hyperthyroidism, with just smaller dose  
5 compared to 100 millicuries for cancer, but the half-life --  
6 the biologic half-life in the patient's body is longer.  
7 Whereas, with a high dose, 100 millicuries of I-131 given  
8 orally, for thyroid cancer the biologic half-life was quite  
9 brief. Most of the dose doesn't go to the thyroid tissue,  
10 or other target organs of the body is urinated out with 24  
11 hours.

12           The situations are quite variable and there is no  
13 single perfect solution to the problem, but hopefully the  
14 data will help this committee to come up with a deliberation  
15 which results in the least risk to members of the public  
16 including nurses and other ancillaries, and try to achieve  
17 what you are pointing out to us which is not giving an  
18 unknown member of the public radiation exposure which could  
19 be prevented. We're sensitive to it.

20           SUSAN LANGHORST: Dr. Malmud?

21           CHAIRMAN MALMUD: Dr. Langhorst.

22           MEMBER LANGHORST: I wanted to just clarify one  
23 thing that you said. You mentioned occupational staff  
24 getting radiation burns and I wanted to ask you if you meant  
25 radiation exposure?

26           [talking simultaneously]

27           [laughter]

28           MEMBER MATTMULLER: I heard that too.

1           MEMBER LANGHORST: Sorry, I heard -- I apologize.  
2 I heard that incorrectly.

3           CHAIRMAN MALMUD: Apologize for my pronunciation,  
4 but the word was "burden."

5           MEMBER LANGHORST: Thank you.

6           [laughter]

7           CHAIRMAN MALMUD: I'm glad you were listening.

8           [laughter]

9           MEMBER LANGHORST: I was.

10          CHAIRMAN MALMUD: Any other comments? If not,  
11 thank you very much. Mr. Saba, the committee will look  
12 forward to seeing the results of these studies --

13          MR. SABA: Thank you so much.

14          CHAIRMAN MALMUD: -- and coming up with a solution  
15 which meets the needs of not only the patient but members of  
16 the public, and as soon as possible. Thank you. We're a  
17 bit ahead of schedule. May we go on with the next item?

18          MS. HOLIDAY: Dr. Malmud, this is Sophie. I don't  
19 believe the next two presenters have arrived yet, so if  
20 possible could we hold off until they arrive?

21          CHAIRMAN MALMUD: In that case, Sophie, may we  
22 used these next 15 minutes for you to deal with us with some  
23 issues that you'd normally bring up at the end of the  
24 meeting such as travel and time?

25          MS. HOLIDAY: Certainly. Okay, so I guess I will  
26 flip to the very back of our big handout here, which is when  
27 we start looking at dates for the fall meeting. So I know  
28 that early on I sent out a MeetingWizard request to poll the

1 committee members as to their availability for a fall  
2 meeting. Initially, we had offered up both September and  
3 October dates, but I think it was a couple weeks ago staff  
4 came to the conclusion that an October meeting was not  
5 feasible with all of our schedules here, so I think we are  
6 going to look at September dates. I believe out of all the  
7 responses that I've got -- correct me if I'm wrong -- but I  
8 believe September 9th and September 10th, that's a Monday  
9 and a Tuesday, worked for everybody. Dr. Langhorst, correct  
10 me. I think that was the date I asked you for or --

11 MEMBER LANGHORST: Yeah, it's only a Radiation  
12 Safety Committee for me so -- maybe mid meeting for my own  
13 RSC, so I will have someone else cover it.

14 MS. HOLIDAY: So I would like to thank Dr.  
15 Langhorst for bending her schedule for us. I guess I would  
16 like to reconfirm with everybody that September 9th and the  
17 10th work for everybody for a fall meeting date. Hopefully  
18 there are no objections, or I should wait another minute so  
19 everyone can check their calendars?

20 MEMBER SULEIMAN: I have a conflict that's  
21 resolvable, so that's fine.

22 MS. HOLIDAY: Sure. Okay.

23 CHAIRMAN MALMUD: It's looks as if it's acceptable  
24 to everyone. Is there a conflict for anyone? Dr. Welsh?

25 MEMBER WELSH: I don't anticipate any conflict,  
26 but I do need to clear it with my medical colleagues that I  
27 would have coverage. So I'm anticipating this will be okay.  
28 I will let you know as soon as I get a reply.

1 MS. HOLIDAY: Sure. Okay. So I will tentatively  
2 pencil September 9th and 10th as our first choice and then I  
3 believe the next set of dates that worked for everyone, and  
4 Dr. Welsh, thank you for already bending for the second set  
5 of dates, is Monday, September 16th and Tuesday, September  
6 17th. So if I could just get a confirmation that those sets  
7 of dates works for everyone as well.

8 CHAIRMAN MALMUD: Has everyone had a chance to  
9 check his or her calendar?

10 VICE CHAIRMAN THOMADSEN: Much less well for me,  
11 but there are possibilities.

12 CHAIRMAN MALMUD: Looks as if that's an acceptable  
13 alternate.

14 MS. HOLIDAY: Okay. So for the record I have  
15 September 9th and 10th as our first choice for the fall  
16 meeting and September 16th and 17th as our second choice,  
17 our backup meeting.

18 We are still a little bit ahead of schedule. I  
19 guess at this time I could just as the committee members,  
20 during the presentation I gave yesterday, if you'd like to  
21 go ahead and write down the hours that you would like to  
22 submit for this pay period. Your pay period ends on this  
23 Saturday and then I'll just collect those and I'll enter  
24 them in for you. And also, if you have not turned in your  
25 biennial evaluations, please do that also. Thank you.

26 [break]

27 CHAIRMAN MALMUD: Please introduce yourself.

28 MR. CRANE: Yes, please. My name is Peter Crane.

1 I am NRC's Counsel for Special Projects in the Office of  
2 General Counsel, now retired.

3 CHAIRMAN MALMUD: Thank you.

4 MR. CRANE: And thank you. And I'd like to have  
5 to opportunity to make some comments on a Mr. Saba's  
6 presentation.

7 CHAIRMAN MALMUD: You're invited to do so.

8 MR. CRANE: Thank you. First, I'd like to say  
9 that I'm indebted to Dr. Malmud for having so cogently  
10 described in 2007 the practical effects of the current rule  
11 and the reasons that hospitals are unwilling to hold  
12 radioactive I-131 patients. It's never been expressed more  
13 concisely or forcefully.

14 I'd like a few points. Mr. Saba says that, "We're  
15 torn between those that think we're too conservative and  
16 those who think we should go back to the old rule." Well,  
17 there's things to be said on both sides of that. The one  
18 thing the pressure that says that it's too conservative is  
19 come in large part from The Society of Nuclear Medicine.  
20 There's a paper by Carol Marcus and I think Stabin on  
21 licensee over-conversatisms. Well, on January 31st I got a  
22 letter from Chairman Macfarlane responding to a letter from  
23 me, and it is clear that for the last nine years, The  
24 Society of Nuclear Medicine has been advertising its  
25 guidance as having NRC's blessing to be used in place of  
26 NRC's and licensees are encouraged, although it's far more  
27 liberal.

28 A quotation is attributed to the NRC that the NRC



1 never made. The result is that if people have been  
2 operating under the false belief that this document can be  
3 used by licensees in place of NRC's, they may have been  
4 sending patients out the door with as much as 457  
5 millicuries of I-131 in them; which is a pretty daunting  
6 idea. It turns out that the statements attributed to it by  
7 SNM were not true, the NRC intervened with SNM to find out  
8 where these statements supposedly came from, and the SNM has  
9 now altered its advertising. I think it's important to get  
10 the word out to the licensee community that you cannot rely  
11 on this SNM guidance from 2004 and be confident that you're  
12 in compliance with Reg. Guide 8.39.

13           Secondly, as to whether the whether my argument is  
14 for going back to the 30 millicurie rule, that was what my  
15 initial petition asked for, to be sure. But that was a  
16 rulemaking conduct -- petition being handled under rule  
17 forum, which was a brainchild of the late Bill Olmstead of  
18 OTC. And the idea was that petitioners, participants, et  
19 cetera, could interact, modify their views in time. And  
20 after talking with their Ernie Mazzaferri, the then head of  
21 The American Thyroid Association, I modified that because I  
22 think that there is room for greater flexibility about  
23 sending people home, for one, thing in accordance with NCRP  
24 number 37 from 1970. And it's also true, and this is a  
25 point often made, that the athyreotic patients getting 100  
26 millicuries may be less of a radiation hazard than the  
27 Graves' patient getting 15 millicuries because that patient  
28 -- the Graves' patient got an intact thyroid that is

1 retaining I-131 longer. So, I'm willing to see flexibility  
2 and I would not want to be caricatured as simply a  
3 reactionary asking to go back to pre-1997 days.

4 Another point is that I think it's important to  
5 note that our 500 millirem standard, which is not being  
6 touched, is five times what the NCRP and the ICRP recommend  
7 and it's very much out of step with the world community.

8 I have a question for Dr. Saba. I mean, I'm quite  
9 sure he's right in his major point. And I applaud that in  
10 saying that we don't have enough data on hotels and nursing  
11 homes. I guess my question would be, "Are you considering  
12 collective doses?" This is a point that Jim Luehman of the  
13 staff made in an ACMUI meeting in October 2010, that  
14 although the individual dose to the house keeper who cleans  
15 the room may be small, we don't know if they are, perhaps,  
16 getting many treatments in a year. If you are working in the  
17 hotel down the street from the Mayo Clinic or associated  
18 with the Mayo Clinic, or if you're working in one of the  
19 eight hotels to which Sloan-Kettering feeds patients because  
20 it has preferential rates arranged with them, you might be  
21 cleaning a number of radioactive hotel rooms in a year and  
22 accumulating a dose from each one.

23 So my question would be, "Was he considering collective  
24 dose?"

25 I'd also say, the question was asked, and it's a  
26 very reasonable one, "Why not badge people?" The problem  
27 with badging people is that when you do that you put them on  
28 notice that there's a radiation hazard. Our problem in the

1 hotel context is that they don't know. And how do people  
2 behave when they are unaware of the hazard altogether? Our  
3 problem is that we do not have informed consent; we've got  
4 ignorant people being exposed, and the creation of dangerous  
5 working environments. I'm told that there are hospitals  
6 that are hospitals where radioactive rooms are left vacant  
7 for a week before anybody even goes in there to clean in  
8 order to let them cool down. And Dr. Malmud made a point in  
9 his -- at the ACMUI meeting in October 2007 that hospitals  
10 leave the rooms on either side empty because of the  
11 radiation coming through the walls. And, you know, yet  
12 we're having people going in there and cleaning right away.  
13 We've also got hotel guests moving in in a matter of hours,  
14 which I think should be a source of concern. I don't know  
15 whether the charter of the committee goes to the possible  
16 dose that could be absorbed by the subsequent hotel guest.  
17 We've seen at the Braidwood Hotel incident -- motel incident  
18 a hotel guest who needed to be decontaminated.

19           Finally, to Dr. Weil's point of questioning of  
20 whether patients are getting instructions, I made a  
21 suggestion at a meeting a couple of years ago, and Dr. Welsh  
22 expressed the thought that it was a positive idea, which is  
23 that the industry and the NRC could collaborate on preparing  
24 information for patients contained on a compact disc or DVD.  
25 It could be in different languages which could be played for  
26 the patient before they ever consulted with a doctor. It  
27 would give them preparatory information. They could take it  
28 home. They could play it over again if they had any doubts,

1 because frequently patients are in a kind of upset state  
2 when they are given instructions. They don't always  
3 remember it, especially if they're hypo-thyroid.

4 I think Jim Luehman came back reporting from a  
5 psych conference that sometimes the safety instructions are  
6 simply one piece of paper in a stack of pieces of paper that  
7 are handed to the patient on discharge. I'm sure Dr. Malmud  
8 is as conscientious as he says in going through these issues  
9 with patients. But again, that's a best-case scenario and  
10 not, necessarily a realistic scenario. But, that's  
11 essentially what I wanted to say.

12 CHAIRMAN MALMUD: Thank you, Mr. Crane. You made  
13 a number of points, and we will ask Mr. Saba's committee --  
14 team, excuse me, to consider these, and when the data is  
15 collected regarding exposure of members of the public, that  
16 these issues be revisited.

17 MR. CRANE: Very good, I appreciate it.

18 CHAIRMAN MALMUD: Your comments are appreciated  
19 and will not be ignored.

20 MR. CRANE: Thank you, and if I could ask one more  
21 thing, it used to be that there was a Federal Register  
22 Notice -- or not a Federal Register Notice, an NRC news  
23 release announcing upcoming ACMUI meetings, which was quite  
24 helpful in -- to the public in knowing in being put on  
25 notice. Those seem to have been discontinued more than a  
26 year ago. I think it would be a benefit from the standpoint  
27 of public participation if that practice were resumed, and I  
28 want to thank you all for your patience and receptivity,

1 willingness to listen to me this morning. And to you, Dr.  
2 Malmud, as you leave the committee I wish you everything  
3 good in private life.

4 CHAIRMAN MALMUD: Thank you. And we discussed  
5 your concern earlier and Ashley Cockerham has a response for  
6 you with regard to that concern. Ashley?

7 MS. COCKERHAM: This is Ashley Cockerham. We were  
8 advised --

9 MR. CRANE: Hi, Ashley.

10 MS. COCKERHAM: -- last year that NRC press  
11 releases are issued at the discretion of the NRC Chairman  
12 and they were -- regular meeting notices are not typically  
13 done in press releases. I would note that that is standard  
14 practice also for the Advisory Committee on Reactor  
15 Safeguards; they do not regularly issue public notices for  
16 meetings --

17 MS. CRANE: So, was that --

18 MS. COCKERHAM: -- press releases for meetings.  
19 We do issue notices in the Federal Register per FACA  
20 regulations.

21 MR. EINBERG: Ashley, can you also point out that  
22 where else this was noticed so that members of the public  
23 can access the meeting notices.

24 MS. COCKERHAM: Yes, this was noticed on March 6th  
25 in the Federal Register notice. This was also noticed on  
26 the ACMUI medical list -- or not the ACMUI -- just the NRC  
27 medical list server on March 11th, I believe. It was also  
28 noticed -- the ACMUI public agenda was posted on the ACMUI

1 public website on March 11th. And it was also published on  
2 the NRC public meeting notification page, where all public  
3 meetings of the NRC are posted --

4 MR. CRANE: [affirmative]

5 MS. COCKERHAM: -- and it was posted on March 11th  
6 on that website, as well.

7 MR. CRANE: Well, thank you, Ashley. I'm not one  
8 of those who spends his days thumbing through the Federal  
9 Register, and I gather it was a decision the previous  
10 chairman's. It dates from then. I don't think that that  
11 was a change for the better, but I realize that it's not --  
12 I appreciate being told that this was not an ACMUI decision  
13 but a chairman decision. So, thank you very much.

14 CHAIRMAN MALMUD: Thank you, Peter.

15 MR. CRANE: And good morning to you.

16 CHAIRMAN MALMUD: Thank you for your  
17 participation, Mr. Crane.

18 MR. CRANE: Thank you, goodbye.

19 CHAIRMAN MALMUD: Goodbye. And if we may, we'll  
20 move on to the next item on the agenda, which is the NNSA's  
21 efforts to minimize the use of highly enriched uranium in  
22 molybdenum-99 production. And that will be presented by Dr.  
23 Staples and Ms. Hamilton.

24 DR. STAPLES: Thank you very much. I apologize  
25 for coming in a few minutes late, but it appears you had  
26 some questions going anyway.

27 So, I would like to thank you very much for  
28 presenting -- or allowing us to present this information to

1 you on our efforts on moly-99 production associated with  
2 efforts on HEU minimization. The title slide, as you can  
3 see, we're part of The Department of Energy, the National  
4 Nuclear Security Administration, with the Defense Nuclear  
5 Nonproliferation Branch, the Office of the Global Threat  
6 Reduction Initiative.

7           We've gotten involved in this mission, to begin  
8 with, to reduce and protect vulnerable nuclear and  
9 radiological materials that are located at civilian sites  
10 worldwide. There are three technical polars within our  
11 office. First, to convert research reactors on isotope  
12 production facilities from the use of highly enriched  
13 uranium to low enriched uranium, to achieve a threat  
14 reduction perspective; to complement the conversion of these  
15 facilities to LEU; and to ensure permanent threat reduction  
16 we also provide services to remove and dispose of access  
17 nuclear and radiological materials.

18           There's a U.S. origin disposal program. There's a  
19 Russian origin disposal program. And, in fact, just about a  
20 week ago there was a lot of publicity associated with the  
21 disposition and the significant quantity of nuclear material  
22 from the Czech Republic back to the Russian Federation. I  
23 think it was even on the Rachel Maddow show that this was  
24 associated with that effort.

25           Until these permanent threat reduction activities  
26 can take place, or in circumstances where these materials  
27 continue -- is continuously utilized, we also provide  
28 physical protection support, internationally, for these type

1 materials to protect them from theft and/or sabotage.

2           The focus of this presentation today is on moly-  
3 99. Historically, HEU has been utilized to produce moly-99,  
4 one of the most widely used medical isotopes in the  
5 industry. And I think, looking at your titles at the table  
6 here, I have a feeling that you're much more familiar with  
7 this utilization than I am. So I'm not going to try to bore  
8 you with those details.

9           But our efforts are to work to achieve the  
10 production capability of the industry while at the same time  
11 achieving our HEU minimization missions. Medical isotope  
12 production -- this is something that we've been doing,  
13 roughly now, for about 15 to 20 years. We've been making  
14 significant progress, lately, in due to the failures of  
15 several parts of the industry for regular, reliable  
16 production. So we have actually assumed the mission, in  
17 addition to the HEU minimization, of working to establish a  
18 reliable U.S domestic supply of moly-99 that is produced  
19 without the use of HEU. And this is the complement of two  
20 efforts, both internationally and domestically. And due to  
21 the fact that we are, for lack of a better term, interfering  
22 in commercial activities, we have to keep very careful  
23 balance or how we work with our international partners, how  
24 we support domestic efforts. All the while, we work with  
25 the international community to provide this important  
26 medical isotope for patient needs that I think are used,  
27 roughly, 50,000 times a day here in the United States alone.

28           In our international efforts, we only assist with



1 converting their facilities from LEU targets or to LEU  
2 targets from HEU targets to achieve our HEU minimization  
3 objective. It is their obligation as existing commercial  
4 entities to increase their production capacity or update  
5 their facilities.

6 In addition, there are some new entrants coming in  
7 in the international market. We simply work to make sure  
8 that they adhere to their nonproliferation goal statements  
9 and priorities of utilizing a non-HEU based production  
10 process to implement their technologies. Domestically,  
11 where there's currently no commercial HEU or non-HEU based  
12 production, we're working with a number of cooperative  
13 agreement partners and working with the industry, in  
14 general, to support all activities that are taking place to  
15 produce moly-99 for the needs of patients. And that is part  
16 of our objective to establish a reliable supply of moly-99  
17 produced without HEU.

18 The current situation of the industry shown below  
19 -- and this is certainly a very simplified cartoon  
20 schematic. Each one of the rows of the bar chart represent  
21 some time in the current, or projected future history.  
22 Today, we're at the top bar chart where Australia produces  
23 solely using LEU. NTP Radioisotopes in South Africa is in  
24 the transition process and they've recently advertised  
25 significant progress towards the conversion of their isotope  
26 production towards LEU. Covidien, IRE, and AECL Nordion all  
27 continue to produce with HEU.

28 At some point in the near future, we know that NTP

1 will fully transition to LEU-based production. And we have  
2 from a recent nuclear summit, led by President Obama, and  
3 from the United States, with approximately 50 international  
4 leaders, we've received a pledge from both the Netherlands  
5 and Belgium that they will work with us and France, who is  
6 the target producer, to convert the processes to LEU-based  
7 production by the 2015 to '16 timeframe.

8           The most significant issue, and the bar in any --  
9 in no cases to these bars represent market share, other than  
10 the implication that the Nordion -- AECL Nordion bar is  
11 significantly larger than the others. They are the current  
12 largest producer of medical radio isotopes. They have made  
13 continuous and regular statements that they will cease  
14 medical isotope production in the 2016 timeframe. That's  
15 the one very important issue that we're facing. Part of  
16 that is due to the fact that they were not able to get their  
17 projected future production process, the Naples facility,  
18 operational. And the current facility that they utilized,  
19 the NRU reactor is a very aged facility and has had numerous  
20 operational issues over the past several years. So in 2016,  
21 there's going to be a significant gap in medical isotope  
22 production, unless the international community can step up  
23 their efforts to produce isotope, or we're successful with  
24 U.S. domestic moly-99 projects.

25           We have four current cooperative agreement  
26 partners that we're working with to develop that replacement  
27 capacity. In addition, there are other commercial entities  
28 that are not associated with funding with our program that

1 are also working towards domestic production.

2           So the support that we have both for  
3 internationally, as I've mentioned, is for conversion from  
4 HEU targets to LEU targets. We developed the technology and  
5 we provide that at no cost to entities that are interested  
6 in implementing them.

7           I've already stated about the four-party joint  
8 statement at the 2012 Nuclear Securities Summit, which was a  
9 very significant accomplishment and a pledge at the highest  
10 levels to support this effort. In addition, we have  
11 provided South Africa with a significant amount of support  
12 for their conversion process, from HEU to LEU targets, and  
13 they're making significant progress; as indicated here's the  
14 June 2010 timeframe when they first had LEU based production  
15 and was received commercially in the United States later  
16 that year.

17           In addition, we are providing some support to  
18 Belgium and towards their conversion commitment by 2015.  
19 Netherlands and Covidian, they're leading their own effort  
20 towards the conversion project to LEU targets in that same  
21 timeframe. And as mentioned, Canadian reactor -- and we  
22 can't state that more often -- cannot state that enough  
23 about their cessation of isotope production in 2016.

24           To develop our cooperative agreements and to try  
25 to avoid a single point of failure, we looked at the  
26 straightforward or the most straightforward production  
27 technologies, methodologies for production of moly-99. And  
28 to ensure that there is no single point of failure, I've

1 developed our cooperative agreements to support each one of  
2 these pathways towards production. First, there is the  
3 traditional fission based methodology showing in the top  
4 chart. In the middle is neutron capture, which is  
5 historically how moly-99 was made in the industry when this  
6 first started 30, or so, years ago. And then the last one,  
7 the bottom, is an accelerator-based production which has  
8 received attention also for the production of moly-99. Each  
9 of them have their benefits, and each of them have their  
10 impediments towards production.

11           The four cooperative agreements that we have with  
12 domestic partners in the United States are shown on this  
13 slide. In no particular order, first and foremost, is the  
14 NorthStar Medical Radioisotopes Program, which we have  
15 awarded a total of \$25 million to NorthStar Medical  
16 Radioisotopes to pursue the accelerator based technology.  
17 Before I go further, I should point out that each of these  
18 cooperative agreements are limited to a \$25 million cost  
19 share arrangement and a 50/50 percentage basis with the U.S.  
20 government.

21           Second, is the Morgridge Institute for Research,  
22 also known as SHINE Medical Technologies, or associated with  
23 SHINE Medical Technologies. We've thus far awarded a total  
24 \$10.7 million to Morgridge to pursue their accelerator based  
25 LEU fission technology.

26           Third, is Babcock and Wilcox, which we've awarded  
27 thus far \$9.1 million to pursue their LEU solution reactor  
28 technology. Currently, they are looking for a commercial

1 partner to continue that process towards the implementation.

2           And last, is the General Electric Hitachi process.

3 We've awarded them \$2.3 million to pursue their neutron

4 capture technology. However, what was a significant issue

5 to us is that on February 7th of 2012, they announced a

6 business decision to suspend progress on the project

7 indefinitely due to the market conditions. And this is

8 something that we identified for a long period of time of

9 how the industry operates and the imposition the current

10 market practices have on reliable, long-term projection.

11 It's one of the things we're working with the -- I

12 apologize, I'm staying on this slide. It's one of the

13 things we're working with the international community

14 through the Organization for Economic Cooperative

15 Development to, at a high level working group, to address

16 that situation both from an economic standpoint and a

17 technology standpoint to ensure the long-term, reliable

18 production, not just for patients here in the United States,

19 but globally, for patients that require this very important

20 medical radio isotope.

21           To further support the program, and going back, as

22 I've mentioned, several decades, is the significant amount

23 of U.S national laboratory technical support that has been

24 developed for the production of moly-99. And we make that

25 expertise from the national laboratories available to all of

26 the different moly-99 technical pathways. And we ensure

27 that this expertise at the national laboratories is

28 available for any commercial projects that are utilizing

1 non-HEU technologies, both domestically and internationally.  
2 These work packages are funded by NNSA, our program, through  
3 strong support from Congress for these technologies.

4           Recently, and in very close cooperation through  
5 the entire U.S. interagency, the White House issued a  
6 statement on encouraging reliable supplies of moly-99 are  
7 produced without highly enriched uranium. And there were  
8 several significant statements, one of which I think we're  
9 going to spend a lot of time on in the next presentation by  
10 Dr. Duvall. But first, we are calling upon the moly-99  
11 industry to voluntarily establish unique product code or  
12 identifying marker for moly-99 based radio pharmaceutical  
13 products that are produced without the produced without the  
14 use of HEU.

15           And it's as much a marker for LEU or non-HEU based  
16 moly-99 as it is for what we refer to as full cost recovery  
17 moly-99, which is something that we're working has helped  
18 working to address the impact of subsidies on the industry.  
19 And we're working to transition the entire industry towards  
20 full cost recovery from the beginning of the supply chain to  
21 the end.

22           As from your perspective, you're probably aware on  
23 the downstream side of the supply chain, full cost  
24 recovery's probably, you know, has to be the way that you  
25 operate. Unfortunately, the early part of this supply chain  
26 does not operate that way and that has significant impacts  
27 on how the industry produces the isotope that you receive  
28 for production and it's something that we need to address.

1 It's slowly being addressed throughout the industry. But the  
2 rate of uptake is certainly not as rapid as we had hoped,  
3 and it does have impacts for how we will achieve the 2006  
4 scheme success of the program.

5           The second bullet is the U.S. government has  
6 decided it is very important not just to ask others to do  
7 things that we are not willing to do our self. So, we want  
8 to lead by example. And so, we're working through the  
9 interagency, and it will probably be through the Veterans  
10 Administration. We'll start the process hopefully in the  
11 next several months, and this is preferentially procuring  
12 moly-99 based products that are produced without the use of  
13 HEU whenever they are available, and this will be in a  
14 manner that are consistent with our U.S. obligations under  
15 international trade agreements. You can imagine that's  
16 something that's very difficult to do, but at the same time,  
17 it's work that we are undertaking to ensure that we lead by  
18 example to help transition this industry for long-term,  
19 reliable supply.

20           The third bullet is something that Dr. Duvall will  
21 talk about, which is -- at the time the statement came out,  
22 was worded as such, and it's that examining potential health  
23 insurant payment options that might promote sustainable,  
24 non-HEU supply to moly-99. And last is something that we  
25 are going in close cooperation with the interagency, and in  
26 particular with the NRC, and it's about how we can take  
27 steps to further reduce exports of HEU that will be used for  
28 medical isotope production when sufficient supplies of non-

1 HEU produced moly-99 are available to the global  
2 marketplace. To provide further clarification on that, the  
3 U.S. exports HEUs -- the international producers. We have  
4 legislation in place that authorizes and directs us to do  
5 such. However, there are significant limitations, and  
6 obligations, and requirements on the export of that  
7 material, and we work with the international commercial  
8 community to ensure that all of their obligations and  
9 requirements are met while we do provide for the regular  
10 reliable supply of HEU while they are transitioning their  
11 industry to LEU materials.

12           The last two bullets on the U.S. government Public  
13 Statement -- I've already mentioned to some extent, is that  
14 we are continuing to encourage domestic commercial entities  
15 in their efforts to produce moly-99 without HEU during the  
16 transition of the moly-99 industry. It's a full-cost  
17 recovery, and some cases, if you're aware, right now the HFR  
18 reactor, the second-largest producer in the Netherlands, has  
19 experienced a prolonged outage, and it has significant  
20 implications on the supply. We are monitoring that closely  
21 and working throughout the entire interagency to determine  
22 at what point in time we might need to take extraordinary  
23 steps to support production to ensure that patients receive  
24 medical isotope in timely and reliable fashion.

25           And lastly, we are working with the international  
26 producers to assist their projects however they request  
27 within certain conditions towards the conversion of moly-99  
28 production facilities from HEU to LEU.



1           We were in meetings earlier this morning in some  
2 part coordinating with our NRC colleagues here, and we're  
3 going to be in a variety of meetings throughout the rest of  
4 the week with other colleagues throughout the interagency to  
5 understand how to implement the requirements therein  
6 recently passed, American Medical Isotope Production Act of  
7 2012. It was a very good New Year's for us; there's been a  
8 number of parties that were involved in the passage of this  
9 act. It goes back starting first as H.R. 3276 from  
10 approximately three or four years ago if I remember  
11 correctly, through Senate Bill 99. But recently  
12 incorporated into the National Defense Authorization Act on  
13 January 2nd, 2013 is the American Medical Isotope Production  
14 Act. First and foremost, it requires the secretary of  
15 Energy to establish a technology neutral program to provide  
16 assistance for production of moly-99 in the United States  
17 without HEU. We obviously knew this was happening; this was  
18 developed in close concert with our existing program. So,  
19 that box is already checked off, and we've made significant  
20 progress in that direction over the past number of years,  
21 but we now have a law that helps to authorize us to  
22 implement this program.

23           It does require public participation in review of  
24 the program. In some part, it will be through a large  
25 topical meeting similar to what we just had a week ago --  
26 two weeks ago in the Chicago area with many members of the  
27 community and stakeholders. The Office of Science and  
28 Technology Policy of the Executive Office of the White House

1 has significant involvement in the program. They're also  
2 bringing together stakeholders on a quarterly basis -- or  
3 roughly a quarterly basis to review the program. We're also  
4 working with the National Science Advisory Committee through  
5 the Department of Energy's Office of Science program to  
6 review the program.

7           The third bullet is it requires the development  
8 assistant for fuels, targets, and processes. Again, this is  
9 a long-standing part of our program that we've been  
10 implementing through the national labs.

11           Establishing a Uranium Lease and Take-back  
12 program: This is probably the newest part of the program, is  
13 similar to what we've implemented in terms of disposition of  
14 research reactor fuels, both U.S. and international origin,  
15 but this bullet is for domestic utilization only, and it  
16 enables the department to provide uranium, for production  
17 of, and if a commercial disposition pathway does not exist  
18 for the material after production, the U.S. government will  
19 provide adequate cost recovery disposition pathways for that  
20 material after production of the medical isotope. It does  
21 require the Department of Energy and NRC to coordinate our  
22 NEPA, our environmental reviews where practicable, and that  
23 is something that, if you're familiar with government  
24 process and procedure, can be difficult and onerous, but it  
25 is part of the process that we go through, and we're closely  
26 coordinating and have also been coordinating with our NRC  
27 colleagues to implement these requirements.

28           To support the program -- does provide a cutoff in

1 exports of HEU for isotope production in seven years with  
2 the possibility for extension in the event of a short  
3 supply. And this is something I have to say: I think with  
4 close coordination of the interagency, everyone recognizes  
5 the importance of supply, and there are no actions that we  
6 are taking in any of these activities that will actually  
7 impinge upon the ability of the industry to provide isotope.  
8 We understand first and foremost is the supply of isotope to  
9 the patients, and then we will achieve our threat-reduction  
10 objectives. We are very optimistic with the pledges we have  
11 from the international partners, and the commitment from  
12 Canada -- or the statements from Canada that they'll cease  
13 isotope production in 2016, that we will achieve the  
14 minimization of HEU in this industry within the next several  
15 years. It's simply a direction that we have to go in, and I  
16 think everyone in the industry recognizes that the more  
17 important issue is the transition of the industry to full-  
18 cost recovery so that it operates as a true commercial  
19 industry rather than with government subsidies at the  
20 initial parts of the supply chain, which have actually  
21 impinged upon the ability of the industry to provide  
22 maintenance and replacement capacity for production.

23           And last and not least on that is it does require  
24 reports to be submitted to Congress on an annual basis,  
25 which -- very honestly, those are very complicated and  
26 require a lot of interagency coordination with all of these  
27 activities taking place, and literally as soon as we  
28 complete one report to Congress, we start the annual process

1 to submit the next one; we're on an annual basis.

2 Here are some documents that were used in the  
3 presentation, and I hope that I did not go too fast, but I  
4 think I got back about five minutes on your schedule, and I  
5 believe the process is that we are very happy to take any  
6 questions. Also, at this point in time, I would like to  
7 introduce my colleague Rilla Hamilton. She actually is the  
8 project manager for the moly-99 program. And for domestic  
9 activities, Joanie Dix, who was not able to attend with us  
10 today. She is providing her civil service by attending jury  
11 duty. Thank you very much for your attention.

12 CHAIRMAN MALMUD: Thank you, Dr. Staples. Did you  
13 wish to make any comments [unintelligible]?

14 MS. HAMILTON: Oh, actually I'll defer to Dr.  
15 Staples, so -- I have nothing further.

16 CHAIRMAN MALMUD: Thank you. So if I may  
17 summarize Dr. Staples on -- a very complete presentation on  
18 your part. Number one: The Department of Energy recognizes  
19 the importance of molybdenum-99 to the medical community and  
20 to the patients whom we serve. Number two: The program is  
21 looking forward to converting the source of molybdenum-99  
22 from highly-enriched uranium to low-enriched uranium for  
23 reasons of homeland security. Number three: that there will  
24 be costs associated with this transition, and the Department  
25 of Energy is aware of them. Number four: that there's  
26 encouragement to put this in the hands of private industry  
27 without government participating, other than in regulation  
28 of issues with safety. Number five: this is an

1 international effort, and that the government is  
2 coordinating that. Number six: that if the timeline cannot  
3 be met, that there will be flexibility with regard to  
4 continuing recurrent sources molybdenum-99 so that the  
5 medical practices will not be interfered with. Does that  
6 summarize it?

7 DR. STAPLES: That's an excellent summary, and I  
8 don't think I could state that better myself.

9 CHAIRMAN MALMUD: Well, you did state it better.

10 [laughter]

11 You stated it much more completely, but I was just  
12 trying to summarize it. Well, thank you very much. Thank  
13 you, both. Are there questions now? Yes, Dr. Van Decker?

14 MEMBER VAN DECKER: A couple of questions if I  
15 might, just I've heard it from different sources. From your  
16 perspective right now in the current timeline, what  
17 percentage of moly's being produced from LEU?

18 DR. STAPLES: It's a difficult number because it's  
19 somewhat variable, but I would assume it's roughly and  
20 probably about the 10 to 15 percent range.

21 MEMBER VAN DECKER: Okay, so we have a significant  
22 way to go?

23 DR. STAPLES: A significant way to go.

24 MEMBER VAN DECKER: Second question is if we need  
25 to fill a hole by 2016, and there needs to be a lead time  
26 for a manufacturing process to be in place and get all of  
27 the regulatory blessings, what do you think that lead time  
28 is to have a source ready to go in 2016?

1 DR. STAPLES: That lead time is consistent with  
2 the stage of our current production projects, to some  
3 extent. There are some projects that are obviously ahead in  
4 the race versus others, and there are also projects that are  
5 not associated with our funding that are also making  
6 significant progress where we have much less insight because  
7 of our lack of contractual insight and association with  
8 those programs. But at our recent moly-99 topical meeting  
9 we held in Chicago two weeks ago, we got some indicators of  
10 progress being made by both those entities that are  
11 associated with our programs, those that are not associated  
12 with our programs domestically, and also the status of  
13 international production. I don't want to be overly  
14 optimistic about the status, but at the same time I think we  
15 can be realistic that, given the current rate of progress,  
16 and if we maintain the intention and focus of the entire  
17 interagency from the regulatory and approval standpoint, we  
18 should have regular, reliable supplies of moly-99 in the  
19 2016 timeframe to replace those that we will lose when the  
20 Canadian reactor ceases production.

21 MS. HAMILTON: And to add that a little bit, if I  
22 may. Each of the technical pathways has been selected  
23 because of that lead time consideration. Some of them don't  
24 require as much as others, and that's why we have  
25 diversified the types of technologies that we're supporting  
26 to consider how much lead time that takes.

27 CHAIRMAN MALMUD: Dr. Van Decker?

28 MEMBER VAN DECKER: So the follow up to that would

1 be diversification and a race to good, solid technology is  
2 always a good thing, and as you pointed out, industry  
3 feeling that there's a government partner in this aiding to  
4 them still being in this race is an important piece of  
5 keeping things going, and there is a timeline. And so, will  
6 there come a point in time, will you help create a winner  
7 and a loser? I mean, if one is clearly ahead on a funding  
8 basis, will you shift priorities to create patient access,  
9 as opposed to -- what did you call it? The marketplace  
10 model, or something like that?

11 DR. STAPLES: Just in terms of full cost recovery  
12 continuing to operate. Obviously, if we have to take  
13 extraordinary actions because the current industry collapses  
14 prior to 2016, it won't necessarily be picking a winner and  
15 a loser. It will be developing a reliable supply in the  
16 near term. Regardless, our long-term intention is to ensure  
17 the transition of this industry to full commercial  
18 activities that's operated under full cost recovery  
19 principles in accordance to international trade obligations.  
20 It's why we work closely with the OECD to implement this.  
21 The amount of funding that were currently providing is not  
22 considered a subsidy by the OECD. We've had careful  
23 discussions about the amount, the type, the duration, the  
24 quantity of funding that's provided; but at the same time,  
25 we do realize a significant government intervention is  
26 required at this stage of the industry to ensure the  
27 transition in the next two to three year time period.

28 MEMBER VAN DECKER: Thank you.

1           CHAIRMAN MALMUD: Thank you, Dr. Staples. Any  
2 other comments? Dr. Zanzonico?

3           MEMBER ZANZONICO: Question, from a preventative  
4 point of view, it looks like lead technology is the  
5 accelerator producers, based on the dollars awarded to the  
6 respected companies. So, it's frightening then that it's no  
7 longer a byproduct issue, what's become accelerator  
8 produced. So, who's the -- who has the regulatory  
9 responsibility for overseeing that? Is it still NRC?

10          DR. STAPLES: I believe that the -- for NorthStar  
11 is the project that you're referring to, their regulatory  
12 requirements are handled because they're an agreement  
13 stakeholder, of how it works through the regulatory process  
14 from a nuclear aspect.

15          MEMBER ZANZONICO: So, it would still -- but it  
16 would still be regulated essentially as byproduct material?  
17 In most cases, the agreement states that are overseeing it,  
18 who are not NRC states.

19          MS. HAMILTON: There are some NRC actions that are  
20 involved in the NorthStar project as well, that from the  
21 operation standpoint, that's all handled through the state  
22 regulator.

23          CHAIRMAN MALMUD: Dr. Howe?

24          DR. HOWE: If I could clarify. As long as the  
25 producer is using an accelerator and not using uranium as a  
26 target, that would be regulated by whatever state they are  
27 located in. If they are using an accelerator, but uranium  
28 is the target material, then that would bring it under NRC



1 jurisdiction, because it would be a -- we're looking at it  
2 as a Part 50 production facility. And so, that would be  
3 NRC, regardless of what state it's located in.

4 MEMBER ZANZONICO: Right, so -- but even if it  
5 were a non-agreement state, if it were not using uranium, it  
6 would be under state regulation, not NRC?

7 DR. HOWE: Okay, a non-agreement state is a state  
8 NRC regulates.

9 MEMBER ZANZONICO: Right, correct.

10 DR. HOWE: Okay, so if it's in a state that NRC  
11 regulates and it is accelerator produced, we would regulate  
12 the production of that isotope under the Energy Policy Act,  
13 because we now regulate byproduct material which can be  
14 produced by either reactors or by accelerators. But we  
15 would not regulate the accelerator. Once the material is  
16 made, we would regulate, but we would not register or  
17 regulate the accelerator.

18 MEMBER BAILEY: And if I may add, the states do  
19 register the accelerators -- the machine-produced.

20 CHAIRMAN MALMUD: Other questions? Dr. Palestro?

21 MEMBER PALESTRO: Yeah, Chris Palestro. I have a  
22 question. You may have already partially answered it, but  
23 GE has dropped out, and my sense is, from what you've said,  
24 is only due to the -- it really didn't make a lot of  
25 financial sense for them to continue. Is that something  
26 unique, say, to GE because of its massive size and this  
27 comprises such a very small component of GE health care? Or  
28 is it possible that other companies, corporations also will

1 look at this as being not financially viable and drop out as  
2 well?

3 DR. STAPLES: Well, that's actually -- that's a  
4 very good question. They each have their own different  
5 perspectives on how they develop their business model, and  
6 part of the evaluation we go through when we develop the  
7 agreements is we evaluate what their business models are.  
8 But it's their own independent evaluation for the risks  
9 versus the benefits that they want to assume of how the  
10 market will transition. What I would simply take is that  
11 they looked at the current market conditions and realized  
12 that, if they remained as they were, that it would not be  
13 economically viable. We have expectations that the market  
14 conditions will change in the future based upon the  
15 transition of full cost recovery. I do want to also ease  
16 any concerns when we're talking about transition to full  
17 cost recovery and the actual costs that are associated with  
18 it.

19 At the consumer side, we're estimating the cost is  
20 going to be less than a 1 percent change at the patient  
21 level. However, as you go through -- you know, back through  
22 the supply chain, you know, similar to the, you know -- the  
23 farmer can experience a doubling in costs for producing his  
24 material, but when it ultimately ends up at the store  
25 shelves, it's a fractional change of the final cost, because  
26 much of the costs were associated in the distribution and  
27 the transportation, or the finishing of the product, which  
28 that won't change as we address the full cost recovery

1 issue. So, I don't want to, you know -- I don't want to  
2 cause any undue alarm that the costs are going to  
3 significantly change, if we fix the downstream side. That's  
4 the one caution -- or response I hopefully provided some  
5 clarification on with that answer.

6 CHAIRMAN MALMUD: Other comments or questions?  
7 Dr. Welsh, and then --

8 MEMBER WELSH: Yes, thank you. I'd like some  
9 clarification on one of the slides -- or two of the slides  
10 that you presented regarding the U.S. domestic cooperative  
11 agreement partnership, and the slide afterwards, which was  
12 the National Laboratory Support. My understanding is that  
13 those are independent of each other. Is that correct?

14 DR. STAPLES: Correct, yes.

15 MEMBER WELSH: Thank you, and the associated  
16 question is regarding that second slide; the statement that  
17 all work pack -- at the bottom -- all work packages funded  
18 are open source, prior caring, non-critical path activities.  
19 I didn't fully comprehend what you said. What does that  
20 mean?

21 MS. HAMILTON: To clarify, all of the work  
22 packages that NNSA funds the National Laboratories to do are  
23 for the greater common good, if you can conceptualize it as  
24 what we're intending to do. We're supporting the  
25 development of technologies, and those technologies that  
26 we're supporting with the commercial cooperative agreement  
27 partners, a lot of that work is on those particular  
28 technologies. However, the NNSA direct-funded work packages

1 are also something that can be open source and shared to any  
2 commercial entity that wishes to develop these types of  
3 technologies. We don't get into that proprietary space for  
4 that particular purpose. We also don't do anything that's  
5 on the critical path of our cooperative agreement partners  
6 because we want these projects to be fully commercially  
7 viable.

8           We don't want to be any reason for any kind of a  
9 stall on their project, or any technical reason, or any  
10 financial reason. If our budget does not allow for  
11 continued progress on critical path activities, that's a  
12 risk, and we want these cooperative agreement partners to be  
13 fully viable. So, for those reasons that's why we put this  
14 on the slide: to invite others that are interested in  
15 understanding what the National Labs are doing in this  
16 technology development to ask. We are happy to share who is  
17 working on these and what they're doing in case there are  
18 any other entities out there that are looking to develop  
19 these technologies:

20           CHAIRMAN MALMUD: Thank you. Mr. Mattmuller

21           MEMBER MATTMULLER: Hi, Steve Mattmuller. A  
22 couple questions. The first one, in regards to the  
23 NorthStar project, it's my understanding that their  
24 production facility is under construction in Wisconsin, that  
25 the meeting -- no, not yet -- or can you comment on that?  
26 Or maybe I should be asking NorthStar how far along they are  
27 with that.

28           MS. HAMILTON: Yeah, NorthStar is the best one to

1 ask about that, but their site has been announced in Beloit,  
2 Wisconsin, and the groundbreaking has not officially taken  
3 place yet, if that's what you're asking.

4 MEMBER MATTMULLER: Sure, yes, okay. And then, my  
5 other comment -- questions would be in regards to cost, and  
6 I agree with your farmer analogy as far as initial cost  
7 versus downstream cost, and also looking at the next talk  
8 and how CMS intends to help with all of this, and I would  
9 agree with all that if right now everything was non-HEU  
10 moly. But during this transition phase it's going to be  
11 difficult and complicated, and there's not going to be that  
12 quick, easy transition -- or, not transition, but efficiency  
13 in keeping despite the higher cost here from non-HEU having  
14 minimal -- minimizing the cost increase to the patient.

15 So, looking at all of your activities on your  
16 website, and looking at your success stories with the Czech  
17 Republic, and even locally with the blood irradiator of  
18 [unintelligible] in Philadelphia, you're paying for those  
19 activities. Why not pay for the additional costs of the  
20 non-HEU moly that goes to two generator manufacturers here  
21 in the U.S., and then -- I mean, because you deal with the  
22 audit that easily to see what the difference is, and then,  
23 you know, wean the, you know, the industry off of that  
24 subsidy over time, but during this transition phase.

25 DR. STAPLES: I think actually you touch on -- a  
26 very common topic of conversation we have is about the  
27 difficulty during the transition phase, and then the non-  
28 equity among the different players in the market, and I

1 think that's actually a very good lead-in for what Dr.  
2 Duvall's going to talk about next, of how, again, as the  
3 U.S. government is trying to lead by example of providing  
4 this additional payment available to the industry. I don't  
5 want to speak too much for Dr. Duvall, but, you know, we can  
6 only work with the CMS process. We're hoping that private  
7 insurers will follow that lead. That's what we understand  
8 that they will do. We also realize, as we gather financial  
9 data on an annual basis that the reimbursement process will  
10 be adjusted. There is some lag time, we recognize that, but  
11 as also how we expect the system -- the reimbursement system  
12 will catch up with the costs. Honestly, where we see the  
13 larger cost differentials are associated, as you can  
14 imagine, when there are shortages.

15           Any cost that we've projected is going to be  
16 associated with either the conversion cost or full cost  
17 recovery is swamped by the cost differentials that take  
18 place when there are shortages and the charges that are  
19 applied when there's lack of material or not. It goes all  
20 over the map, as you can imagine. So, we realize there is a  
21 certain amount of flexibility within the system, but we also  
22 do recognize very much the position that we're in to not  
23 just impose traditional costs on patients when these type of  
24 things are always, you know, scrutinized heavily. But we  
25 are trying also to educate the entire community about the  
26 two benefits that are being provided by this transition.  
27 One is taking dangerous materials that could be within reach  
28 of terrorists out of their reach, and two is really

1 transitioning this industry for reliable supply. What gave  
2 this program a tremendous amount of momentum, in some part,  
3 was the, you know, September 11th, which is what caused the  
4 formation of GTRI and other activities, you know, from a  
5 threat reduction perspective. But what really gave them  
6 momentum was the kind of concurrent outages of the HFR and  
7 the Canadian reactor about three years ago. That's when we  
8 really got momentum to start to implement this program, and  
9 we realized the impact that the subsidies had on the ability  
10 of the industry to reinvest, and it is not operating as a  
11 true commercial industry. And that is also why we have, you  
12 know, limited support over a period of time to help  
13 transition the industry. We also take very seriously the  
14 cautions from the OECD about the amount of subsidies that we  
15 are providing -- or if I want to say it carefully, about the  
16 amount of support that we are providing, that it's not  
17 actually subsidies. We don't want to propagate the problem.  
18 You know, we don't want to transition this to the next  
19 generation. We want to try to fix it and step out of it  
20 such that this industry can operate as other parts of any  
21 industry do; you know, minimal government intervention. But  
22 unfortunately, were not there yet, and it's not anything  
23 that was set up maliciously by any one government. It's  
24 just the way the industry evolved, and it's the way it's  
25 produced. So, we recognize that, and we're simply trying to  
26 undo what was not a good situation for the medical  
27 community.

28 CHAIRMAN MALMUD: Thank you, Dr. Staples. Your

1 statement in response to Mr. Mattmuller's question serves as  
2 a perfect segue to our next speaker who will be Dr. Duvall.  
3 I thank both of you, and Ms. Hamilton, for your  
4 participation today with us, and I will introduce Dr.  
5 Duvall, who will discuss the federal government Center for  
6 Medicare and Medicaid services, and the 2013 reimbursement  
7 policy for non-HEU produced medical isotopes.

8 DR. DUVALL: Thank you very much. I am actually  
9 really thrilled to see the PhD after my name, but I have to  
10 confess that I don't own one. I'm just a medical doctor,  
11 but I'm going to keep this and look at it periodically and  
12 think of what I could be.

13 [laughter]

14 Okay, I'm Dan Duvall. I'm one of the medical  
15 officers in the CMS Hospital and Ambulatory Policy Group,  
16 and our job is actually to look at payment policy for a  
17 large part of the really half a trillion dollars that CMS is  
18 paying out. I guess our area -- my area only deals with  
19 about a quarter of a trillion dollars. So, it's maybe not  
20 quite as big, but we do deal with quite a good bit. And I'm  
21 going to talk a little bit about our reimbursement policy as  
22 far as technetium-99m goes. The -- as Parrish mentioned,  
23 the United States is part of the high-level group from the  
24 Organization of Economic Cooperation Development. That's  
25 the international group that's been trying to coordinate an  
26 international response to creating a stable supply. They  
27 have a number of principles -- six principles, and three of  
28 those were particularly applicable to CMS. Those were



1 promoting full cost recovery, encouraging a marketplace that  
2 would be amenable to a stable supply to moly-99, and  
3 promoting this conversion to non-HEU sources. Specifically,  
4 the U.S. made a commitment to examine health insurance  
5 payment options, and that's where CMS came in.

6           So, the U.S. goal is a stable supply of moly-99  
7 based on non-HEU sources, and one of the main functions, or  
8 one of the main components of that is this marketplace  
9 protection. We don't, in the U.S., intervene in the market  
10 as much as other countries -- you know, some other countries  
11 do. We tend to take a very hands-off approach, and health  
12 care is no different than any other aspect of the market.  
13 So, from CMS's standpoint, we looked at it more as  
14 encouraging the market.

15           Specifically, with CMS we have something called  
16 the Triple Aim that is trying to promote an improvement of  
17 health care population in the United States, improve the  
18 health of the individual, and do this at an affordable cost.  
19 So, we have these three things that we have to consider.  
20 Specifically in respect to moly-99, two of those come in to  
21 place. On the one hand, you need to encourage the market to  
22 make sure that we have the tests available for patients as  
23 they need them, but on the other hand we need to promote  
24 efficiency, and efficiency from our standpoint means  
25 providing services at the lowest cost. That means that, in  
26 this particular environment we're creating kind of a balance  
27 of making sure that enough money is flowing from CMS into  
28 this particular segment of the health care environment that

1 the industry will be able to stay healthy. On the other  
2 hand, we don't want to drop any penny that we don't  
3 absolutely have to. And we do support presidential  
4 initiatives; the Global Threat Reduction Initiative is one  
5 of them. But have to note that things like this are only to  
6 the extent allowed by law, and that comes into play as I  
7 start to discuss a little bit of our constraints.

8           First off, there's a lot of discussion about a CMS  
9 incentive, and so it's very important to bring up over and  
10 over the difference between a reimbursement and an  
11 incentive. I would look at an incentive as some sort of  
12 bonus to create a new behavior; reimbursement on the other  
13 hand is compensation for existing behavior. Because  
14 anything that we do must be consistent with our statutory  
15 authority, we can get into reimbursement. We don't have any  
16 statutory authority for incentives. So, if there's any  
17 incentive in our payments, it's an incidental benefit. The  
18 other things is, and this gets into a comment that was made  
19 in a question to Parrish, is that we can only pay the kind  
20 of the end users of the health care delivery system. So, we  
21 can pay hospitals; we can pay physicians; we can't pay  
22 pharmacies, at least other than Part D, which is a little  
23 different entity; we certainly can't pay manufacturers,  
24 processors, and reactors.

25           And then the last thing is that CMS is a large  
26 payer. We are the largest payer and the largest user in  
27 terms of the dollars that are coming out from moly-99  
28 towards health care uses -- or actually, I guess towards all

1 its uses. On the other hand, our market share is still on  
2 the order of 20 percent or so. Depending upon how you  
3 calculate it, it's some fairly wide ranges, but we're not  
4 talking about a majority of the market; we're talking about  
5 being a large player in a very diversified market.

6 In terms of economic constraints in addition to  
7 our statutory constraints, in looking at payment options, we  
8 also considered a number of things. One important point was  
9 that full cost recovery is something that is not easily  
10 audited and not easily tracked; in fact, it's also very  
11 difficult to define. If you have a company that has fully  
12 amortized a capital expense -- its reactor. We're now past,  
13 let's say, the 40 years that they paid for the reactor. Are  
14 the additional costs of that capital expense something that  
15 needs to be in its pricing? Various arguments of full cost  
16 recovery would say no, but yet that creates a disparity in  
17 the market of the sorts that exist right now. So, what is  
18 full cost recovery? How can audit it? How can you track  
19 it? That was something that we really could not find the  
20 solution for, and we had to deal with that in a different  
21 way that I'll discuss.

22 Another thing is that one of the proposals that's  
23 been made over and over to us has been that we could solve  
24 this problem -- create additional stability by unbundling  
25 the radiopharmaceutical. Now, in terms of cost, it's  
26 important to know that the cost of the isotope in terms of  
27 the final test per person is on the order of \$10. Is it \$2?  
28 Is it \$20? Again, it depends upon your accounting

1 principles, but it's on the order of \$10. The cost of the  
2 radiopharmaceutical varies considerably depending upon the  
3 pharmaceutical-specific drug that's being attached to the  
4 radioisotope, but it's on the order of \$50. Again, \$30,  
5 \$130, wide variation, but on the order of \$50. The cost of  
6 the overall test: on the order of \$500. So, we're looking  
7 at something that, for the isotope, a very small part of  
8 this very large expense for the test. Now, even unbundling  
9 that relatively -- or approximately \$50 radiopharmaceutical  
10 doesn't really create a factor that can differentiate  
11 between non-HEU or HU moly, or full cost recovery/non-full  
12 cost recovery moly. In fact, even unbundling the  
13 radioisotope itself doesn't. If we paid for the average  
14 cost of the radioisotope separately, it would still be  
15 cheaper for someone that was not using full cost recovery;  
16 they could underbid someone else, and if there's additional  
17 costs -- and there are additional costs of using non-HEU  
18 sources, that would be a competitive disadvantage for those  
19 producers.

20           So, these unbundling proposals don't really get to  
21 the root of the problem. The other thing from our  
22 standpoint is that unbundling is not really consistent with  
23 our general reimbursement models. Our approach is that --  
24 really going back to the DRGs, or Diagnostic Related Groups  
25 of the 19 -- introduced in the 1980s is that if we pay large  
26 bundles, large packages to care of someone who's had a heart  
27 attack, then the individual hospitals and physicians can  
28 make choices about what they want to include, what they want

1 to provide, and that's where the efficiencies come in the  
2 health care system, as opposed to the government saying,  
3 "Thou shalt provide this, and we will pay that."

4           So, the solution -- the approach that we took was  
5 a couple of things. First off, we determined that we would  
6 link this non-HEU conversion to full cost recovery at the  
7 consumer level, the way that we're looking at our payments.  
8 And part of the reason for that is that there's a very  
9 strong correlation between full cost recovery and non-HEU  
10 sources. The non-HEU production facilities are newer, and  
11 generally being implemented without the support of the  
12 government; certainly without the legacy reactor instead of  
13 already -- are kind of into their twilight periods where  
14 capital cost has been accounted for. Second is that: non-  
15 HEU sourcing is something that is much more easily tracked,  
16 thanks to the Food and Drug Administration, which keeps  
17 really detailed records on everything that goes into the  
18 drugs that you put in your body, and really anything else  
19 that we use in the health care world for patient purposes.  
20 There is a record that says, "This particular dose came from  
21 this source, and that was a non-HEU source." And then the  
22 last thing is that because of both of those and one other  
23 factor, that's non-HEU sourcing creates an artificial  
24 benefit that we can use as a proxy. If you -- I talk about  
25 it sort of like dolphin-free tuna. It's something that you  
26 can -- in talking to hospitals, and in talking to  
27 physicians, and in talking to patients, you can say that  
28 this is safer source of your medical test. This has

1 implications for the safety of the world, which is not  
2 something you can do at full cost recovery. That's --  
3 patients really have no interest in that kind of discussion.  
4 So, by packaging these two things together -- linking these  
5 two things together, we felt that we could have a benefit  
6 when we were creating our payment options. So, this is now  
7 a defined and visible payment differential, and we can  
8 reimburse hospitals for that differential. The weakness is  
9 that we pay hospitals, as I mentioned before. Only the  
10 industry can take that payment differential and move it back  
11 through the supply chain to the reactors and the processors  
12 where the real cross [spelled phonetically] differential  
13 occurs. So, our intent with our payment option was to  
14 create a payment to cover the increase cost -- so, increased  
15 cost holding, not incentive -- of the Medicare portion of  
16 full cost recovery non-HEU sources. We can't pay for non-  
17 Medicare patients.

18           In addition to paying for this increased cost, we  
19 wanted to create a signal, and I think that in many ways  
20 that's the most important factor, is sending a clear signal  
21 that Medicare backs a sustainable pricing model. That is,  
22 our belief that increases in cost due to either movement to  
23 full cost recovery or movement to non-HEU sources is  
24 something that can be easily absorbed by the industry, and  
25 will not, from the end-user perspective, create  
26 significantly higher costs that would cause problems with  
27 the health care industry. We also wanted to make sure that  
28 we minimized the hospital administrative burden. We're

1 talking about a benefit that's going back up the supply  
2 chain to producers and processors, not to hospitals. On the  
3 other hand, our payments go to the hospitals, so we wanted  
4 something that would not create a significant amount of  
5 effort for the hospitals.

6           And then the last thing was that: we realize that  
7 during the transition process, there are going to be a  
8 number of administrative issues that won't be in place once  
9 this transition is continued -- is complete. Looking at  
10 this transition as happening over a four- or five-year  
11 period, it was our expectation that there may some  
12 administrative costs of, for example, keeping track of non-  
13 HEU doses versus your HEU doses. We did not feel that that  
14 was something that needed to be built into our model,  
15 because we were targeting the model towards the difference  
16 in total cost to the end user of the conversion, not of the  
17 process that converted them. So, in explaining the amounts  
18 that we came up with, we were not looking at pharmacy costs  
19 for paying one source versus the other, keeping their doses  
20 separate, or anything like that. We're only saying, "What's  
21 the additional cost of the non-HEU sourcing at full cost  
22 recovery?"

23           The payment that we introduced -- we used hipix  
24 [spelled phonetically] to -- which is a kind of coding that  
25 hospitals use to report procedures and pay on the basis of  
26 those. So, we created a code effective 1/1/2013, this Q9969  
27 code, and this is a payment -- allows a payment of \$10 per  
28 dose for any dose -- for any diagnostic test using

1 technetium-99 that was produced from a non-HEU source using  
2 full cost recover principles. So, again, we're trying to  
3 package this together.

4           As a practical matter, this is an outpatient  
5 payment. The inpatient system that has huge diagnostic  
6 related groups, or a single payment for your entire hospital  
7 stay, really isn't conducive to a \$10 payment addition. If  
8 you've got a \$9,000 payment, \$10 one way or another doesn't  
9 make a whole lot of difference. Additionally, the legal  
10 authority for this payment has to do with the difference  
11 between the costs to one hospital versus the costs to  
12 another hospital. So, we did not have legal authority to  
13 extend that -- this particular payment to a physician  
14 office. That limits the environment.

15           And so, as I've said, we really are paying for  
16 some increased costs, but in a much larger fashion, this is  
17 a signal to the industry more than it is real dollars  
18 flowing into the pipeline, because we only control one small  
19 part of the pipeline. On the other hand, where CMS goes a  
20 lot of the health care industry follows. We create a code;  
21 other people use the codes. So, it is not -- would not be  
22 unexpected to find that many, if not most, Medicaid programs  
23 would follow the Medicare lead. Commercial programs are  
24 perhaps slightly less likely, but having previously worked  
25 for a large commercial insurer, I know that we, in general,  
26 tend to import payments and make payments. It depends upon  
27 the individual contracts with hospitals, but there should  
28 still be a significant trickledown effect among private



1 insurers.

2           The impact of the individual payment. Looking at  
3 the added cost of a conversion as being something on the  
4 order of \$3 or so up to a high end of about \$10, we felt  
5 reasonably confident that this \$10 per dose payment was  
6 covering the added cost of full cost recovery -- of  
7 additional conversion of full cost recovery, and of  
8 conversion to non-HEU sources.

9           Looked at another way, if you multiply this by the  
10 number of doses that can come out of a generator, and you  
11 looked at expected increases based on some generators that  
12 are already out there, of generator cost, this type of level  
13 of payment would allow radio pharmacy to absorb a doubling  
14 of the generator costs. Now, that's assuming that the  
15 payment was made for all doses in the generator, which is  
16 not the case. But one way or another -- again, this is a  
17 signal that, at least from Medicare standpoint, we believe  
18 that the health care industry can absorb whatever cost  
19 increases are necessary. And the final point is, again,  
20 this is targeted at reimbursing real costs; not at creating  
21 an incentive to induce people to create a conversion. Our  
22 feeling is that this conversion is going to happen. We want  
23 to make sure that we can remove roadblocks to that  
24 conversion. In creating this payment we did a fairly  
25 comprehensive analysis of the industry, of the models, of  
26 the supply chain, and we based a lot of this on both prior  
27 National Academy study and then a more recent OECD analysis.

28           The OECD analysis was very detailed; carried out

1 over a couple of years. There are actually a number of  
2 different components to it. And a lot of what we did was  
3 apply that analysis to the United States, and determined  
4 that really, we have not found any particular reason to feel  
5 that the United States is any -- in any way unique relative  
6 to the rest of the world; that it is basically a world  
7 market, and that the information that was provided to the  
8 OECD and went into their models really is equally applicable  
9 to the United States.

10 Another thing that we came up with is in looking  
11 at the model of both past payments and past production, and  
12 future payments and production is that unfortunately, a  
13 competitive advantage for subsidized production, whether  
14 we're talking about HEU or non-HEU production, is going to  
15 continue in the supply chain in the future. Putting more  
16 money in at the end of the chain doesn't address that --  
17 those potential inequalities at the beginning of the chain.  
18 We think that there will be modest increases in payments  
19 that will cover the costs. Again, significant increases in  
20 cost at the reactor and the processor translate to very  
21 small increases in cost -- percentage increases in cost at  
22 the user end.

23 So, we see no problem with the payments increasing  
24 as costs increase, but there's no guarantee, and in fact  
25 little economic pressure, to ensure that those increased  
26 payments are actually going to translate back to the  
27 producers and the processors. And that leads to the  
28 conclusion that the payment initiatives, whether it's ours

1 or any payment initiatives, really cannot promote full cost  
2 recovery. We can promote an industry-wide movement to full  
3 cost recovery, but we actually can't do anything other than  
4 really make sure that there's money at that -- the table at  
5 the end of the line.

6           Since there's no difference in benefit between  
7 full cost recovery doses to a patient, it doesn't matter  
8 where the moly-99 or where the technetium came from. It's  
9 really -- market reforms that are going to promote a stable  
10 environment for production are going to depend on equalizing  
11 user costs. So, we're talking about taxes, subsidies, or  
12 some sorts of passthrough payments that we don't have  
13 statutory authority to do. And that's because of the cost  
14 differentials at the reactor level, and so any payment  
15 differentials have to be passed up to the reactor. But that  
16 can't happen, because in the middle of the supply chain is  
17 this generator, and the generator is a step where one  
18 generator creates many, many doses, and there is not a one-  
19 to-one or one-to-x relationship. So, there's a break in the  
20 relationship between cost and dose, and cost and supply of  
21 moly-99.

22           What that means is that: a payment differential --  
23 and our payment differential in specific -- can provide a  
24 tool, but it doesn't really directly use the tool. Any  
25 benefit for a stable supply really depends on the way the  
26 tool is used. And again, there's the acronym [inaudible],  
27 and at this point, if there's any questions, anything that I  
28 could clarify further, I would be happy to answer them.

1           CHAIRMAN MALMUD: Thank you, Dr. Duvall. Are  
2 there questions for Dr. Duvall? Dr. Van Decker?

3           MEMBER VAN DECKER: Thank you, Sir. I have a  
4 handful of questions, if I might. First of all, Dr. Malmud  
5 is always the greatest summarizer of someone's presentation,  
6 but I would look at this presentation as, I am a reluctant  
7 participant in this process, because I'm not sure how far  
8 what I'm doing gets back to the initial part, but I'm  
9 willing to try to be helpful.

10          DR. DUVALL: I wouldn't say reluctant. I would  
11 say our eyes are wide open; that we can't control how far it  
12 goes back. We'll put the money on the table or, as I was  
13 telling Orhan a few minutes ago, we can put the food down on  
14 the table. Whether people eat and who eats, we can't  
15 control that.

16          MEMBER VAN DECKER: Okay, so a couple of comments,  
17 if I might. You now have one quarter's worth of high  
18 computer data on this queue code. Can you give us some  
19 sense for what percentage of times it's being hit so far?  
20 Are there pockets that are hitting it? Are the pockets  
21 related to their access to LEU? How do you see that playing  
22 out in its early stages so far, just epidemiologically?

23          DR. DUVALL: Our data at best lags by about a  
24 month. So, the most that I could see would be January and  
25 February data. I haven't actually looked, because we're  
26 actually looking at some different sets of data right now  
27 for other purposes. Our expectation was, and from what I've  
28 heard in talking to people, is that they're -- the code is

1 being used some; very little, and that was actually  
2 according to our model. Because we're introducing  
3 additional costs a year at a time and then balancing in  
4 future years, if we had expected a very rapid adoption of  
5 this side and a, you know -- a -- let's say a 25/50 percent  
6 utilization, I would have had some really heavy-duty  
7 explaining to do OMB, and this wouldn't have happened.

8           So, keeping in mind that, as Parrish, maybe 10  
9 percent of the supply is eligible for this payment, you then  
10 cut that down to, say, half to look at what's being provided  
11 in a pure form as opposed to being blended, because we had  
12 to look at a payment differential for hospitals, and paying  
13 for blending really wouldn't work. We're now down to two or  
14 three percent, and adoption is probably on the order of 10  
15 percent of that. So, we're talking a few handfuls of doses,  
16 but they are out there, and as near as we can tell,  
17 scattered around.

18           MEMBER VAN DECKER: Okay, so we know the  
19 commercial industry hasn't picked up the queue code at all.  
20 So, what's your sense for Medicaid as a partial partner of  
21 yours at the state level for picking it up right now?

22           DR. DUVALL: The -- there's a difference between  
23 adoption of the code as a payment mechanism and utilization  
24 of the code to actually achieve payments. I actually don't  
25 know -- I don't know whether any Medicaid plans have or have  
26 not. It depends on the specific plans. One of the ones  
27 that I was associated would have adopted it by now just as  
28 an automatic one because it's out there.

1           MEMBER VAN DECKER: Okay, it's not like a CD  
2 [unintelligible] answer. That's good; I like it. Code  
3 difficulties, obviously. You know, you recognize that, you  
4 know, your point of not paying for the administrative burden  
5 of the transition point -- you know, \$10 for somebody  
6 changing a charge master in the hospital setting, actually  
7 tracking all these codes, is not a small number. And so, I  
8 would just put out on the table that, you know, as we look  
9 what goes back the food chain, you know, the administrative  
10 burden is, unfortunately, about a small percentage of this.  
11 And hitting on two more, if I could: Number one, hitting on  
12 the crux of this issue being a trust but verified kind of  
13 guy, you know, in a complicated model of where things are  
14 coming down where the generator obviously is the big catch  
15 point, you know, how do you see we should look to be sure  
16 that this current policy has actually had an effect? Once  
17 you put a policy into effect with a trial to see if  
18 something happens, how are you sure -- what parameters do  
19 you use to see how it affected things?

20           DR. DUVALL: Which affect are you speaking of?

21           MEMBER VAN DECKER: For cost recovery, or shifting  
22 of the LEU, I guess, towards full cost recovery.

23           DR. DUVALL: Okay, from our standpoint, remember  
24 that our particular requirements -- authorization from  
25 Congress doesn't allow us to promote full cost recovery.  
26 That would be -- gets into that incentive world. So, from  
27 our standpoint it is making sure that the reimbursement is  
28 there. I think the way that we would measure that, and the

1 way that I expect that we will continue to measure because  
2 we will be monitoring the utilization of the code over the  
3 next five years, we're looking at it, again, as a five-year  
4 time horizon. And what our expectation is, is that over the  
5 course of the five years, we will see the utilization of the  
6 code go from very small to very large, and essentially,  
7 that's tracking industry conversion.

8 MEMBER VAN DECKER: And then my last question, if  
9 I could. Now, obviously, from your seat it's pressure-  
10 control -- pressure price controls, and bundling is a key  
11 word in life. How do you see transition ending? You see  
12 the queue code just being added in at its base cost to the  
13 base reimbursement? You see a percentage of it being added  
14 back in? You see a percentage of the utilization of the  
15 code being added just to the total codes? How do you see  
16 that playing out?

17 DR. DUVALL: From the way that the payment systems  
18 work, if the industry decided today that it expected its  
19 cost to increase by, say, \$10 a dose in two years, and the  
20 industry as a whole, you know, without collusion and  
21 monopoly collusion and things like that, decided that it  
22 wanted to proactively raise its prices by \$10, those costs  
23 would pass down, and we would pay them. So, what this is  
24 actually doing is, assuming that costs are going to go up,  
25 and, in a sense, prepaying costs -- we're saying that we  
26 expect the industry cost to go up, so we're going to earmark  
27 some money right here and put it on -- out in front. Now,  
28 as the costs actually do go up, then within five years, the

1 full cost of conversion will be part of the system. At that  
2 point, the queue code goes away.

3 MEMBER VAN DECKER: Right, but the statutory  
4 requirement of HOPs is based on hospital claims data from  
5 two years prior. If the percentage of the cost hit the  
6 hospital level is only half a percent, or one percent,  
7 because it's not at the farmer level, then the amount that  
8 that cost had seen the claims data to recover back along the  
9 line is going to be much harder to get to.

10 DR. DUVALL: The -- if it's -- if the cost is  
11 being passed to the hospital even though it's only 1 percent  
12 of the total hospital payment, that full 1 percent of --  
13 that full 1 percent goes in. So, the entire cost is going  
14 to be accounted for. Now, in terms of this --

15 MEMBER VAN DECKER: Saying that the hospital finds  
16 it and does it appropriately, yes.

17 DR. DUVALL: Assume -- that's the whole supply  
18 chain thing, and that's actually the market forces that  
19 create problems for someone who has full cost recovery  
20 compared to somebody who can offer a lower cost product  
21 without full cost recovery.

22 MEMBER VAN DECKER: I thank you for your time,  
23 sir, and I thank you for your patience.

24 CHAIRMAN MALMUD: Thank you, Dr. Duvall. Are  
25 there any other questions? Now, thank you for the --

26 MEMBER WELSH: Yes.

27 CHAIRMAN MALMUD: Oh, excuse me. Dr. Welsh?

28 MEMBER WELSH: Thank you. At the risk of sounding



1 like a broken record, having said this many times at the  
2 ACMUI and various other meetings, I do have a bias as a  
3 radiation oncologist who appreciates the challenges that my  
4 diagnostic brethren are experiencing with this moly-99  
5 shortage. But as a radiation oncologist involved in  
6 radiotherapy, I have a vision-based bias, number one,  
7 because I don't want to see the isotopes that I need  
8 disappear, and therefore, I'm an advocate of diversifying  
9 technology. But my question might be, is this exclusively  
10 for technetium-99m? I think from the discussions it sounds  
11 like it is. And would it be possible for this concept that  
12 you're developing to apply not just to the diagnostic  
13 isotope, but to any isotope that is used for diagnostic or  
14 therapeutic purposes that is produced without the use of HEU  
15 when non-HEU alternatives may present themselves. So, I  
16 suppose it's a question that is, can we use the idea for  
17 therapeutic isotopes in addition to the moly-99 isotope?

18 DR. DUVALL: The answer is that it is possible.  
19 It was extremely difficult to find authority to actually  
20 create this payment in the first place. We had to base it  
21 on the fact that some hospitals would have -- could have a  
22 non-HEU source at higher cost than another hospital, but  
23 have a different source, you know, through different  
24 suppliers, and had HEU sources. We had an authority  
25 equalize payments to hospitals. The biggest reason for  
26 limiting it to Tc-99 was that this is a -- really, the  
27 biggest elephant in the room. Expanding it further gets  
28 into services that are used much less frequently, and as

1 you're aware of, the administrative difficulty for the  
2 hospital, even with the frequency that this is used and even  
3 at \$10 dollar amount there's a lot of hospital resistance  
4 to, you know, why do we want to get into this. So, it was a  
5 balance, it could've been done, but it was felt that getting  
6 that through Office of General Counsel and getting  
7 acceptance by the hospital industry probably was not  
8 something that would happen. There was achievable, so we  
9 limited it this year. In terms of comments to future rules  
10 and things like that, you know, that's always something that  
11 we could consider further.

12 CHAIRMAN MALMUD: Thank you. Dr. Welsh?

13 MEMBER WELSH: If I might add a follow-up comment.  
14 I think one of your points was that patients might not  
15 really be too concerned about where their isotope is  
16 produced. I might challenge that simply because as a  
17 radiation oncologist all my patients must provide informed  
18 consent, and with the therapeutic isotopes I could envision  
19 in the future asking the patients that if there is an  
20 alternative that uses non-HEU-produced isotope, would you  
21 check the box in this consent form; if not, don't bother  
22 with it. It's just a comment. I suppose I would challenge  
23 that assumption that patients wouldn't prefer the dolphin-  
24 free tuna.

25 DR. DUVALL: Clarification: that was actually our  
26 assumption as well. We felt that patients would prefer the  
27 dolphin-free tuna; Patients would not prefer full-cost  
28 recovery tuna. That was something that would not resonate

1 with them.

2 CHAIRMAN MALMUD: Thank you, and there's a  
3 question from Mr. Mattmuller.

4 MEMBER MATTMULLER: Hi, Steve Mattmuller. In  
5 regards to the OECD analysis of, I guess, I would challenge  
6 the assumption that the European market is identical to the  
7 U.S. market given the huge differences in health care being  
8 government-sponsored over there versus our system here. So  
9 there's a huge difference in payers. And also in regards to  
10 the previous comment that right now they have like 10, 15  
11 percent reduction of non-HEU Moly in the world. The U.S.  
12 could really only expect to see about half of that, so we're  
13 really dealing with 5 to 7 percent of our total moly now  
14 potentially non-HEU.

15 In regards to your analysis conclusions that in  
16 the -- I've heard this a few times and I don't understand  
17 where -- the concern seems to be that the hospital gets the  
18 patient. How is that additional money going to work its way  
19 back up the supply chain? And I can assure you right now in  
20 our current state with our -- it's still a fragile moly  
21 supply, as we all know, with the one big reactor down and  
22 actually they want to shut the Navy reactors over Duke --  
23 being shut down for routine maintenance. So we're really in  
24 a very perilous situation right now. And everything the  
25 manufacturers have had to do to bring additional moly into  
26 the market has raised their cost already, and I can assure  
27 you they're not shy about raising our prices. I mean,  
28 because we've already experienced significant increases for

1 our technetium generator at the hospital; already in the  
2 past few years, despite our national price contracts that  
3 say, you know the price will stay the same for three or four  
4 years. That's just been blown away. So we're already  
5 getting substantial price increases now just for HEU moly  
6 because the supply is so unreliable. And so I do have a  
7 question for you, in regards to your model, when you look at  
8 -- and this goes back to the farmer analogy, that price  
9 increases for the farmer because of the efficiencies of the  
10 distribution. If it's a 50 percent increase in moly cost  
11 it's not a 50 percent increase at the consumer level or  
12 patient dose. So in your model if you look at a technetium  
13 generator, say for every curie that's in a generator, how  
14 many doses come from that curie?

15 DR. DUVALL: The answer to that one is that -- one  
16 clarification first, and that is we did not assume that the  
17 U.S. market was identical to the European market, rather our  
18 assumption -- or, not our assumption -- our conclusion was  
19 that the elements going into the model that OECD used as a  
20 world model were not significantly different when applied to  
21 the United States. So, yes, there are differences, but the  
22 fundamental assumptions and conclusions did not  
23 significantly change when we looked at the U.S. market.

24 Now with respect to the dosing, one of the  
25 differences between the U.S. market and European market is  
26 that we -- at least our evidence is that on the average we  
27 tend to have larger generators and a wider distribution for  
28 our regional radial pharmacies which actually creates some

1 efficiencies. The range in generators, as you know, is  
2 extremely wide, so for the purposes of a model we used a  
3 standardized 10 curie generator. However, the model that we  
4 used is actually a spreadsheet model with hundreds of cells  
5 in it and various distributions for each element so that the  
6 model can -- you can change any particular assumption within  
7 the model. And one of the things we can do is vary the  
8 generator size to see what happens if you assume five curies  
9 or 15 curies. In terms of doses per generator, that depends  
10 on the efficiency of the generator. On the order of, I  
11 think, several hundred doses, I believe on the order of  
12 about 300 doses from a 10 curie generator; however, the  
13 range is extremely broad and that actually shows the biggest  
14 problem that we have in the model is the -- not just the  
15 reliability of the data but the variability of the data.  
16 The first thing that we can measure, the one thing we can  
17 measure directly is the costs to a hospital by looking at  
18 the hospital charges, reducing it to cost on the basis of  
19 the cost reports that we have full access to. And what we  
20 find is that the costs for the tests, this basic -- let's  
21 say it's about a \$600 to \$700 for one of the particular  
22 versions of the test. Hospitals report that as costing them  
23 between \$200 and \$1,200. It's that much of a range  
24 depending on the accounting systems of the hospital. Well,  
25 clearly if they were all using the same accounting systems,  
26 their salaries and things like that don't create that much  
27 difference. So it's an accounting impact. That same  
28 variability hits at things like the doses per generator, and

1 the only way there we're able to do it was to take the  
2 model, look for midpoints, and then vary the model to see as  
3 we varied it what would be the impact on the final dose.  
4 And the main thing was that you could have wide variations  
5 at any individual step that tend to have the buffers by  
6 other steps.

7 CHAIRMAN MALMUD: Thank you. A follow-up, please.

8 MEMBER MATTMULLER: Yes. Just a comment, then --  
9 I think at our hospital I think our financial system was  
10 approved by Congress so that may explain why you have these  
11 wide variations.

12 [laughter]

13 Because at times we don't even understand it. So  
14 I can appreciate the wide numbers that you get. And I guess  
15 I made this comment with a previous speaker. The problem as  
16 I see it is the transition because if every dose we were  
17 giving, coming into the hospital, was from a non-HEU source,  
18 that makes using this code so much easier. And even if we  
19 do have a relatively steady supply of non-HEU coming into  
20 the hospital, it's relatively easy -- once we go through all  
21 the work of setting it up the billing ought to be automatic,  
22 just about. Now then -- but you do have to take a step back  
23 which is going to make us reluctant participants, is not  
24 knowing what the cost -- as soon as we find out what the  
25 cost increase is -- because cost increase applies to all of  
26 our patient doses, not just the hospital outpatient patients  
27 who are then needing to take all the doses, hospital out-  
28 patient doses, Medicare-covered hospital out-patient doses.

1 So this additional \$10 per dose is going to be needed for  
2 our perspective, the hospital, need to cover the cost -- the  
3 additional cost -- at all the other doses. So that's going  
4 to be a hurdle to jump over.

5           But if and when we do get past that and do try  
6 this -- as you know with our -- and it's just fragile, our  
7 whole supply, and even with the newer sources coming out  
8 it'll still be fragile. It's entirely possible that we'll  
9 be using non-HEU moly or technetium, but then there'll be  
10 some interruptions, and then that's when it really gets kind  
11 of scary. It's like, okay, we've been using non-HEU, we've  
12 been charging an extra \$10, now we're not, so now we have to  
13 go into our system -- and basically it's like a manual  
14 system -- say, no, don't charge the extra \$10. And in  
15 talking with several people from my department who are far  
16 more knowledgeable about this than I am, and they said, you  
17 know what, our lawyers -- we have lawyers in the hospital,  
18 too, say, it's not worth the risk of billing for something  
19 that we didn't actually incur the extra cost to because of  
20 the additional penalties that can be applied to the hospital  
21 that our legal staff, and accounting staff, and billing  
22 staff are very, very aware of; so that's the real risk we  
23 have at our level during the transition phase.

24           DR. DUVALL: One of the downsides, I think, of  
25 being a government employee now as opposed to one of my  
26 prior hats is that in response to issues like that I used to  
27 be able to go sit down with the individual financial  
28 managers of the hospitals, the CFOs and their staff, and

1 actually work with them on how they could configure their  
2 systems easily. My prior job at the -- before CMS was  
3 actually systems efficiency expert and so I did system  
4 configuration, things like that. I can say that it is not  
5 as difficult as some hospitals believe and sometimes it's  
6 knowing just the one or two facts that allow you to say,  
7 "Oh, that's how you can do it." But we didn't - in  
8 determining this, I mean we produced the thousands of codes  
9 every year that the hospitals have to put in their systems,  
10 so we try to stay pretty close in touch with how you have to  
11 use the codes. And it was our determination after looking  
12 into it and talking to people and things like that, that  
13 actually this is not that difficult to implement and to  
14 implement safely and absolutely in a way that you would not  
15 overbill the government because we definitely get very upset  
16 when you do that. So I would urge those of you that are in  
17 the industry that are hearing that to have hospitals that  
18 are having difficulties with this talk to other hospitals  
19 because there are hospitals that have found ways of  
20 implementing it fairly easily, and I think that as that  
21 knowledge spreads it's not a lot of discussion among CFOs  
22 for a \$10 payment that they are not using that often. But  
23 again, as more LEU comes into the system the costs go up,  
24 then the pressure is going to be on the hospital that, oh,  
25 we really do need that payment, and I think they'll then  
26 find that it's actually not so difficult to implement.

27 CHAIRMAN MALMUD: Dr. Suleiman, did you want to  
28 comment?



1           MEMBER SULEIMAN: Yeah, just a quick one. I want  
2 to compliment CMS for what they did. The whole purpose of  
3 this exercise was to encourage professional procurement of  
4 the LEU-produced moly. Not during a shortage, because  
5 during a shortage you should pocket the 10 and not give it  
6 to the community. But it's during the period of time when  
7 there's ample supply of moly that people start buying the  
8 cheaper HEU, and the infrastructure that's now in place for  
9 the non-HEU production is standing there not being used.  
10 And so that component of the supply chain has been  
11 complaining, we went to all this trouble and now there's a  
12 moly glut because right now the supply is not short, and so  
13 to encourage that I think the White House policies said we  
14 really want to preferentially procured guarantee that the  
15 LEU producers are not disenfranchised once we go through one  
16 of these surges where we actually have more moly. And so  
17 the whole purpose there was to give a little bit of  
18 encouragement to differentially repay the higher cost of the  
19 non-HEU moly.

20           This is so simple I've just been fascinated; I'll  
21 be honest with you, how difficult the community has reacted  
22 to this. I've talked to radiopharmacies where they've  
23 implemented it, and they said it's not a big deal. The  
24 people who have implemented it have been quiet, they've  
25 succeeded, and it's really pretty low at this time just  
26 because of the numbers. But if it's so complicated, and  
27 I've argued this before, don't use it. Just go ahead and  
28 pay your regular rate. This was not meant to be forced on

1 the community. If you think this extra \$10 is not worth it,  
2 don't bother with it. And it's going to go away when the  
3 HEU production goes away. So it's just to help in  
4 translation over this period of time. I think you guys have  
5 done a phenomenal job and I wish people would try to take  
6 advantage of this.

7 CHAIRMAN MALMUD: Thank you. As a retired hospital  
8 CEO, I am impressed with the fact that you're introducing  
9 new billing code. Makes it easy to add it on as an add-on  
10 to the procedure and get reimbursed. I'm sure the hospitals  
11 that will enjoy this in the future are appreciative of it.  
12 And we very much appreciate your presentation here today.  
13 Thank you.

14 DR. DUVALL: Thank you very much.

15 CHAIRMAN MALMUD: We now have a schedule to take a  
16 break. We're running about a half-hour behind. What would  
17 you recommend for the length of the time for the break, Mr.  
18 Einberg?

19 MR. EINBERG: Should we take the half-hour break  
20 or run an abbreviated break of 15 minutes?

21 CHAIRMAN MALMUD: Is 15 minutes sufficient for the  
22 group?

23 MR. EINBERG: Before we go to break, I would  
24 suggest that maybe we change the agenda a little bit because  
25 there are some more interest in Ms. Weil's presentation from  
26 the members of the public, perhaps, so when we come back  
27 from the break if we could perhaps start with Ms. Weil's  
28 presentation.

1 MS. COCKERMAN: Actually, that was assuming we  
2 were going to come back at 11:15, so if we're going to come  
3 back at 11:00 maybe we should proceed with Sophie and Dr.  
4 Gabriel's presentation on ViewRay. Use that 15 minutes, and  
5 then move onto Ms. Weil's presentation at 11:15 to keep that  
6 on schedule.

7 MR. EINBERG: That sounds like a good plan if it's  
8 acceptable to the Committee?

9 CHAIRMAN MALMUD: Yes. So that will allow Ms.  
10 Weil's presentation to be exactly on schedule. Thank you.

11 [break] [resume at 11:00AM]

12 MS. HOLIDAY: So, good morning, everybody. As you  
13 know, my name is Sophie Holiday, and Dr. Gabriel and I are  
14 listed to give this presentation because we were both part  
15 of the working group that worked to develop the licensing  
16 guidance document for the ViewRay. We are both members of  
17 medical radiation safety team, and we have the pleasure of  
18 presenting to you today the status of our licensing guidance  
19 document. Today, I just want to give you a brief  
20 description of the device, touch on with the sealed source  
21 and device registry, talk about the working group, give you  
22 our progress and current status, share with you our  
23 communications plan, and then wrap it up with a summary.

24 CHAIRMAN MALMUD: Thank you.

25 MS. HOLIDAY: You're welcome. The ViewRay device.  
26 This device -- is a unique device. It's a new device. It  
27 just hit the market not too long ago. The device in  
28 particular is very unique because of its a rotating gantry -

1 - it has a rotating gantry with three Cobalt-60 radiation  
2 therapy heads and three multi-leaf collimators. This device  
3 also has a MRI system that's integrated with it so you get  
4 real-time imaging while you're treating the patient. In  
5 addition to this, you also have an integrated treatment  
6 planning and delivery software so all of the dose  
7 calculations are merged, essentially, so there's no  
8 transferring of the parameters for treatment as the patient  
9 is being treated. This information -- basic information can  
10 be found on the ViewRay website at [www.viewray.com](http://www.viewray.com). It was  
11 understanding that there may have been some representatives  
12 that were going to join us from ViewRay, but I believe since  
13 our presentation got switched around that perhaps they were  
14 unable to accommodate this change in agenda.

15           Also, I would like to share before I go further  
16 into my presentation that due to the nature of this work --  
17 guidance document, in particular, and due to the proprietary  
18 nature of the device, we are strictly limited to how much  
19 information we can disclose with members of the public. And  
20 since the licensing guidance decision or how we want to  
21 license this device is considered pre-decisional  
22 information, so at this time, I'm afraid I will not be able  
23 to tell you which particular category we will be licensing  
24 this device.

25           Sealed source and device registry. This device --  
26 the sealed source and device registry was created by Ohio  
27 and approved on August 17, 2012. On your screen if you're  
28 interested in looking up the sealed source device and

1 registration -- if you have access to that database, you can  
2 find it here.

3           The working group. This is the main component of  
4 my presentation. A working group was requested to the NRC  
5 from the state of Ohio; Ohio being the state that submitted  
6 the sealed source and device registration. And since they  
7 requested a working group to look at this licensing guidance  
8 document development, we had to send that solicitation  
9 through the OAS Board. Per NRC's management directive 8.3,  
10 Agreement State Participation in Working Groups, there's a  
11 whole process and procedure about how NRC has to go about  
12 developing a working group with agreement state  
13 participation. Among these procedures include things as a  
14 working group charter that outlines your tentative  
15 deadlines, your objectives, who is involved, and the roles  
16 that they play.

17           There were a total of six members on this working  
18 group evenly split between NRC and the agreement states.  
19 There were two individuals from headquarters. That's myself  
20 and Dr. Sandy Gabriel. The Region III representative was  
21 Ms. Frazier, who is one of the co-chairs on the working  
22 group. The other representatives were from agreement  
23 states; there was an individual from California, an  
24 individual from Ohio, and an individual from Wisconsin. The  
25 individual from Wisconsin was the OAS co-chair of the  
26 working group. As you will note that we have three  
27 individuals from agreement states, and the other individual  
28 from Region III. The reason why these individuals were

1 chosen is because Ohio has an interest in that ViewRay is  
2 based in Ohio, and they were the individuals who created the  
3 sealed source device registration. Region III is involved  
4 because they have a licensee who has the device. Wisconsin  
5 also has the device. For those of you who may not know, Dr.  
6 Langhorst and Dr. Thomadsen's facilities currently house  
7 those devices. And California is expecting an application  
8 quite soon. So all of the individuals that were on the  
9 working group were familiar with the device and were the  
10 knowledgeable people to be involved in the development of  
11 this licensing guidance document.

12           Onto the progress and the current status. So, we  
13 formed the working group a couple months ago, and a charter  
14 was drafted and concurred upon -- concurred by both the NRC  
15 and the OAS Board. So both parties were aware of the  
16 procedures and objectives of the group and how the  
17 proceedings were supposed to go forward. The working group  
18 recently completed their initial draft of the licensing  
19 guidance document, and this is currently undergoing review.  
20 We just received comments from Regions I, III, and IV, and  
21 the OAS board; so the working group will be meeting later on  
22 this week to go over the comments to hopefully resolve the  
23 comments. After the document has gone under review, it will  
24 go through our management, and then it will also go through  
25 legal to make sure we're not doing anything we're not  
26 supposed to. And then that brings me to our next slide,  
27 communication.

28           There are several methods which NRC may use to

1 communicate with members of the public and the agreements  
2 state stakeholders on the licensing decision for particular  
3 devices. One of the methods is the medical listserver. I  
4 believe everyone here on the committee is a part of that.  
5 If you are not, you can simply send an email to the email  
6 address that's listed here and request that you be added to  
7 the medical listserver. Gretchen Rivera-Capella is actually  
8 the project manager over that medical listserver. An  
9 additional step that we would take is to issue a memo to the  
10 NRC regions and the OAS board to inform them of our decision  
11 of how we chosen to license this device. Another method  
12 that is available is the medical toolkit. The medical  
13 toolkit amongst other things has such things as 35.1000  
14 guidance, FSME newsletters, other regulations, and  
15 references.

16           So, in summary, the working group completed its  
17 initial draft, and it is undergoing review. The working  
18 group will meet this week to hopefully resolve all the  
19 comments. And then upon approval, the guidance will be  
20 shared via multiple routes so that we can reach as many  
21 stakeholders as possible, as many agreement states,  
22 stakeholders, and members of the public that may be  
23 interested in this device licensing.

24           So, here are some acronyms. And that completes my  
25 presentation. Do you have any questions?

26           CHAIRMAN MALMUD: Thank you. Questions or  
27 comments for Ms. Holiday? Please.

28           MEMBER WELSH: Jim Welsh. So I heard you say, I

1 believe, that you wouldn't be disclosing where in 10 CFR 35  
2 this will be licensed, but from my perspective as a  
3 potential user, the radiation oncologist, I can't understand  
4 any reason for in not being in the clearly defined 690  
5 teletherapy section. Is anybody aware of any reason why it  
6 wouldn't fit well in that section right now? To me, it  
7 seems like a teletherapy unit that has modernized image  
8 guidance.

9           CHAIRMAN MALMUD: Perhaps someone from NRC staff  
10 can answer.

11           MS. HOLIDAY: There's a limitation on how much we  
12 can share as far as our licensing decision without actually  
13 announcing what it is, but I do understand your concerns.  
14 When staff looks at devices, what we do is we evaluate it  
15 against our regulations, and that device has to meet all  
16 those regulations. If for some reason it can't, then we  
17 consider another category. I'm not saying of course that it  
18 would be 600, but this is how we evaluate every device that  
19 we get. So we evaluate it against the current regulations  
20 and see how it fits, and then if there are certain  
21 components that don't fit, then it gets moved to another  
22 category.

23           MEMBER WELSH: My question here might be just as  
24 last year with Dr. Zanzonico and we had our radium-223  
25 dichloride subcommittee to ultimately provide some advice or  
26 recommendations about licensing. Why would there be no need  
27 for ACMUI input ahead of time for you to make this decision?

28           MS. HOLIDAY: Sure. One of the reasons why we



1 didn't necessarily go through ACMUI this time is because we  
2 didn't want to delay the use of the device. As I've been  
3 told, some licensees are trying to use this device as early  
4 as this summer. And duly noted, it may have not been  
5 sufficient time to form a subcommittee and get a report and  
6 get adequate feedback in order to make this licensing  
7 guidance document. However, I will say that a guidance  
8 document is simply a guidance document, and there's always  
9 opportunity for comments to be provided on licensing  
10 guidance documents.

11 CHAIRMAN MALMUD: Thank you. Dr. Langhorst.

12 MEMBER LANGHORST: As Ms. Holiday said, my  
13 institution, my organization does have a ViewRay device with  
14 sources at this point in time that the ViewRay Incorporated  
15 is still testing and getting to that point to where our  
16 medical physicists will then be doing acceptance  
17 measurements and testing. We have applied to Region III for  
18 medical use of the device last September and did make the  
19 argument of why it should be considered under 35.600. And I  
20 know that Region III is considering that, and that was  
21 probably one of the driving forces also to put together this  
22 group to review it. I do want to make mention, too, that at  
23 the May CRCPD meeting, the AAPM is sponsoring a training in  
24 ViewRay licensing and some of the challenges involved in  
25 that, too. So there's a lot of discussion going on on it  
26 and a lot of excitement about this new device, and we agree  
27 that it's teletherapy heads, and an MRI system is just an  
28 additional thing to help with giving effective teletherapy

1 doses to patients.

2 CHAIRMAN MALMUD: Thank you. Other comments? If  
3 not, we'll move on to the next item on the agenda. It's  
4 Laura Weil, and we'll invite you to the front of the table.

5 MEMBER WEIL: Thank you, Dr. Malmud. Thank you  
6 for the opportunity to share with you my experiences at the  
7 2012 Thyroid Cancer Survivors' Association annual meeting.  
8 I'd like to give you a little background history. Which  
9 one?

10 MS. HOLIDAY: The one on the right.

11 MEMBER WEIL: The one on the right. Really?

12 MS. HOLIDAY: Point it this way.

13 CHAIRMAN MALMUD: You did.

14 MS. WEIL: There we go. We'll see how it works  
15 next time. So, ThyCa is a non-profit organization, really  
16 grass-roots association that provides support and  
17 information for people with thyroid cancer. It has IRS  
18 501(c)(3) status, and it's predominantly a volunteer  
19 organization. It has one full-time executive director.

20 So, the services that it provides. You can see  
21 here it's got 14,000 participants in email support groups.  
22 It's got a lot of local in-person support networks, free  
23 online newspapers. It does low-iodine cookbooks in several  
24 languages. They're working on the Chinese version. And it  
25 has periodic local workshops for informational purposes as  
26 well as this annual conference. Supports research, has --  
27 here's a partial list of its grantees. So you can see these  
28 are prestigious institutions that receive funds from ThyCa.

1 And the annual meeting has over 500 attendees on multiple  
2 days. A thousand -- a hundred separate sessions, speakers,  
3 predominantly physicians from leading cancer centers  
4 including Cleveland Clinic, MD Anderson, Mayo, Memorial  
5 Sloan-Kettering, Yale, Johns Hopkins, and also other health  
6 care providers and attorneys are represented.

7           So ThyCa decided to survey its members in 2010.  
8 It had over 2,400 respondents, and this is some of the  
9 information that it captured. Sixty-seven percent of the  
10 patients who responded to the survey were released from the  
11 treating facility within 30 minutes, 17 percent within an  
12 hour, 8 percent within two hours. So you can see that the  
13 predominant majority of patients are released very, very  
14 quickly from the treating facility. Ninety-four percent  
15 went home or to a relative's home, and 5 percent reported  
16 going to a hotel or motel. Ninety-four percent said they  
17 received oral instructions. Only 87 percent stated they  
18 received written instructions on reducing radiation exposure  
19 to others. The treatment settings were 89 percent hospital  
20 and 11 percent out-patient non-hospital settings.

21           So, I had the opportunity to attend the 2012 ThyCa  
22 conference. And I went with the intention of surveying  
23 attendees about which were family members of patients and  
24 patients, former patients mostly, to interview folks about  
25 their experience with outpatient iodine 131 therapy. I  
26 talked to more than 25 people. They are a highly motivated  
27 and highly activated patient population. These are folks  
28 who are very intelligent, very well-informed about their

1 disease, and very interested in becoming more informed.

2           My underlying concern, and this is the, you know,  
3 the soft counterpoint to Dr. Saba's presentation. My  
4 underlying concern is that patients who are given discharge  
5 instructions at the time of treatment have trouble  
6 understanding and following those instructions. And I  
7 backed that by comparing them to emergency department  
8 patients. It's been fairly well-documented that emergency  
9 department patients don't understand discharge instructions,  
10 don't know they don't understand discharge instructions, and  
11 therefore don't reliably follow discharge instructions in  
12 large numbers. It's been postulated 75 to 78 percent of  
13 emergency department patients have problems following  
14 discharge instructions. Well, when release instructions are  
15 given to radio-iodine therapy patients at the time of  
16 discharge, they are compromised the same way emergency  
17 department patients are compromised. They're frightened,  
18 they're not feeling well, they may be extremely hypothyroid.  
19 I think I get to this. Here we go, on the next slide. They  
20 are not at their best, and therefore, it's a difficult time  
21 to be giving instructions. Some centers provide them  
22 instruction well ahead of time, and some providers don't.  
23 And to assume that everybody gets their release instructions  
24 when they're feeling well and able to integrate them and  
25 with the person accompanying them perhaps who can assist  
26 them in understanding discharge instructions is a  
27 problematic assumption. Some of the barriers to  
28 understanding are fairly obvious. They may not have

1 adequate time to integrate the information. The written  
2 instructions are often, you know, in that stack of papers  
3 which, you know, can be this big with the important message  
4 from Medicare, and the bill, and information about not, you  
5 know, not bringing valuables with you to the institutions.  
6 It's a bunch of stuff that people get when they are  
7 receiving treatment, and those instructions are not always  
8 pointed out. And then a big problem that we really don't  
9 address well in any medical care is that the patient's  
10 primary language may not be used in providing this  
11 information to him.

12           So, I interviewed a lot of people, and I would  
13 like to share with you what I think is a representative  
14 sample of the stuff that people told me. So, I met a young  
15 woman who was treated at a small community hospital. She  
16 was given her final discharge instructions at the time of  
17 treatment stating she was completely hypothyroid. She felt  
18 cognitively compromised at the time. She remembers that she  
19 receives conflicting instructions from different members of  
20 the clinical team. She was feeling nauseated after  
21 treatment, but no antiemetics were offered. She wasn't  
22 offered instructions about travel home. She was not told to  
23 actively hydrate in the post-treatment period. And she  
24 learned about these concerns at the ThyCa conference. At a  
25 major university center, the interviewee told me that she  
26 received contradictory discharge information. She states  
27 she received no information about how to mitigate damage to  
28 salivary glands. She remained at the treatment site for 15

1 minutes post administration of her iodine 131. She traveled  
2 home alone. She was totally unaware of any precautions that  
3 might relate to trash disposal, eating utensils. She  
4 learned this again at the conference, and she stated, "that  
5 stresses you out, not knowing what to do."

6 I met the mom of a 10-year-old who was treated at  
7 a university hospital. The mother was given no instructions  
8 for post treatment period other than she was told to bring  
9 the big car so that she could stay as far away from the  
10 patient as possible during the long drive home. She had  
11 another child at home, a 6-year-old, and she was given no  
12 instructions to isolate the patient from her sibling. She  
13 got no information about solitary sleeping or bathroom use,  
14 or eating utensils, laundry. She was suspicious about this  
15 and being that highly activated, typical ThyCa member, she  
16 accessed the ThyCa website for information. She called the  
17 hotline, and she got more information about what she ought  
18 to do, and she sent the younger child with -- to stay with  
19 relatives for three days.

20 Another conference attendee told me she was sent  
21 to a hotel. This is her word, after her therapy. She  
22 states she was given no other opportunities or  
23 recommendations. She's now a ThyCa volunteer who staffs the  
24 hotline, and she says she receives a lot of calls about  
25 hotel stays after treatment. Many patients tell her they  
26 get instructions only on the day of treatment, and she  
27 reports that many patients state that the instructions are  
28 included in a stack of discharge papers not specifically

1 identified or verbally reviewed.

2 I met a young mom who has a 6-month-old. She's  
3 now two months status post breastfeeding cessation in  
4 anticipation of her iodine 131 treatment. She was expecting  
5 to get her treatment in the next month or month and a half.  
6 She thought she had excellent instructions from a major  
7 medical center. She showed me an email that she'd received  
8 from the center which was listed the specifics of her post-  
9 treatment period. She was very, very happy with the  
10 instructions that she received. I have to say that this is,  
11 among the 25, the only person I spoke to who was really,  
12 really happy with the way the instructions were presented  
13 ahead of time and with the access that she had for -- to  
14 people to ask questions. She said she'd gotten some  
15 conflicting information from other clinical presentations at  
16 the conference, but she was perfectly confident that she  
17 would be able to call her provider and get her questions  
18 answered. This was a happy thing.

19 So a summary of the concerns that I heard  
20 repeatedly expressed involved conflicting instructions from  
21 members of the team even at the same institution, cursory to  
22 minimal discussion of precautions, missing information, no  
23 effective contact information given for information after  
24 release. People told me that they went home and vomited on  
25 the shag rug and couldn't reach anybody at the institution  
26 to get information about what they should do to clean up a  
27 spill. A lack of information, uniform information in the  
28 medical community about appropriate precautions is another

1 thing that was raised repeatedly. So my informal conclusion  
2 based on very soft anecdotal data is that people at this  
3 conference felt that they had not received consistent,  
4 understandable discharge instructions that would enable them  
5 to maximize safety to themselves and minimize harms to  
6 others. Any questions?

7 CHAIRMAN MALMUD: Are there question for Ms. Weil?  
8 Or comments? Dr. Zanzonico.

9 MEMBER ZANZONICO: It's very interesting data.

10 MEMBER WEIL: Well --

11 MEMBER ZANZONICO: Information. It's very  
12 distressing.

13 MEMBER WEIL: Yes, I agree.

14 MEMBER ZANZONICO: It's -- I have to. It's  
15 nothing like what we do at --

16 MEMBER WEIL: Of course not.

17 MEMBER ZANZONICO: And I'll take this opportunity  
18 to again applaud NCRP Report number 155, which virtually  
19 states all of the issues that you address in terms of the  
20 immediate hopes in the period, hour so forth, people should  
21 remain under medical observation, report to discharge --  
22 this is for out-patient -- instructions and information upon  
23 the trip home, written instructions, contact information, so  
24 forth and so on. All of these are included in detail in  
25 that report, and it's what we follow at Memorial and a  
26 number of other sites follow it as well. But I'd just like  
27 to take this opportunity to plug this report once again  
28 because I think it reinforces the problems that do occur



1 obviously at a number of places where there's conflicting  
2 information even among the medical and professional staff,  
3 and I think this provides a systematic comprehensive  
4 resolution to a lot of those issues. Thank you for that.

5 CHAIRMAN MALMUD: Dr. Suleiman.

6 MEMBER SULEIMAN: I want to compliment you on a  
7 nice presentation.

8 MEMBER WEIL: Thank you.

9 MEMBER SULEIMAN: There is nothing like real  
10 information. Maybe this is not a formal, large scale,  
11 random collection of data but it's always like the first  
12 step in maybe considering that. That's why I still would  
13 urge Mr. Saba and the NRC to collect some actual dosimetry  
14 data. I'm not a big fan of modeling when it's very easy to  
15 come up with an alternative, relatively inexpensive way to  
16 collect real data because no matter what model you select, I  
17 guarantee you, it's going to be challenged. And even real  
18 data will sometimes be challenged, but there's no substitute  
19 for it. And the dilemma I think we as a committee we have  
20 always got to consider, it's not what we do at our  
21 respective institutions with highly qualified individuals.

22 MEMBER WEIL: Right.

23 MEMBER SULEIMAN: The people that need to be --  
24 whose safety has to be protected are nowhere near here. And  
25 so, what sort of safeguards are necessary to ensure that  
26 this situation doesn't exist out there. And again, if the  
27 magnitude is -- I've had some experience extrapolating from  
28 very tiny, little information to larger scale. And usually

1 what you see here is probably much more representative that  
2 we would like to admit. So, if this kind of superficial  
3 process exists out there, I would be concerned.

4 CHAIRMAN MALMUD: Mr. Einberg.

5 MR. EINBERG: Thank you, Ms. Weil, for this  
6 excellent presentation. It really does bring home, the  
7 personal nature of what we're dealing with, and emphasizes  
8 how these are real patients, real families that we're trying  
9 to protect.

10 One thing that comes to mind is that the ThyCa  
11 organization or the members of the ThyCa were surveyed by  
12 the Health Physics Society and basically to see whether the  
13 instructions were able to be followed. And there's a  
14 discrepancy in what you're reporting and what the -- what  
15 that survey indicated. And that survey indicated 97 percent  
16 of the members of ThyCa thought that the instructions were  
17 understandable. Now, however, having said that, I think  
18 that there is room for improvement. So, I just make that  
19 statement.

20 MEMBER WEIL: No, it's true, and I've discussed  
21 that discrepancy with Gary Bloom, who's the president of  
22 ThyCa. And he had some question about the accuracy of that  
23 97 percent finding about the way the question was asked or  
24 about -- just about the way that information might have been  
25 presented.

26 MEMBER ZANZONICO: Can I just say something? How  
27 did you solicit interviews at the meetings?

28 MEMBER WEIL: I just walked around and said, "Hi,

1 can I ask you about your iodine therapy -- acquisition  
2 iodine therapy. Did you -- " I mean I tried to be as  
3 neutral as possible. Now one is never totally neutral. And  
4 my bias of course is that I believe there's a problem out  
5 there with the way patients are understanding and following  
6 their discharge instructions in this particular instance,  
7 because in the broader medical world, patients have trouble  
8 following discharge instructions or understanding them and  
9 following them. And I'm not using the word compliance  
10 because I don't think it's a question of choice. I think  
11 it's that we don't a good job in general in providing people  
12 with the information they need so they can protect  
13 themselves and others. But I tried to be as neutral as I  
14 could. And except for that one woman who had a very  
15 positive experience with her provider, the other people I  
16 spoke to all had concerns about their ability to understand  
17 the discrepancies in the information that they received, to  
18 make reasonable choices or that they learned later on at the  
19 conference of things that they should have done that they  
20 weren't aware that they were supposed to be doing. So,  
21 that's how I presented it. I just said I'd like to, you  
22 know, if I could, just ask you how you felt about your post-  
23 treatment experience and the instructions that you were  
24 given.

25 MEMBER ZANZONICO: I don't know. Maybe some of  
26 the physician members can comment on this but I'm struck by  
27 the relatively large portion of those patients who said they  
28 were nauseas and vomited, because when I speak to physicians

1 at Memorial, and I think we treat more patients with  
2 radioactive iodine for thyroid cancer than anyone in the  
3 world. To their knowledge, at least what they're willing to  
4 admit, they say it's almost undetectable proportion that  
5 they're aware of -- Dr. Malmud, what is your experience in  
6 terms of immediate, post-treatment nausea among the I-131 --

7           CHAIRMAN MALMUD: I've been doing -- I've been  
8 treating patients for 40 years. I've had two that have  
9 vomited. One vomited while in the department. We were able  
10 to handle that with radiation safety cleanup. Another one  
11 vomited on the street but only blocks from the hospital.  
12 And we sent a team out there from our own radiation safety  
13 to clean it up. Those are the only two that have reported  
14 to me that they vomited, because when I see them in follow-  
15 up, I ask them about what happened after I treated them. I  
16 don't doubt that the people that you interviewed said the  
17 things that they said. Some of the things, for example,  
18 frankly are illegal in our state. It's illegal to treat a  
19 patient who doesn't speak English without having a  
20 translator there; either a live translator, which slows down  
21 the process but we have them there. Or, in the absence of a  
22 translator, a telephone translation system. So, that's  
23 actually a breach of practice not to do that to someone who  
24 doesn't speak English. The other issues I can believe  
25 occurred. They would occur when we hospitalize the patient  
26 and then discharge the patient after several days or whether  
27 they were discharged from the laboratory. These are issues  
28 which are not related to the issue we discussed before, not

1 directly related. And I don't doubt that; the patients are  
2 very anxious when they're hyperthyroid and very slow when  
3 they're hypothyroid as any of us would be. And we give  
4 written instructions, and I go into detail with patients  
5 about situations that they may be facing or experiencing.  
6 And -- but I never -- we never at our institution direct the  
7 patient to go to a hotel. In fact, I tell them specifically  
8 not to go to a hotel or a motel.

9           MEMBER WEIL: That's unusual, I think, Dr. Malmud.  
10 I think it's an option that is broadly offered.

11           CHAIRMAN MALMUD: It may be unusual, and that's  
12 why I don't doubt what you said, because I've known the  
13 patients who have gone to hotels.

14           MEMBER WEIL: To comment on your statement about  
15 the use of interpreters, when a person speaks no English,  
16 it's usual that a medical provider, if not turning to a  
17 family member, which is a very questionable practice, will  
18 access either phone interpretation or call a staff  
19 interpreter or arrange for an independent interpreting  
20 service. It's when the patient speaks English, but it's not  
21 their primary language, and it's impossible to ascertain how  
22 much of that information is actually being understood  
23 because people want -- people will -- "Do you understand?"  
24 "Yes, of course, I understand." But it's difficult to  
25 assess what degree of the information is being absorbed when  
26 English is -- English proficiency is questionable.

27           CHAIRMAN MALMUD: You're absolutely correct, but  
28 the same thing is true for the patient who's fluent in

1 English.

2 [laughter]

3 And doesn't absorb the information that the doctor  
4 transmitted.

5 MEMBER WEIL: True. It's just a double whammy for  
6 the person with limited English proficiency.

7 CHAIRMAN MALMUD: Just dealing with when they're  
8 on a medication, when the capsule should be taken. Is it  
9 before breakfast or after breakfast or in the evening? And  
10 you tell the patient, and it's written on the prescription  
11 bottle. And yet they don't follow the direction. So we  
12 find frequent non-compliance in that sense, not to mention  
13 the fact that in prescribing medications there are national  
14 figures for non-compliance for patients taking their  
15 medication, not radioactive, but medications in general. So  
16 I don't doubt that you gained that information from this  
17 number of patients. Though I think that it may be that you  
18 randomly had astute population of people who were more  
19 dissatisfied than usual, or more poorly informed than usual.  
20 But I don't doubt that there's a significant number of them,  
21 and we're concerned if there's only one. Dr. Guiberteau.

22 MEMBER GUIBERTEAU: I also want to compliment  
23 Laura Weil for her proactive and enthusiastic approach to  
24 her role as our public representative. I think it brings us  
25 back to what we're all here about, and that's for our  
26 patients and their providers. I have two questions. One,  
27 do you have any idea how recently these 25 people had been  
28 treated?

1           MEMBER WEIL: Oh, some of it goes back 20 years.  
2 Some of these folks -- although the ones who had been  
3 treated a long time ago have been re-treated since. This  
4 would -- they would have been describing a secondary  
5 treatment, generally speaking, because they wouldn't have  
6 been discharged from the hospital long ago. So because this  
7 was all out-patient therapy that I was inquiring about, it's  
8 probably since '97.

9           MEMBER GUIBERTEAU: Well, I mean, I think that's  
10 important to consider. One, given the fact that about 90  
11 percent of these people were treated in a hospital and the  
12 trend recently has been the reverse. And also, I think  
13 education and through the Society of Nuclear Medicine and  
14 other organizations where treating physicians is probably  
15 better, more recently than perhaps it was 20 years ago.

16           MEMBER WEIL: I hope you're right.

17           MEMBER GUIBERTEAU: Well, I'm just suggesting that  
18 we understand that this is anecdotal, but this is what makes  
19 it so interesting. But -- my second question is has any of  
20 this data from the surveys from ThyCa, has any of that --  
21 have any of the data been published? And if so, can we get  
22 a reference because it would be interesting to read more  
23 about this.

24           MEMBER WEIL: I have a copy of the survey which  
25 was unpublished but perhaps you have accessed it from a --  
26 in a published form.

27           MR. EINBERG: There's an abstract here and as such  
28 I believe it has been published by the Health Physics

1 Society. We can get you that abstract.

2 MEMBER GUIBERTEAU: I think if you could send the  
3 references maybe to anyone here who is interested. Maybe  
4 I'll --

5 CHAIRMAN MALMUD: We'll send it to the whole  
6 committee.

7 MEMBER GUIBERTEAU: I think that would be  
8 interesting for us to read. Thank you.

9 CHAIRMAN MALMUD: Dr. Welsh.

10 MEMBER WELSH: Well, I too would like to  
11 compliment you on this effort.

12 MEMBER WEIL: Thank you.

13 MEMBER WELSH: I know it's a bit of a challenge,  
14 and it was outside the expected role. But nonetheless, I  
15 have to say that I'm skeptical. And I'm not skeptical about  
16 what you have here as far as what these people said, but I  
17 am skeptical about what might -- I have questions about what  
18 truly transpired. And to me, it's the three Cs of out-  
19 patient radio iodine therapy: comprehension question,  
20 conveyance question from the caregivers, and compliance  
21 concerns. We'll never know which one of those three Cs  
22 contributed to this -- these surprising anecdotes. But one  
23 explanation might be that, as Dr. Guiberteau explained, some  
24 of these patients were from a while back. Perhaps memory is  
25 failing. Perhaps standards were less stringent then than as  
26 they are compared to today. But for patients who were  
27 recently treated, one internal control might be to ask them.  
28 I don't know if you did. If you asked them, did you provide



1 written consent, and I think that 100 percent should say  
2 yes. If you got a number other than 100 percent, you would  
3 know that there is some human memory possible failure there.  
4 We'll never be able to know the true explanation for why  
5 these patients were not as happy as I would expect them to  
6 be, because these stories are deplorable and entirely  
7 unacceptable to my personal practice or any institution I've  
8 ever been with. And I believe that these responses would be  
9 unacceptable to any professional society that I'm aware of,  
10 namely the radiation oncology professional societies or the  
11 nuclear medicine societies. It raises the question in my  
12 mind that, is it possible that there is another group of  
13 practitioner authorized users, the endocrinologists who have  
14 standards that are slightly different from what I would  
15 expect the radiation oncology or nuclear medicine. I don't  
16 know if it's possible to ever tease out that data, but given  
17 the anecdotes, not true data, but the anecdotes that you  
18 presented, it raises this questioning in my mind because  
19 these are deplorable situations that I find so unacceptable  
20 that it makes me want to look into this further.

21 CHAIRMAN MALMUD: Dr. Suleiman.

22 MEMBER SULEIMAN: Did you collect information on  
23 where they had their procedures or the date? That would  
24 answer Dr. Welsh's one question.

25 MEMBER WEIL: Yeah, and frankly, I mean in the  
26 anecdotes that I've selected, I think I stated where they  
27 got their treatment. I think it was mostly hospital  
28 patients, but I can look back at my -- the other notes that

1 I took. I don't remember the preponderance of whether it  
2 was endocrinologists or others.

3 MEMBER SULEIMAN: I'm not questioning the  
4 credibility of what's been reported. I truly believe these  
5 people didn't dream this up, okay. And I fully expect that  
6 this occurs out there. My concern is how widespread is it.  
7 Could somehow we get a -- would there be some way to find  
8 out if there's a particular group or a particular  
9 circumstance or a -- you know, particular type of  
10 institution. I mean there are all sorts of hospitals. But,  
11 this sort of thing, having been a patient myself on several  
12 occasions, you know, when you sign those consent forms, who  
13 really has the time to read them, because you're about to  
14 undergo a procedure that's going to impact on your health;  
15 so maybe I'll look at them later after the fact. So, having  
16 been on both sides of the informed consent -- this consent  
17 issue, we really -- it's almost -- it's just a legal  
18 document. It's more to make sure the patient's been  
19 informed somehow, and we've got their signature but in terms  
20 of communicating across. Sometimes you almost need a lawyer  
21 to figure out what the informed consent means. So aside  
22 from that act, I just think this is worth some follow-up  
23 with some real data.

24 CHAIRMAN MALMUD: Dr. Guiberteau?

25 MEMBER GUIBERTEAU: I just want to make a  
26 distinction here between informed consent and the safety  
27 items that are instructions given to patients. They're  
28 usually distinct. At least in our state, they must be

1 distinct. And so informed consent basically are the risks  
2 and benefits of the treatment for the patient. The other is  
3 for the benefit of the caretakers of the patient, and we  
4 have to make that very clear so we don't like to confuse  
5 those items. We do, at our institution and many others,  
6 have the patients sign off that --

7 MEMBER WEIL: That they have received --

8 MEMBER GUIBERTEAU: -- they have read and have had  
9 a chance to ask questions and discussion. We give them our  
10 phone numbers. And that's pretty standard from the people  
11 that I know who treat these patients. Now of course I'm --  
12 that's in itself is an anecdote. But I just want to make  
13 sure in the minutes here that we make a decision between  
14 informed consent and radiation safety instructions for the  
15 patients.

16 CHAIRMAN MALMUD: Dr. Palestro?

17 MEMBER PALESTRO: Yeah, a couple of comments.  
18 Number one in response to Pat Zanzonico's question about  
19 post-treatment vomiting, we treat about 200 thyroid  
20 carcinoma patients a year. And that's between North Shore  
21 University and Long Island Jewish Medical Centers. And I  
22 can only remember one instance of that happening, and that  
23 happens to be an in-patient some years ago. I do not recall  
24 it ever happening with any of the out-patients that we  
25 treat. In terms of language difficulties, as Leon noted,  
26 when we have someone who does not speak English or who we're  
27 concerned may not understand, we use the telephone  
28 translator. We don't have onsite translators. It was my

1 assumption as it is yours that it was a law. I don't if  
2 it's a state law or federal law --

3 MEMBER WEIL: It is the office of civil rights.

4 MEMBER PALESTRO: -- but we do use that. A couple  
5 of other comments in terms of patients being hypothyroid and  
6 feeling quite poorly. While that's certainly true, I think  
7 the incidence of that happening is decreasing with the  
8 increasing use of recombinant human [unintelligible] --

9 MEMBER WEIL: Absolutely.

10 MEMBER PALESTRO: -- which is the vast majority of  
11 patients that we treat now. And I'm also not surprised that  
12 some of the information that they're given at the conference  
13 and by some of the medical speakers is conflicting, because  
14 the literature are conflicting. And the one thing that  
15 caught my eye is something that we grapple with all the time  
16 is what do you do about minimizing damage to the salivary  
17 glands. And over the years, we've told people to use sour  
18 candy, to chew gum, use lemon juice, but there's actually at  
19 least one -- excuse me, one paper published that says that's  
20 the worst thing you can do because the patients who've done  
21 that have actually had worse results in terms of increased  
22 salivary gland damage. So I think we're kind of at a loss  
23 now and are very -- from our own practice are reluctant to  
24 make any recommendations regarding how to protect the  
25 salivary glands.

26 MEMBER WEIL: Right, and you know, at the  
27 conference, there was conflicting information from speakers;  
28 some said use the lemon candy immediately. Some said wait a

1 day. Some said -- it was difficult for patients to  
2 understand what they should do given the lack of consistent  
3 recommendation out there from the medical community, and  
4 that's part of this dissatisfaction that patients have  
5 expressed about the instructions that they've been given.  
6 It's because they get conflicting information. That's  
7 nobody's fault, necessarily. That's that there isn't  
8 consensus in the medical community about what protects  
9 patients best.

10 CHAIRMAN MALMUD: So, very useful information. If  
11 you -- we also give our patients the ThyCa folder. ThyCa  
12 produces a folder --

13 MEMBER WEIL: It does.

14 CHAIRMAN MALMUD: -- and suggest to them that they  
15 can use that resource if they wish to, but that's separate  
16 from the behaviors that you described, and there is concern.  
17 Did I see a hand over here? Dr. Welsh?

18 MEMBER WELSH: Yes, just a quick comment.  
19 Although it seems appropriate to compare patient  
20 comprehension of directions in the emergency department to  
21 this population, it probably is not really a good analogy  
22 because this is an outpatient scheduled procedure, and there  
23 is consultation and there is a follow-up discussion, in most  
24 cases right before the treatment. So there would be ample  
25 opportunities for interpreters and for questions to be asked  
26 and answered under normal circumstances. So I don't think  
27 that the analogy to the emergency department is truly a  
28 valid one.

1           If it turns out that that is not happening and  
2 there is not a consultation and there is not a pre-  
3 administration follow-up visit for immediate questions and  
4 answers with the physician, it again raises my concerns that  
5 somewhere along the line our standards are not being met.  
6 And perhaps I might recommend that we revisit the question  
7 of whether or not physicians who are not nuclear medicine  
8 physicians or radiation oncologists should be allowed to  
9 administer this, because to my understanding and experience,  
10 I've never encountered a radiation oncologist or nuclear  
11 medicine physician or practice that doesn't vastly exceed  
12 these minimum standards from these anecdotes. So I don't  
13 have any reason to disbelieve what you've said, but it  
14 raises a question, that maybe there is a group of physicians  
15 out there that I'm not aware of that are not complying by  
16 our standards. So I might suggest that we revisit the  
17 question of who should be an authorized user.

18           CHAIRMAN MALMUD: Dr. Guiberteau.

19           MEMBER GUIBERTEAU: I'd just like to add, while  
20 we're putting patients who should or should not be doing  
21 certain procedures, which I do not think is the purpose of  
22 this committee, that most radioiodine 131 therapy in this  
23 country is performed by diagnostic radiologists with  
24 training in nuclear medicine. So I would not want that to  
25 leave here without putting that in our documents here. I  
26 think one -- I don't think that's the purview of this  
27 committee about who and who should not be doing these, but  
28 also the fact that it's not just radiation oncologists who

1 actually perform the least number of the three groups we're  
2 talking about, and nuclear medicine physicians and  
3 diagnostic radiologists.

4           CHAIRMAN MALMUD: I think it might be useful just  
5 to give a brief description of how patients wind up being  
6 treated with radioiodine, because I don't think the  
7 committee is necessarily aware of it, all the members of the  
8 committee. A patient is diagnosed with thyroid cancer.  
9 Surgery is performed. Then, post-op, the patient is staged  
10 with iodine, usually I-123, a gamma emitter, in order to see  
11 if the residual thyroid tissue is considerable in the  
12 thyroid, in the neck, or elsewhere in the body. That  
13 requires whole body imaging. And the patient is prepared  
14 for that by withdrawal of the thyroid hormone which is  
15 autologous THA stimulation or with thyrogen stimulation. We  
16 use the thyroid withdrawal one. Then after the withdrawal  
17 of the hormone, then the patient is imaged with the I-123.  
18 A determination of the dose is then made. The dose is  
19 administered for the I-131, and there are three office  
20 visits associated with these three different -- well, at our  
21 institution. There are at least -- there are three office  
22 visits associated with this process, during which the  
23 patient is told what will be done, what the relevant risks  
24 are, and the patient is asked about their living  
25 arrangements, because it's essential that we understand  
26 those before we treat them. And once -- and then the  
27 patient's treated, obviously, and seen in follow-up after  
28 treatment. One week is the standard after treatment so that

1 we can image the patient after having received the I-131  
2 which sometimes will disclose metastases which were not  
3 evident previously.

4           So in all that process, there's more than one  
5 patient contact with the physician, and it's unlikely that  
6 the patient would be denied the information. In addition,  
7 we have handouts both in English and our second most  
8 frequent language at our institution is Spanish, so we have  
9 Spanish printouts as well. The -- it's disturbing to learn  
10 that this group of patients feels that they were not  
11 adequately informed, but that's very useful information to  
12 us, very useful. And I'm glad that you have collected it,  
13 because even if it doesn't represent a statistical, valid  
14 evaluation of these patients, the fact that it's happening  
15 at all is a concern. And probably is something that should  
16 be discussed either at the American College of Radiology or  
17 the Society of Nuclear Medicine with respect to  
18 reestablishing the guidelines of regular intervals for -- in  
19 our practitioner. What do you use at Sloan-Kettering? You  
20 say you have a regular handout?

21           MEMBER ZANZONICO: Yeah, basically, it's modeled  
22 on the NCRP -- it's the model you see here. It's pretty  
23 standard among most academic places.

24           CHAIRMAN MALMUD: And that's the same thing.  
25 Sure, anyway thank you. It's been a very useful  
26 presentation. If we may -- oh, excuse me, I'll get to you.

27           MEMBER MATTMULLER: I'm sorry, keep going. Yes,  
28 Steve Mattmuller. And, Laura, you talked -- you mention --



1 touched on this issue during Dr. Saba's presentation, but  
2 I'm not sure if he fully grasped -- and I think the issue  
3 is, maybe before the NRC goes and does research on how to do  
4 more effective guidelines, the issue -- to me what your  
5 presentation cites, how can we make the current guidelines  
6 implement it better? I mean, because if you look at that  
7 tug of rope I'm pretty much right in the middle. I'm  
8 leaning to the left now because it's like we've got good  
9 guidelines, appropriate guidelines. But from this data and  
10 this experience it's not being shared properly with patients  
11 who need to know it. So how do you solve that problem?

12           MEMBER WEIL: It's not -- you know, obviously,  
13 it's done very, very well in many institutions. Obviously  
14 it is. But what worries me is that if one looks at that  
15 best-case scenario and measures how well the guidelines are  
16 being followed, or how well they're being implemented, then  
17 you're missing this other shadowy world where it's not so  
18 well done.

19           CHAIRMAN MALMUD: Thank you. If I may, we have  
20 two more items on the agenda. The first one is that the  
21 representatives from ViewRay are here. They were not  
22 present for the earlier discussion because the schedule was  
23 changed. So may we invite them to first make any comments  
24 if they wish to? And that would be relating to the  
25 presentation that Sophie made. Sophie, would you just give  
26 us the intro?

27           MS. HOLIDAY: Sure.

28           CHAIRMAN MALMUD: Thank you.

1 MS. HOLIDAY: So the representatives that we have  
2 present here today from ViewRay are Mr. David Breuning, Dr.  
3 James Dempsey, and Mr. Paul Besette. So if you guys would  
4 like to present any comments on behalf of ViewRay, we will  
5 just ask that you come to the microphone and identify  
6 yourself.

7 DR. DEMPSEY: My name is Jim Dempsey -- is this  
8 on? My name is Jim Dempsey; I'm the inventor, founder,  
9 chief scientific officer, member of the board of directors  
10 of ViewRay Incorporated. I'm sorry we missed the  
11 presentation. You know, I guess we are keenly watching for  
12 the clinical guidance to come out for this product. The  
13 history of isotope use in external beam radiotherapy to the  
14 NRC is comprised mostly of teletherapy, which started back  
15 in the '50s. And in the mid-'70s to late '80s, developments  
16 in teletherapy sort of ground to a halt because the linear  
17 accelerator started demonstrating great efficacy in treating  
18 head and neck cancer and then breast cancer. And so, most  
19 companies that were producing teletherapy equipment stopped  
20 producing teletherapy equipment, started producing linear  
21 accelerators.

22 There's also the gamma knife, which is a device  
23 for treating disease in the brain. Dr. John Suh is an  
24 expert in this area of stereotactic radiosurgery. The  
25 ViewRay system is sort of a resurrection and modernization  
26 of the teletherapy device to the current standards of the  
27 linear accelerator. In fact, for the FDA our predicate  
28 device was not a cobalt machine, and it was not a gamma

1 knife, it was the CT guided linear accelerator. And so it  
2 represents a very broad spectrum of indications and use, all  
3 the way from palliative therapy, which may not need image  
4 guidance, simple therapies, to image-guided stereotactic  
5 body radiotherapy, and stereotactic use. And so we just, I  
6 guess, are keenly watching our clinical guidance to make  
7 sure that the broad spectrum of indications and uses are  
8 covered by the considerations of the clinical guidance. So  
9 I think our concern as a company is that that's appreciated  
10 as the guidance is produced.

11           And I guess we missed the presentation. There was  
12 a nice set of slides, they were a little terse. I don't  
13 know what transpired or was discussed. We'd be happy to  
14 answer any questions about it, and I think that's just the  
15 statement we'd like to say is that, "The clinical guidance  
16 considers the broad spectrum of indications and uses of the  
17 device and its practice. And just to be aware that there is  
18 this spectrum of treatment being performed in radiation  
19 oncology departments with devices like the Varian map and CT  
20 guidance, and that's really, sort of, the work flow we use -  
21 - that our device is entering into.

22           CHAIRMAN MALMUD: Thank you. Are there questions  
23 for Mr. Dempsey? Mr. Einberg.

24           MR. EINBERG: Yeah, Chris Einberg with the NRC. I  
25 don't have any questions; however, I just wanted to kind of  
26 summarize what was discussed at the meeting. We didn't  
27 indicate which way that our licensing decision was going to  
28 be going because that information right now is pre-

1 decisional and it will be inappropriate. So, the focus of  
2 the discussion was more process than status as to where we  
3 are, and I think you probably could get that from the  
4 presentation -- from the slide packet. So, from that  
5 standpoint I don't think you've really missed anything.

6 I would also point out that afterwards, this  
7 meeting is being transcribed so there will be meeting minutes  
8 and transcription. So, and that will be posted on our  
9 website and you can see, word for word, what was said.

10 CHAIRMAN MALMUD: Thank you. Does either of the  
11 other two gentlemen accompanying you wish to make a  
12 statement? They're invited to do so. Please introduce  
13 yourself first.

14 MR. BESETTE: Good afternoon, my name is Paul  
15 Doucette; I'm with Morgan Lewis Law Firm here in D.C. And,  
16 I guess I would be interested, obviously, the process for  
17 the public having input on the clinical guidance. I  
18 understand it's pre-decisional, but I also understand the  
19 guidance is supposed to take into account the practicalities  
20 of the users. So we're just trying to understand how folks  
21 could have in input to the guidance before it's finalized.

22 CHAIRMAN MALMUD: That question should go to --

23 MR. EINBERG: Dr. Howe.

24 CHAIRMAN MALMUD: Dr. Howe. Dr. Howe.

25 DR. HOWE: Depending on how the final  
26 determination comes out, I think I can answer best for a  
27 case in which guidance status was 35.1000 because that  
28 guidance was different than the guidance that's currently in

1 the NUREGs 1556 series. So if you're talking about guidance  
2 for a 35.1000 device, the guidance is published on our  
3 public website at our medical toolkit for everyone to look  
4 at.

5           The guidance is always considered to be draft. In  
6 other words, anyone can make a comment on the guidance at  
7 any time; it's not the same as a regulatory position that's  
8 in our regulations where you can make a comment but you got  
9 to wait for NRC to go into rulemaking to make a change. So  
10 the 35.1000's very flexible in how -- and when we develop  
11 the guides, we develop them, generally, fairly quickly and  
12 it's very flexible in that we will take comments at any time  
13 on the guidance and then we will make a decision if we need  
14 to change it. And I think you can use the example of the  
15 yttrium-90 microspheres to show that the guidance has  
16 evolved over time with additional use and that we have been  
17 pretty responsive to requests for changes to it. So, the  
18 guidance is always considered draft and not final and you  
19 can also comment, if we were to decide to go 35.1000.

20           MR. BESETTE: Just one final question, Is there an  
21 opportunity to provide written comments on ViewRay currently  
22 before you publish that guidance?

23           DR. HOWE: Sophie, answer that.

24           MR. EINBERG: This is Chris Einberg, NRC. Your  
25 office breeds right to the NRC, and I think Sophie laid out  
26 -- we're moving with our licensing decision right now. So  
27 if you are going to be providing any comments please provide  
28 them to the staff or -- as soon as possible.

1 MR. BESSETTE: I appreciate that.

2 CHAIRMAN MALMUD: Ashley.

3 MS. COCKERHAM: Just to follow up on what Donna-  
4 Beth was talking about, a decision with basically, something  
5 like 35.1000 as another example, radium-223 dichloride is  
6 another product that came before us, and we made the same  
7 type of considerations. We didn't publish guidance because  
8 we decided it was not 1000. And so we just issued a memo to  
9 our regional offices, and we did mailings on our medical  
10 listservers, and as much kind of public outreach as we could  
11 to convey what our licensing decision was and that it fit  
12 within the existing regulations. So those are kind of the  
13 two pathways that we've used as models before it goes to  
14 1000 to be on the website, and follows guidance, and it goes  
15 in the regulations, we would use communications -- just to  
16 communicate that decision with the public.

17 MR. MCDERMOTT: Dr. Malmud. I'd just like to point  
18 out for clarification --

19 CHAIRMAN MALMUD: Mr. McDermott.

20 MR. MCDERMOTT: Thank you. The gentlemen  
21 mentioned clinical guidance, and I don't know if it's just  
22 the terminology of if you're actually looking for something  
23 different, but NRC would issue licensing guidance, okay. So  
24 in terms of the use the material, as the founder of the  
25 device brought up the wide variety of uses, NRC is focused  
26 on how the product is licensed in NRC space and not  
27 necessarily the different clinical uses.

28 CHAIRMAN MALMUD: Dr. Suleiman.

1 DR. SULEIMAN: Yes, I have some questions, also  
2 clarification. Radium- 223 has not yet been approved by the  
3 FDA, but it is under investigational research right now. So  
4 clearly, there's a licensing requirement as well.  
5 You got cleared by FDA. What about your label, your  
6 instruction manual? That's already out there?

7 DR. DEMPSEY: So we have --

8 CHAIRMAN MALMUD: Please come to the microphone  
9 and reintroduce yourself.

10 DR. DEMPSEY: So yes, labeling was part of the  
11 submission to the 510(k) for the FDA. So all of that was  
12 complete. We also did complete IEC testing for the FDA  
13 before they granted us the 510(k).

14 MEMBER SULEIMAN: So your instruction manual is  
15 available.

16 DR. DEMPSEY: Yes.

17 MR. EINBERG: Excuse me, can you please restate  
18 your name.

19 DR. DEMPSEY: My name is James F. Dempsey PhD,  
20 DABR, formerly medical physicist, now purveyor of prime  
21 medical instruments.

22 MEMBER SULEIMAN: He answered my question.

23 CHAIRMAN MALMUD: Dr. Welsh.

24 MEMBER WELSH: Yesterday, during one of our  
25 discussions, Dr. Suh and I addressed the concern or issues  
26 surrounding the fact that cobalt must be -- cobalt-using  
27 units must be thoroughly evaluated, investigated every  
28 certain number of years, and perhaps for the older

1 teletherapy units, these inspections can be done without  
2 complete dismantling and replacement to the sources. But  
3 for the gamma knife, it appears that for these inspections  
4 to be done, it must be accompanied by the very costly  
5 exchange of sources. So, I'm just wondering, in your  
6 particular unit, where will that fall, just so we can get an  
7 understanding ahead of time whether inspections are going to  
8 cost maybe a million dollars.

9 DR. DEMPSEY: Okay, so the pricing model and the  
10 cost is one thing, I can tell you on the servicing, on the  
11 source exchange. So for us, we can change the sources in  
12 about a weekend. It does use the old source drawer and  
13 source technology. It's in a shuttle that doesn't have any  
14 friction or touch any parts, so everything in our system  
15 that has moving parts are newly designed. We do capture the  
16 old source drawer. The source mechanism and the pneumatics  
17 are all external and accessible. And so you can completely  
18 do inspections, preventive maintenance, service on the  
19 system without having to remove the sources. So the source  
20 exchange is quite efficient. You know, the cost of cobalt  
21 is quite high these days so there's not a lot of use cobalt  
22 teletherapy, so I can't promise you that it won't cost a  
23 million dollars. But the answer is, in terms of preventive  
24 maintenance and servicing, it's all quite accessible without  
25 any exposure. And our heads are very thick. So you very  
26 quickly get the outside of the head even with a 15,000 curie  
27 source or down to about 2 mR an hour or less.

28 CHAIRMAN MALMUD: Any other questions for the



1 representatives from ViewRay? If not -- Mr. McDermott.

2 MR. MCDERMOTT: Just one question, is that -- your  
3 about the serviceability without removing the source, does  
4 that include that variable collimator?

5 DR. DEMPSEY: No so too -- you can service -- the  
6 motors are accessible. If there's a problem with the  
7 mechanics or the linkage, you will have to remove that. And  
8 so -- but we do have toolings and mechanisms where a head  
9 can have its source mechanism removed, it's bolted and  
10 locked. We do have a locking mechanism on the source  
11 drawer. And so that can be bolted and locked, removed from  
12 the machine, and then the MLC can be extracted. And again,  
13 it's a procedure that just takes on the order of six to  
14 eight hours.

15 CHAIRMAN MALMUD: Does that answer your question?

16 MR. MCDERMOTT: Yes, thank you.

17 CHAIRMAN MALMUD: It's Dr. Dempsey, right? You're  
18 a PhD.

19 DR. DEMPSEY: Yes, technically.

20 CHAIRMAN MALMUD: Do you have any other statements  
21 that you want to make to us?

22 DR. DEMPSEY: Just thank you for allowing us the  
23 time.

24 CHAIRMAN MALMUD: Thank you for being here.

25 DR. DEMPSEY: Thank you.

26 CHAIRMAN MALMUD: Thank you all. If we may, we'll  
27 move on to the next item on the agenda, which is Mr.  
28 Mattmuller.

1 MEMBER WEIL: Dr. Malmud?

2 CHAIRMAN MALMUD: Yes.

3 MEMBER WEIL: Can I offer a clarification on my  
4 presentation? There was a question about nausea and  
5 vomiting.

6 CHAIRMAN MALMUD: Yes.

7 MEMBER WEIL: According to the Annals of Nuclear  
8 Medicine, June 2004, the incidence of nausea is 40.2 percent  
9 and vomiting is 7.6 percent for those that tried that  
10 therapy of I-131, iodine 131.

11 MEMBER MATTMULLER: Good morning. Ms. Holiday,  
12 how much time do I have?

13 CHAIRMAN MALMUD: Thirty minutes.

14 MEMBER MATTMULLER: Thirty minutes, really? I was  
15 warned beforehand that some people are on a tight flight  
16 schedule. So, I'll try to abbreviate some of my slides in  
17 the interest of time. Let's get this guy to work here.  
18 Here we -- all right.

19 So today I'd like to talk about gallium-68 and the  
20 germanium generator that comes from. And in regards to  
21 this, four areas I need to cover are receptor imaging and  
22 why this this is such an important strategy for rating  
23 pharmaceuticals for diagnosis and therapy. Why gallium-68  
24 is such an important radionuclide, it's available via a  
25 generator, so sites do not need to have an expensive,  
26 complicated cyclotron on-site. And personally, I tell you  
27 because it has a half-life of 68 minutes, so it's one of the  
28 few that's easy to remember.

1 I'd also like to talk a little bit about the  
2 different radiopharmaceuticals that are being developed in  
3 the U.S right now. And of course, since this is an ACMUI  
4 meeting, we've got some regulatory issues to discuss. And  
5 as a side note, to avoid getting tongue tied with all the  
6 long names, whenever I refer to gallium, it'll be gallium-  
7 68, indium, indium-111. The slides will have the right  
8 radionuclide.

9 This is a schematic representation of the  
10 somatostatin receptor in a cell wall. And then the yellow  
11 insert is the actual pathological somatostatin peptide  
12 hormone. And you can see the four dark critical amino acids  
13 that interact with this receptor that gives this molecule,  
14 this peptide hormone, its great specificity. And it's  
15 important for the regulation in the endocrine system, it  
16 affects neurotransmission, and for cell proliferation in  
17 certain tissues.

18 The issues -- or the problems when the SSJR at the  
19 somatostatin receptor go awry is that it's expressed in  
20 neuroendocrine tumors, excuse me, such as those that would  
21 include carcinoma, growth hormones creating pituitary  
22 tumors, paraganglioma tumors, fetal proctomas, and  
23 neuroblastoma.

24 We're actually doing receptor imaging right now,  
25 in nuclear medicine, with Altria scan which is an indium  
26 radiopharmaceutical, it's a SPECT imaging agent. And at the  
27 top you can see the somatostatin peptide hormone; at the  
28 bottom is the Altria scan molecule. And if you can read it,

1 it has the exact same four important amino acids in a  
2 critical order so it interacts with the receptor. In the  
3 middle of the Altria scan is a DTPA molecule which is a  
4 chelator, derived from Greek, which means like a crab claw.  
5 So the chelator is like a crab claw that can hold the metal  
6 atom. And in this case, it's indium.  
7 Now this is a gallium version of the same molecule. In this  
8 case, the amino acids are expanded out in their complete  
9 chemical structure, so -- but it is the exact same four  
10 amino acids.

11           Instead of using DTPA because the chemistry is a  
12 little bit different between gallium and indium, this is  
13 called -- instead of DTPA, we're using DODA. And in the  
14 literature you may see there's like three versions of DODA  
15 that's in use, DODATOT, DODANOT and DODATATE. But  
16 essentially, they all have the crab claw aspect going to  
17 hold the metal atom.

18           So if we already have a good receptor imaging  
19 agent, why change? And I think this image clearly  
20 demonstrates -- this is the same patient image with the  
21 indium SPECT agent versus gallium-68 DODATOT version. And  
22 so, it's quite apparent with the greater resolution and  
23 clarity. There's also advantages for the patient in that  
24 indium version takes three visits to the clinic in two days,  
25 whereas the gallium because of its - to back up. The indium  
26 has a half-life of 68 hours versus the gallium that has 68  
27 minutes. So it's imaging -- the injection imaging is all  
28 much faster so it takes one visit in one day.

1           So to have gallium-68, you've got to have  
2 germanium-68 for the generator. And I'm going to skip over  
3 most of this. This is about the chart tree and how they  
4 produce germanium. But it is done here in the U.S. at the  
5 two national laboratories. It takes a much bigger machine  
6 than what we typically have at commercial grade pharmacies  
7 that produce F-18. But this is the generator. Here's the  
8 schematic of our -- of the germanium generator -- gallium  
9 generator. It looks a lot like a moly generator with  
10 technetium. You have your -- where you're LE1 goes in, your  
11 column, your shielded column. As it passes through it goes  
12 through a sterilizing filter, your 0.22 micrometer filter,  
13 into your collection vial.

14           There are differences, of course: the technetium,  
15 it's an aluminum column. There's actually three different  
16 gallium generators available right now and they use  
17 different column materials: titanium dioxide, tin dioxide,  
18 one actually has an organic material in its column. The LE1  
19 is different: technetium, of course is 0.9 percent sodium  
20 chloride. The gallium generator uses dilute hydrochloric  
21 acid to loop the generator.                   Lifespan: Technetium  
22 generator is good for 14 days. The gallium generator can be  
23 used for about nine months, so another big advantage for  
24 this radionuclide.

25           The sizes: Technetium generators can range in size  
26 from one to 20 curies. And there's a wide range of gallium  
27 generators, but those used for human use typically range from  
28 40 to 100 millicuries. Or a way of looking at it, the

1 largest gallium generator is about one-tenth the size of the  
2 smallest technetium generator.

3 I'm going too fast, sorry. Shielding, with the  
4 technetium generator up to about 10 curies, they'll use  
5 lead. And they get larger, they'll use depleted uranium  
6 versus the gallium generators; lead is sufficient. And  
7 disposal's an important difference. Technetium generator,  
8 you can hold on to and let the moly decay, and you can  
9 disassemble the generator yourself, and dispose of it  
10 yourself safely. Whereas, with the gallium generator  
11 because the germanium -- apparent half-life of 270 days,  
12 it's rather impractical to do that. Plus, most  
13 manufacturers, I can't say all, but one's I contacted, do  
14 require that if they sell you a gallium generator, they  
15 expect you to send it back to them. So the site has no  
16 disposal issues, in a sense, for germanium.

17 This is one example of one of the commercially  
18 available generators. And this is from Eckert & Ziegler. I  
19 picked it to make our FDA representative the most  
20 comfortable. Because it is -- it has recently received  
21 pharmaceutical grade approval from the German regulatory  
22 there --

23 MEMBER SULEIMAN: It's not approved by -- it has  
24 not been approved by --

25 MEMBER MATTMULLER: It's not approved by FDA, but  
26 it's the closest one getting to that status.

27 So since we have a generator, we have to be  
28 concerned about breakthrough. And breakthrough testing with

1 this type generator requires some outside of the box  
2 thinking. Especially since the germanium decays 100 percent  
3 by electron capture, so there's no measureable gamma or x-  
4 ray emissions to measure. So you have to do it as we do it  
5 now with a strontium rubidium generator, in that you elute  
6 the generator, you assay for the rubidium generator --  
7 rubidium activity so then you hold it for decay to let the  
8 rubidium decay away, and with its 75 second half-life, it  
9 does that pretty quickly and typically you do it for one  
10 hour. So that's about 48 half-lives of your rubidium. So  
11 then you assay it again. So then your -- any activity you  
12 measure is -- you'll be measuring rubidium, but you'll be  
13 measuring strontium indirectly because, at that point, if  
14 there's any rubidium activity it has to be there only  
15 because there's strontium activity. So you measure the  
16 strontium indirectly.

17           Likewise, you have to do it the same way for the  
18 gallium generator. With the difference -- the big  
19 difference, and this will be unusual for anything else we've  
20 used, is that you have to hold it for two days because of  
21 the long half -- because of the relatively longer half-life  
22 of gallium-68, we have to let it decay away sufficiently so  
23 that you have high confidence that any activity that you're  
24 measuring at that point is due to germanium breakthrough.

25           Again, it's not approved in the U.S. but there is  
26 -- it hasn't got official approval in Europe either but they  
27 -- Europe is ahead of us with use of this radionuclide.  
28 They have a proposed limit in the European Pharmacopoeia

1 that the activity needs to be 99.9 percent pure.

2           Another important difference with this generator  
3 versus current generators we're using now is that the LE1  
4 from a technetium generator or a rubidium generator can be  
5 used directly into a patient. That as it comes out of the  
6 generator, it's safe and good to use on a patient for  
7 various studies. With this generator, you can't. It's in a  
8 dilute hydrochloric solution, so it's not useful for any  
9 imaging procedure at that point. You have to do some  
10 chemistry with it. And the best way to do chemistry with a  
11 68 minute half-life radionuclide is quickly. And the best  
12 way to do something quickly is with a radiochemical  
13 synthesis module.

14           Here's an example of three different versions that  
15 are commercially available and they're computer control  
16 pumps to elute the generator so you never have to touch the  
17 gallium-68; it goes right to the generator to the reaction  
18 vessel. Different reagents come in for repairing your  
19 radiopharmaceutical. You can then push it through  
20 purification columns, and then finally into your final  
21 collection vial.

22           These are actually very, very similar to what we  
23 use in PET now for FDG synthesis. So they're constructed  
24 and operated very much the same way. And these mark the  
25 images such that you'll never see one like this sitting on a  
26 bench some place in a lab. It'll be in a hot cell, behind a  
27 lot of lead.

28           The other important difference from technetium,



1 but similar to FDG, is that the quality control testing  
2 would be a lot more extensive. I have to test the pH, for  
3 pyrogens, for sterility. And chemical and radiochemical  
4 purity testing would be a lot more involved for a gallium  
5 radiopharmaceutical versus the relatively simple  
6 radiochemist -- radiochromatography that we do for a  
7 technetium rated pharmaceuticals.

8           Here's another example of gallium imaging. This  
9 is with DODATOT, and this also shows where they're at with  
10 this imaging modality now, in that there's a fused MR image  
11 with the patient. And again, it was acquired quickly, one  
12 hour after administration. And they're able to fuse the  
13 anatomical information from the MR with the physiological  
14 information from the DODATOT.

15           Dosimetry -- there's another important advantage  
16 with gallium versus indium because dosimetry roughly is  
17 about one half of the indium radiopharmaceutical.

18           So gallium-68 is also being used for other  
19 receptors besides the somatostatin receptor, although that's  
20 the biggest class that's being looked at right now. Here  
21 are three other examples of -- for melanoma, patients for  
22 angiogenesis, patients with the RGB version, and for  
23 prostate for the bombesin.

24           Now another important advantage, or let me --  
25 advantages for this agent, it's PET, so you have the  
26 advantages of coincidence imaging from PET, the convenience  
27 of a generator; so you have a long source for your gallium-  
28 68, one that can last up to nine months. Plus another

1 reason these agents have a lot of interest right now is that  
2 the chemistry is relatively simple to convert it from a  
3 diagnostic agent to a therapeutic agent, and that's what we  
4 have here. Here we have the same DOTO type agent, only the  
5 only difference is now you have a therapeutic radionuclide,  
6 the beta emitter, yttrium-90 in place instead of the  
7 gallium-68. So it's the same receptor agent, same amino  
8 acid sequence, so it's going to the same receptor, same  
9 bifunctional chelator, just a different radionuclide. In  
10 fact, this strategy's been in use now in Europe for well  
11 over 10 years, so currently U.S. patients who can take  
12 advantage of this, if they have the means, are going to  
13 Europe for this diagnosis and therapy. It'd be nice to  
14 treat those patients here. So, I tell you it's hard to  
15 read, it's better up here.

16           So, this is our issue with our gallium generator,  
17 with its parent germanium, is that a DFP -- or excuse me, a  
18 decommissioning financial plan gets triggered. And the DFP  
19 gets triggered because of the germanium unsealed, has half-  
20 life greater than 120 days, and then you have to go to  
21 Appendix B to figure it out, your limit, which is this:  
22 Appendix B when the limits are in the first column, your  
23 microcuries, for some commonly used radionuclides that we  
24 use now, and then if you do the math in the appendix, you  
25 take that limit, multiply it by  $10^5$ , and then I've converted  
26 that to curies so it's a little bit easier to read; so  
27 that's the next column, that's the quantity to limit in  
28 Appendix B, for the top four radionuclides in curies.

1           Now the problem with germanium is that it's not  
2 listed in Appendix B, and when a radionuclide's not listed  
3 in appendix B you go to a default value of 0.1 microcuries,  
4 so after you do the math, convert to curies, your limit is  
5 10 millicuries if you want to avoid a DFP; and that's a  
6 problem in a lab because our generators need to be from, you  
7 know, anywhere from 40 to 100 millicuries, so we can't -- we  
8 can't escape that.

9           Here's another slide that I never intended you to  
10 read, but it just gets into the difficulty and complexity of  
11 a DFP. And the highlights are they're not cheap, you have  
12 to have an independent contractor, that's mandated, and from  
13 sites I talked to can run \$15,000 to \$20,000, you have to  
14 revise it, renew it every time you resubmit your license,  
15 and the cost for germanium. People were saying you have to  
16 have a bond up to \$1.1 million.

17           So the DFPs are costly and burdensome and a real  
18 barrier right now. I'll skip through this one pretty quick.  
19 Except that to point out they've got some wiggle room to try  
20 to make it more palatable, but these tests are difficult for  
21 a lot of places to meet; in this case a centralized radio  
22 pharmacy would be an ideal place to have this, but then they  
23 have to have a net tangible worth of \$21million. And that's  
24 just to get them away from part of the expense. Hospitals,  
25 nonprofit colleges can get a little relief if their bonds  
26 are sufficiently high grade, in the A's, but then this is a  
27 Moody evaluation of their ratings versus all other public  
28 health care finance rating, and it's probably hard to read,

1 but basically if you draw a line behind where the A's stop,  
2 just a little more than one half of all health care  
3 facilities have bonds that meet this test.

4           So the current regulatory status of germanium is  
5 hampering its use. I think it's unintentional. I think  
6 germanium fell through the regulatory cracks in 2005 when  
7 byproduct material was redefined; it's missing from appendix  
8 B part 30. DFP is a very onerous and expensive process. It  
9 is being used in the states now though, but there's a wide  
10 range of experiences by licenses. And most who have had  
11 success are at large institutions who have already had a DFP  
12 in place. So it was a seamless addition to their program.  
13 But for those who don't have a DFP or can't meet the  
14 financial test, this is a real barrier to being licensed for  
15 germanium. And this is the really tragic part in my mind.

16           I talked to two licenses that were using the  
17 gallium generator before 2005 and then when the new rules  
18 and the DFP requirements came into place they had to stop  
19 using them, because they couldn't afford to use them  
20 anymore.

21           So my interpretation of how it got lost, how we  
22 got into this predicament, and I would suggest perhaps it  
23 got lost in translation. I think the scene from Tokyo works  
24 well. It's a nice metaphor for the regulatory process.  
25 There are a lot of people jostling you around on the  
26 streets, bright lights vying for your attention, it's easy  
27 to get distracted, with even an occasional dinosaur walking  
28 around. For the record, I'm not suggesting anyone here is a

1 dinosaur, but I have heard comments that late at night in  
2 this building you can hear heavy footsteps, but --

3 [laughter]

4 We'll move on. So this is collection of three  
5 schedules appendices from the regs, and I think we have to  
6 dig into this a little bit to try and figure out how or  
7 where this went awry. I've said before, starting in 2005  
8 when byproduct material was redefined to basically include  
9 everything that's radioactive including, and at that time,  
10 you know, an important category was added that of, in  
11 essence, material made radioactive by a particle  
12 accelerator, which then incorporated the PET radionuclides  
13 and germanium. And it was a good change, because prior to  
14 that there was a big dichotomy of how the different  
15 radioactive material in different labs was handled or  
16 regulated. So it was a good change. And it brought all of  
17 our material under the purview of the NRC, and so now  
18 they're covering everything from U238 with a half-life of  
19 4.4 billion years to rubidium-82 with a half-life of 75  
20 seconds. So they're pretty versatile.

21 So that was 2005. The first column 30.71 Schedule  
22 B to Part 30, this is for exempt quantities of byproduct  
23 material. Basically stay under these limits in microcuries  
24 and you don't need an NRC license. The next column,  
25 Appendix C to Part 20, quantities of licensed material  
26 requiring labeling. Again this is in microcuries. Stay  
27 under these limits if you've got a 100 microcurie source of  
28 -- in a test tube in your lab, if it's 95 microcuries, I

1 don't have to label it. And then, let's see, let me back up  
2 a little bit, Schedule B 30.71, it was last -- if you dig  
3 into its history, last amended October 2007, Appendix C last  
4 amended April of '95, our problem child, Appendix B -- and  
5 again, it has the same title as Appendix C, Quantities of  
6 Licensed Material Requiring Labeling; same title, but they  
7 are of course referring to different sections of the  
8 regulations. And you can look into its history. It was  
9 last re-designated in 1993, but last amended in 1980. And I  
10 don't want to quibble over re-designation versus amendments,  
11 but still, even with 1993, that was a good 12 years before  
12 byproduct material was redefined in 2005. I think what's  
13 really interesting from this chart is that regardless of the  
14 schedule or appendix, you've got the same limits for all the  
15 radionuclides, and in fact, if that had just continued into  
16 the last one I wouldn't be here, but.

17           So, I think from a regulatory perspective, I would  
18 suggest that maybe it got lost in the translation. So  
19 hopefully I've been able to demonstrate quickly the three  
20 important points here: vast potential receptor  
21 radionuclides; gallium in particular, in that it can create  
22 smooth transition from diagnostic radio pharmaceutical, and  
23 if you get a diagnostic pharmaceutical to work well, you're  
24 going to have a high probability that a therapeutic radio  
25 pharmaceutical is going to work, be very effective because  
26 of the specificity of the receptor aspect of it.

27           But we're kind of stuck now; the nuclear medicine  
28 community needs relief in the DFP requirements so its use

1 can grow throughout the U.S. So I'd like to indulge on the  
2 committee and put forth a recommendation for the committee  
3 to consider regardless of how germanium was or wasn't  
4 considered in these appendices. I don't think the NRC ever  
5 intended to create such a barrier for this important PET  
6 radionuclide. So I'd like to recommend that -- ACMUI  
7 recommends that the NRC provide regulatory relief for the  
8 DFP requirements for the use of germanium-68 gallium-68  
9 generator, given that there's a good possibility that all  
10 this was unintentional, given that the licensees return  
11 their generators back to the manufacturer if they're not  
12 dispensing any germanium, and given that the burden of the  
13 DFP is stifling the use of the radionuclide in nuclear  
14 medicine. So what type of relief could this come in the  
15 form of? There's the regulatory process, but we all know  
16 that that takes many, many years. But would it not be  
17 possible for quicker relief through something like an RIS, a  
18 regulatory issue summary; and it could contain, you know,  
19 restrictive statements such as a site gets a generator, they  
20 have to send it back to the manufacturer. If they comply  
21 with that the DFP requirement would be waived. Thank you.

22 CHAIRMAN MALMUD: Thank you. Are their questions  
23 for Mr. Mattmuller? Dr. Langhorst.

24 MEMBER LANGHORST: I have a question first for NRC  
25 staff. And are you able to grant an exemption in this case  
26 for licensees that would put forth this is the number we  
27 think for the germanium-68 generator? Is that a possibility  
28 or is that not a possibility in licensing?

1           MR. EINBERG: I'm going to ask Dr. Howe to address  
2 that and see what our options are for regulatory relief.

3           DR. HOWE: The NRC can grant exemptions. However,  
4 the Commission set a policy a number of years ago that we  
5 can't regulate by exemptions. So it's easy to grant one --  
6 two exemptions, but if you're talking about a whole  
7 industry, then you've got to go through rulemaking. With  
8 regards to -- Mr. Mattmuller suggested perhaps a RIS  
9 regulatory issue summary would be -- a RIS cannot be used to  
10 change policy. It can only be used to explain the  
11 regulations. So I don't think that's a viable route. I  
12 would think that you would need rulemaking to address the  
13 issue permanently. And the question that I have is how  
14 common is this right now in the U.S.?

15           MEMBER MATTMULLER: In the U.S. it's, I believe  
16 there's about four or five sites who are using the gallium  
17 generator.

18           DR. HOWE: Under investigational --

19           MEMBER MATTMULLER: Yes. All under INDs. Yes.  
20 But there're -- I do know, my institution and others at this  
21 table are also interested in using it. So -- and I breezed  
22 over one of the other -- as far as the expense of this,  
23 these two sites that I talked to, one's in an NRC state,  
24 one's in an agreement state. They both -- their cost  
25 estimates were remarkably close. Fifteen to 24 that --  
26 consultant, and then another 20 to 25 for the surety bond to  
27 verify that they had the financial assurance, to cover the  
28 DFP. So, very expensive for these sites.



1           MEMBER LANGHORST: Dr. Malmud, I had a second  
2 question.

3           CHAIRMAN MALMUD: Please, Dr. Langhorst.

4           MEMBER LANGHORST: Thank you. And I don't know if  
5 NRC staff can answer, maybe Ms. Bailey can answer. Are  
6 there -- do agreement states maybe have this isotope  
7 identified in their comparable tables, given that this was  
8 always state regulated --

9           MEMBER BAILEY: They had known before.

10          MEMBER LANGHORST: Yeah

11          MEMBER BAILEY: It's possible, but I don't know  
12 the compatibility of the chart once NRC -- if it's a  
13 compatibility, A or B now, that would have --

14          DR. HOWE: I can answer that, I believe. When we  
15 were involved in the rulemaking, we went through and looked  
16 very carefully at the state-proposed regulations, especially  
17 for the norm side of things, to see if it was something we  
18 needed bring into the NRC regulations that it was already  
19 over in the agreement state, suggested regulations. And it  
20 was nothing identified here.

21          MEMBER LANGHORST: Okay, thank you.

22          MEMBER MATTMULLER: I can tell you the Ohio  
23 experience is that when you look at that last -- or look at  
24 the schedule, instead of multiplying your limit by  $10^5$ , in  
25 Ohio it gets multiplied by  $10^4$ . So actually our limit in  
26 Ohio is 10 times more restrictive right now.

27          CHAIRMAN MALMUD: Dr. Suleiman.

28          MEMBER SULEIMAN: Clarification. So, if the NRC

1 or anybody would go back and recalculate, that would involve  
2 rulemaking, to see if it fell under this category?

3 DR. HOWE: Right now NRC considers it's covered in  
4 this other category.

5 MEMBER SULEIMAN: Under the default.

6 DR. HOWE: And so in order to bring it out of the  
7 other category, you would probably have to go through  
8 rulemaking, and you'd need a regulatory basis and a lot of  
9 information to support it. So it's not -- this is a nice  
10 thing to do, you need some more information to support it.

11 MEMBER SULEIMAN: Another quick question just for  
12 Steve. Has anybody labeled it with gallium-67? Which is  
13 not as heavy as --

14 MEMBER MATTMULLER: I'm not aware of, although I  
15 don't know if that would give you any more advantages over  
16 indium-111, since they're both PET agents.

17 MEMBER SULEIMAN: I was curious because it's the  
18 same, I mean, the same isotope, so...

19 CHAIRMAN MALMUD: Any questions for Mr.  
20 Mattmuller? What would you -- what are you proposing as a  
21 result of your presentation, other than --

22 MEMBER MATTMULLER: I would still like a put  
23 forward this recommendation to the NRC staff that --

24 CHAIRMAN MALMUD: And what is the recommendation  
25 precisely?

26 MEMBER MATTMULLER: That as stated on the screen,  
27 ACMUI recommends that the NRC provide regulatory relief for  
28 the DFP requirements for the use of the -- excuse me,

1 germanium-68, gallium-68 generator.

2 CHAIRMAN MALMUD: That's a motion. Is there a  
3 second to that motion?

4 MEMBER ZANZONICO: Second.

5 CHAIRMAN MALMUD: Seconded by Dr. Zanzonico.  
6 Further comment or discussion? Dr. Suleiman.

7 MEMBER SULEIMAN: Would it -- rather than provide  
8 regulatory relief just address about getting this  
9 incorporated into the existing regulatory mechanism. I mean  
10 --

11 MEMBER MATTMULLER: I mean add it into the current  
12 35 revision?

13 MEMBER LANGHORST: So it's not 35. It's not that.

14 CHAIRMAN MALMUD: Yeah, yeah.

15 MEMBER LANGHORST: Separate rule making.

16 CHAIRMAN MALMUD: Dr. Thomadsen.

17 VICE CHAIRMAN THOMADSEN: I think that Mr.  
18 Mattmuller has made a very good case for this, although I  
19 don't feel that just at the end of this presentation that I  
20 understand the issues well enough to vote on this, so...

21 CHAIRMAN MALMUD: Other comments? Mr. McDermott.

22 MR. MCDERMOTT: As for clarification, when you're  
23 interested in regulatory relief and the decommissioning  
24 funding plan requirements, are you speaking licensees who  
25 would use the unit and would return it to the manufacturer -  
26 - the manufacturer would still have their decommissioning  
27 requirements. You're just talking about people through  
28 licensing or other mechanisms won't actually have to

1 decommission.

2 MR. EINBERG: Right.

3 MEMBER MATTMULLER: Right, and I'd like to think  
4 that further research given how the original index was set  
5 up, that they had no idea this even existed or would be used  
6 in this manner. But there could be a way to find relief.

7 CHAIRMAN MALMUD: Dr. Suleiman.

8 MEMBER SULEIMAN: I agree with Dr. Thomadsen's  
9 first comment. I think I don't have enough information for  
10 myself, but number two, could they lease it? You know, you  
11 get the lawyers and the economists. Could they lease it --  
12 would that mean if a site is leasing it from the  
13 manufacturer does that relieve some of the responsibility  
14 for meeting that?

15 MEMBER BAILEY: It's authorization requirements.  
16 If it's authorized on their license then they have to go  
17 through the decommissioning.

18 MEMBER SULEIMAN: So they're still licensed.

19 MEMBER BAILEY: Yes.

20 DR. HOWE: NRC and the agreement states regulate  
21 the possessions, but we don't get into who owns it.

22 CHAIRMAN MALMUD: That was Dr. Howe answering Dr.  
23 Suleiman's question. Any further discussion? There's a  
24 motion on the floor. All in favor?

25 MULTIPLE SPEAKERS: Aye.

26 CHAIRMAN MALMUD: One, two, four, six, seven,  
27 eight. Any abstentions?

28 MEMBER SULEIMAN: I abstain.

1           CHAIRMAN MALMUD: Two, three, four abstentions.  
2 And any opposed? It's eight for, four abstentions, no  
3 opposition. Thank you, Dr. Mattmuller -- I'm sorry, Mr.  
4 Mattmuller. The next item on the agenda was already covered  
5 by Sophie. You may have a few other points that you may  
6 want to make to us.

7           MS. HOLIDAY: Yes, sir. I'd like to go over  
8 changes to the recommendation chart.

9           CHAIRMAN MALMUD: Okay, please do.

10          MS. HOLIDAY: Okay, so I didn't have time to print  
11 this just yet, since I just got a final recommendation from  
12 Mr. Mattmuller. But I will start with item number 15, from  
13 our closed session, we recommended to table the discussion  
14 of the amendments to the bylaws the fall 2013 ACMUI meeting,  
15 when there's adequate time to review the changes.

16          The next recommendation was Dr. Langhorst  
17 requested NRC staff could add the draft guidance for the  
18 draft expanded Part 35 rulemaking into the same docket  
19 number as the rule making document, and that if this is not  
20 possible she requests that the location or the docket number  
21 of the draft guidance be clearly identified in the draft  
22 that is the Part 35 rulemaking docket. Ms. Bhalla, of  
23 course, did indicate that she's going to check if that's an  
24 option for us.

25          MEMBER LANGHORST: May I add, and you can say vice  
26 versa. So if you know the guidance, you want to be able to  
27 be pointed to the docket number through the rulemaking too.

28          MS. HOLIDAY: Certainly. Okay, item 17, ACMUI

1 plans to hold a summer teleconference to discuss the medical  
2 subcommittee analysis of the yttrium-90 microspheres medical  
3 events. The dates proposed are June 18th, 2013, from 2:00  
4 to 4:00 p.m. Eastern or backup date of June 20th, 2013 from  
5 2:00 to 4:00 Eastern. Yes, Dr. Welsh.

6 MEMBER WELSH: Well, for clarification can you who  
7 remind us who is on this subcommittee?

8 MS. HOLIDAY: I believe the members of the  
9 subcommittee are yourself, Dr. Langhorst, Dr. Thomadsen, Dr.  
10 Suh, I believe is on the medical event subcommittee. We're  
11 going to pull up that list for you.

12 So moving on to item 18, that ACMUI endorsed the  
13 Abnormal Occurrence Subcommittee report that was up for  
14 approval. Item 19, that ACMUI had planned to hold the fall  
15 2013 ACMUI meeting here at headquarters on September 9th and  
16 10th, 2013, or backup date of the 16th and 17th -- [coughs]  
17 -- excuse me. Item 20, and I may not have gotten this right  
18 because I was coming in between. I believe Dr. Guiberteau  
19 requested that NRC staff provide a link to the abstract that  
20 was cited in Ms. Weil's presentation, and so it's --

21 MR. EINBERG: We will provide that.

22 MS. HOLIDAY: We will provide that to the full  
23 ACMUI. And our last recommendation is that the ACMUI  
24 recommended that NRC provide regulatory relief from the  
25 decommissioning funding plan requirements for the use of the  
26 germanium-68 gallium-68 generator. That was approved on an  
27 eight approval basis and four abstentions. Are there any  
28 comments?

1           CHAIRMAN MALMUD: Are there any comments? There  
2 are none.

3           MS. HOLIDAY: Okay. I'd also like to add one  
4 clarification. During my presentation for the ViewRay, one  
5 of my communication resources I mentioned was the medical  
6 listserver. I had the incorrect email address on there. So  
7 for the record, if you would like to subscribe to the  
8 medical listserver you can send an email to medical, M-E-D-  
9 I-C-A-L, dash G-C dot resource at NRC.gov. Dr. Thomadsen.

10          VICE CHAIRMAN THOMADSEN: In any -- in just some  
11 future email to us, would you just include that?

12          MS. HOLIDAY: Sure. Dr. Malmud, at this time I  
13 would like to turn this over to Mr. McDermott.

14          CHAIRMAN MALMUD: Mr. McDermott.

15          MR. MCDERMOTT: And one final thing for Dr. Malmud  
16 on his departure from the committee as chair, I have a  
17 certificate of appreciation here for Dr. Malmud. In  
18 recognition of 11 years of service and leadership, the  
19 Advisory Committee on the Medical Uses of Isotopes, which  
20 resulted in significant contributions to the work of the  
21 U.S. Nuclear Regulatory Commission, we greatly appreciate  
22 your service and advice.

23          CHAIRMAN MALMUD: Thank you Mr. McDermott --

24           [applause]

25          CHAIRMAN MALMUD: Thank you all. I will be very  
26 brief and just say that I've been practicing for 40 years,  
27 and this has been one of the most enjoyable experiences that  
28 I've had in working with such a diverse group of talented

1 individuals, both those who have been on the committee and  
2 rotated through and those who are here today. It's unusual  
3 to be able to exchange information with other disciplines in  
4 a collegial fashion. I also want to thank the individuals  
5 who served as the communicator between the NRC and this  
6 committee, and that dates back Angela McIntosh, Mohammad  
7 Saba, and then of course Ashley Cockerham and Sophie  
8 Holiday; they have all been a tremendous asset to us. And I  
9 want to thank the NRC staff who really are most helpful,  
10 most knowledgeable, and really have worked to try to assist  
11 us in working within the regulations in order to effect the  
12 changes that we think are necessary with implementations for  
13 optimal patient care and the public safety. And sometimes  
14 it's very frustrating working within the framework, but the  
15 staff here has been very, very helpful. And even legal,  
16 which usually is a roadblock --

17 [laughter]

18 -- has offered constructive advice to us. And we  
19 thank NRC legal as well for being most collaborative in  
20 circumstances which are always almost confrontational and  
21 yet we manage to resolve them between the NRC staff, the  
22 legal advice, and the desire of this committee with respect  
23 to the patient and public welfare. So it's just been a  
24 wonderful experience for me, and I appreciate very much and  
25 I thank all of you.

26 [applause]

27 And I will turn the leadership over to Dr.  
28 Thomadsen, who has graciously accepted the chairmanship, and



1 complementing him will be Dr. Guiberteau as the vice chair.  
2 And you're in good hands. Thank you all. Have a safe trip  
3 home.

4 [applause]

5 [whereupon, the proceedings were concluded at 12:30pm]