

**Official Transcript of Proceedings**  
**NUCLEAR REGULATORY COMMISSION**

Title: Meeting of the Advisory Committee on the  
Medical Uses of Isotopes: Open Session

Docket Number: (n/a)

Location: Rockville, Maryland

Date: Monday, September 9, 2013

Work Order No.: NRC-220

Pages 1-164

**NEAL R. GROSS AND CO., INC.**  
**Court Reporters and Transcribers**  
**1323 Rhode Island Avenue, N.W.**  
**Washington, D.C. 20005**  
**(202) 234-4433**

1 UNITED STATES OF AMERICA

2 NUCLEAR REGULATORY COMMISSION

3 + + + + +

4 ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

5 + + + + +

6 OPEN SESSION

7 + + + + +

8 MONDAY,

9 SEPTEMBER 9, 2013

10 The meeting was convened in room T2-B3 of  
11 Two White Flint North, 11545 Rockville Pike,  
12 Rockville, Maryland, at 11:00 a.m., Bruce Thomadsen,  
13 Ph.D., ACMUI Chairman, presiding.

14 MEMBERS PRESENT:

15 BRUCE THOMADSEN, Ph.D., Chairman

16 MILTON GUIBERTEAU, M.D., Vice Chairman

17 SUSAN M. LANGHORST, Ph.D., Radiation Safety  
18 Officer

19 STEVEN R. MATTMULLER, Nuclear Pharmacist

20 CHRISTOPHER PALESTRO, M.D., Nuclear Medicine  
21 Physician

22 JOHN H. SUH, M.D., Radiation Oncologist

23 ORHAN H. SULEIMAN, Ph.D., FDA Representative

24 WILLIAM A. VAN DECKER, M.D., Nuclear  
25 Cardiologist

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 LAURA M. WEIL, Patients' Right Advocate

2 JAMES S. WELSH, M.D., Radiation Oncologist

3 PAT B. ZANZONICO, Ph.D., Nuclear Medicine  
4 Physicist

5  
6 NRC STAFF PRESENT:

7 LAURA DUDES, Acting Deputy Director, Office of  
8 Federal and State Materials and Environmental  
9 Management Programs

10 BRIAN McDERMOTT, Director, Division of  
11 Materials Safety and State Agreements

12 PAMELA HENDERSON, Deputy Director, Division of  
13 Materials Safety and State Agreements

14 CHRIS EINBERG, Chief, Radioactive Materials  
15 Safety Branch, Designated Federal Officer

16 MICHAEL FULLER, Medical Radiation Safety Team  
17 Leader, Alternate Designated Federal Officer

18 SOPHIE HOLIDAY, ACMUI Coordinator

19 ASHLEY COCKERHAM, Alternate Designated Federal  
20 Officer

21 NEELAM BHALLA, FSME/DILR/RPMB

22 SUSAN CHIDAKEL, OGC/GCLR/RMR

23 SAID DAIBES, Ph.D., FSME/DMSSA/RMSB

24 SARA FORSTER, R-III/DNMS/MLB

25 CASSANDRA FRAZIER, R-III/DNMS/MLB

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 LATISCHA HANSON, R-IV/DNMS/NMSB-A

2 MICHELLE HAMMOND, R-IV/DNMS/NMSB-B

3 DONNA-BETH HOWE, Ph.D., FSME/DMSSA/RMSB

4 ED LOHR, FSME/DILR/RPMB

5 ANGELA McINTOSH, FSME/DMSSA/RMSB

6 GRETCHEN RIVERA-CAPELLA, FSME/DMSSA/RMSB

7 ROBERT SUN, FSME/DMSSA/RMSB

8 LESTER TRIPP, R-I/DNMS/MB

9 SHIRLEY XU, FSME/DMSSA/LB

10 RONALD ZELAC, Ph.D., FSME/DMSSA/RMSB

11  
12 MEMBERS OF THE PUBLIC PRESENT:

13 ROBERT DANSEREAU, New York State Department of  
14 Health

15 LYNNE FAIROBENT, American Association of  
16 Physicists in Medicine

17 DEBBIE GILLEY, American Association of  
18 Physicists in Medicine

19 RALPH LIETO, St. Joseph Mercy Health System

20 ANDREW MCKINLEY, American Society of Nuclear  
21 Cardiology

22 MICHAEL PETERS, American College of Radiology

23 JESSE SCHOOLNIK, Society of Nuclear Medicine  
24 and Molecular Imaging

25 MIKE STEPHENS, Florida Bureau of Radiation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

Control  
CINDY TOMLINSON, American Society for Radiation  
Oncology  
GARY E. WILLIAMS, Veterans Health  
Administration

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

T A B L E O F C O N T E N T S

Opening Statements ..... 6

Old Business ..... 16

What is the ACMUI? ..... 22

Group Photo ..... 43

10 CFR Part 35 Rulemaking Update ..... 45

NRC and Safety Culture ..... 58

Interim Enforcement Policy for Permanent Implant  
Brachytherapy Programs ..... 69

Enforcement Guidance Memorandum for Rb-82 Generators  
..... 96

Medical Events Subcommittee Report ..... 125

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

## P R O C E E D I N G S

11:02 a.m.

1  
2  
3 MR. EINBERG: Okay. Good morning. As the  
4 Designated Federal Officer for this meeting, I am  
5 pleased to welcome you to this public meeting of the  
6 ACMUI.

7 My name is Chris Einberg. I am chief of  
8 the Radioactive Material Safety Branch and I have  
9 been designated as the federal officer for this  
10 advisory committee in accordance with 10 CFR Part  
11 7.11.

12 Present today as the alternate designated  
13 federal officers are Michael Fuller, Medical  
14 Radiation Safety Team leader, and Ashley Cockerham.

15 This is an announced meeting of the  
16 Committee. It is being held in accordance with the  
17 rules and regulations of the Federal Advisory  
18 Committee Act and the Nuclear Regulatory Commission.

19 The meeting was announced in the July  
20 10th, 2013 edition of the Federal Register, Volume  
21 78, Page 41427 through 41428.

22 The function of the Committee is to  
23 advise staff on issues and questions that arise on  
24 the medical use of byproduct material.

25 The Committee provides counsel to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 staff, but does not determine or direct the actual  
2 decisions of the staff or the Commission. The NRC  
3 solicits the views of the Committee and values their  
4 opinions.

5 I request that whenever possible, we try  
6 to reach a consensus on the issues that we will  
7 discuss today. I also recognize there may be  
8 minority or dissenting opinions. If you have such  
9 opinions, please allow them to be read into the  
10 record.

11 At this point, I would like to perform a  
12 roll call of the ACMUI members participating today.

13 Dr. Bruce Thomadsen, ACMUI chairman,  
14 therapy medical physicist.

15 CHAIR THOMADSEN: Present.

16 MR. EINBERG: Dr. Mickey Guiberteau, ACMUI  
17 vice chairman, diagnostic radiologist.

18 VICE CHAIR GUIBERTEAU: Present.

19 MR. EINBERG: Dr. Sue Langhorst, radiation  
20 safety officer.

21 MEMBER LANGHORST: Present.

22 MR. EINBERG: Mr. Steve Mattmuller,  
23 nuclear pharmacist.

24 MEMBER MATTMULLER: Present.

25 MR. EINBERG: Dr. Christopher Palestro,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 nuclear medicine physician.

2 MEMBER PALESTRO: Present.

3 MR. EINBERG: Dr. John Suh, radiation  
4 oncologist.

5 MEMBER SUH: Present.

6 MR. EINBERG: Dr. Orhan Suleiman, FDA  
7 representative.

8 MEMBER SULEIMAN: Present.

9 MR. EINBERG: Dr. William Van Decker,  
10 nuclear cardiologist.

11 MEMBER VAN DECKER: Present.

12 MR. EINBERG: Ms. Laura Weil, patients'  
13 rights advocate.

14 MEMBER WEIL: Present.

15 MR. EINBERG: Dr. James Welsh, radiation  
16 oncologist.

17 MEMBER WELSH: Present.

18 MR. EINBERG: Dr. Pat Zanzonico, nuclear  
19 medicine physicist.

20 MEMBER ZANZONICO: Present.

21 MR. EINBERG: We do have a quorum. So, I  
22 now ask that NRC staff members who are present to  
23 identify themselves.

24 I'll start with the individuals in the  
25 room here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MR. FULLER: Mike Fuller.

2 DR. HOWE: Dr. Donna-Beth Howe.

3 MS. COCKERHAM: Ashley Cockerham.

4 MR. EINBERG: Theron, if you could turn  
5 the microphones on, on the tables, please.

6 MS. RIVERA-CAPELLA: Gretchen Rivera-  
7 Capella.

8 MS. HOLIDAY: Sophie Holiday.

9 MS. DUDES: Laura Dudes.

10 MS. BHALLA: Neelam Bhalla.

11 MR. LOHR: Ed Lohr.

12 MS. FORSTER: Sara Forster.

13 MR. EINBERG: Okay. Thank you. Also, if  
14 there's anybody from Region 1 on the line, please  
15 identify yourself now.

16 (No response.)

17 MR. EINBERG: Hearing none, we'll move to  
18 Region III. Region III, if there's anybody on the  
19 line, please identify yourself.

20 (No response.)

21 MR. EINBERG: Region IV, anybody on the  
22 line?

23 (No response.)

24 MR. EINBERG: Anybody else who has called  
25 in? NRC staff who has called in?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (No response.)

2 MR. EINBERG: Okay. I would also like to  
3 add that this meeting is being webcast. So, other  
4 individuals may be watching online.

5 We have a bridge line available and that  
6 phone number is (888) 864-0940. Once again, the  
7 number is (888) 864-0940. The passcode to access the  
8 bridge line is 73000#.

9 Following the discussion of each agenda  
10 item, the ACMUI chairman, Dr. Bruce Thomadsen, at his  
11 option may entertain comments or questions from  
12 members of the public who are participating with us  
13 today. We ask that one person speak at a time as  
14 this meeting is also closed caption.

15 At this point, I'd like to turn the  
16 meeting over to Brian McDermott, director of the  
17 Division of Materials Safety and State Agreements for  
18 a few comments.

19 MR. McDERMOTT: Thanks, Chris. Good  
20 morning, everybody. I'd just like to welcome  
21 everybody to this meeting of the ACMUI.

22 For everybody's benefit that wasn't  
23 present, the ACMUI held a teleconference back in June  
24 on the 18th to discuss the ACMUI's review of yttrium-  
25 90 microsphere medical events and we very much

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 appreciate the Committee's review.

2 The final report in that review was  
3 received on June 18th and it can be found both on the  
4 ACMUI's webpage, but also in ADAMS under ML 13175A,  
5 as in alpha, 025.

6 And, again, we very much appreciate ACMUI  
7 looking at those microsphere events as we did have a  
8 number of them reported in the last year.

9 Later on today we're going to have some  
10 conversations about the interim enforcement policy  
11 for permanent implant brachytherapy programs and also  
12 the enforcement guidance memorandum for rubidium  
13 generators. They're both fairly recent projects out  
14 of the Agency.

15 Tomorrow we'll have some presentations on  
16 the ViewRay licensing guidance. The presentation  
17 will be given by Megan Shober and Sandy Frazier, the  
18 co-chairs of the joint NRC and Organization of  
19 Agreement States Working Group. This guidance was  
20 released on July 24th of this year.

21 Also since the last ACMUI meeting, we had  
22 the annual Organization of Agreement States Meeting,  
23 which NRC had a heavy participation in, in terms of  
24 presentations. Some interesting issues in the  
25 medical arena were discussed at that meeting and that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 was back on August 19th.

2 I would like to note that this is Dr. Van  
3 Decker's last meeting with ACMUI. He served eight  
4 years on the Committee as a nuclear cardiologist and  
5 we have a special presentation for him at the  
6 conclusion of tomorrow's meeting.

7 With Dr. Van Decker's departure, we will  
8 have three vacancies on the ACMUI. The positions are  
9 healthcare administrator, nuclear cardiologist and  
10 agreement state representative.

11 We're conducting in-person interviews  
12 looking to fill those positions in the near term.  
13 Hopefully before the next meeting of the ACMUI in-  
14 person we'll be able to have those seats filled.

15 Next I'll just briefly mention some  
16 changes going on at NRC. You may have heard about  
17 some of our personnel changes going on.

18 Mark Satorius who had been the director  
19 of the Office of Federal and State Materials and  
20 Environmental Management Programs was selected as the  
21 Executive Director for Operations.

22 That's our highest-ranking, non-political  
23 position in the Agency, and Mark left FSME to go on  
24 to that position on August 26th. So, we wish Mark  
25 well. I think it's a good move for Mark and a great

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 change, great move for the Agency.

2 Brian Holian, which you may have met  
3 before, Brian Holian is the deputy office director of  
4 FSME. So, he'll be temporarily filling in until a  
5 permanent replacement for Mark is identified.

6 While Brian is acting as our office  
7 director, Laura Dudes who is here with us today will  
8 be our acting deputy office director.

9 And one new one on that plate as of last  
10 week, I was asked to move to the Office of Nuclear  
11 Security and Incident Response as the deputy office  
12 director. So, that change is actually effective in  
13 an acting capacity as of today.

14 Pam Henderson who has been my deputy over  
15 the last year or so will be here. She'll be here  
16 with you during your afternoon session.

17 Pam has been an integral part of the  
18 things within the Division of Materials Safety and  
19 State Agreements since she came originally to us on a  
20 rotation, and then permanently over a year ago.

21 So, Pam has always been a part of the  
22 discussions and she's been here for some of your  
23 meetings as well, but she will be acting until a  
24 replacement is identified for the Division of  
25 Materials Safety and State Agreements.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           One third change that's potentially down  
2 the road, we're conducting an evaluation to look at  
3 the potential reintegration of FSME with the Nuclear  
4 Materials Safety and Safeguards Office.

5           Now, if you go - some of you have been  
6 around for a while. You go back a few years. You  
7 know that FSME was split out of that organization and  
8 there were a number of dynamics at the time that  
9 caused that change for the Agency.

10           Notably, you know, the growing work that  
11 was associated with Yucca Mountain licensing, and  
12 there were also other changes that influenced the  
13 change such as bringing the - what was the Office of  
14 State and Tribal Programs in with the Materials  
15 Program staff because there is so much interaction  
16 with the States on material safety and security  
17 issues.

18           We're looking at that just because of,  
19 you know, the current changes in the external  
20 environment, but also to see, you know where are  
21 there synergies and is it beneficial to reintegrate  
22 those two organizations.

23           So, that's something that there's a  
24 working group that's been formed to look at the pros  
25 and cons of that type of merger between the two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 offices. So more to come on that.

2 And, you know, one of the things we do is  
3 we look at opportunities to do these things. And  
4 with Mark's departure to the EDO position, Mark  
5 Satorius's departure, that leaves us short one office  
6 director. So, it's time to make it so that  
7 opportunity has the benefits to pull the  
8 organizations together. So more to come on that down  
9 the road.

10 And that's all I have unless there are  
11 any questions for me.

12 CHAIR THOMADSEN: Well, I would just like  
13 to say congratulations in your new position. And the  
14 Committee thanks you for all that you've done in  
15 working with us over the years.

16 MR. McDERMOTT: Thank you.

17 MR. EINBERG: Ashley Cockerham just  
18 reminded me to check for people who may be on the  
19 line to identify themselves.

20 If there is anybody on the line right  
21 now, can you please identify yourself?

22 (No response.)

23 MR. EINBERG: Well, we heard some static  
24 on there. There must be somebody on the line.

25 (No response.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. EINBERG: Okay. I guess not. Okay.  
2 Thank you. I turn it back over to Chair Thomadsen.

3 CHAIR THOMADSEN: Very fine. We now have  
4 Ms. Holiday with old business.

5 MS. HOLIDAY: All right. Good morning,  
6 everyone. I have the pleasure of presenting old  
7 business, which is the portion of the meeting where  
8 we go over past recommendations and we discuss any  
9 NRC staff actions or ACMUI actions that have been  
10 taken or will be taken in the future.

11 So, we'll start back with 2007. We stuck  
12 this chart back in there. There's no changes with  
13 it. This is all included in the Part 35 extended  
14 rulemaking.

15 If you move on to 2008, the same thing  
16 applies here. The majority of this sheet is for the  
17 Part 35 extended rulemaking with the exception of  
18 Item Number 9 which refers to the abnormal occurrence  
19 criteria.

20 As you know, the ACMUI formed an Abnormal  
21 Occurrence Subcommittee and those recommendations  
22 were provided to staff. And the ACMUI Subcommittee  
23 report was then provided in staff's paper to the  
24 Office of Research. And they will provide that paper  
25 to the Commission.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Okay. Then we move on to 2009. Items 2  
2 and 10 are in the Part 35 extended rulemaking. Item  
3 9 is just an open action item for the Medical Events  
4 Subcommittee. That just continues on until we add  
5 members or we dissolve the subcommittee.

6 Oh, that's awfully hard to see. So, for  
7 2011, I apologize for the size - thank you. Okay.  
8 For 2011 I just wanted to update you for Item Number  
9 1.

10 This pertains to the patient release  
11 criteria. This is not being considered for the  
12 current rulemaking, but may be considered for future  
13 rulemaking.

14 For Item Number 7, this is where Dr.  
15 Malmud had agreed to serve as the reviewer to screen  
16 iodine-131 cases for the Medical Events Subcommittee.

17 At this time, I'd like to ask, Dr.  
18 Thomadsen, you, as the chair, if you would like to  
19 put another ACMUI member in this position.

20 CHAIR THOMADSEN: Yes. If Dr. Palestro  
21 agrees, I would like him to convene the subcommittee.

22 MEMBER PALESTRO: I'd be happy to.

23 MS. HOLIDAY: Thank you.

24 CHAIR THOMADSEN: Thank you.

25 MS. HOLIDAY: Okay. For Items 11, 13, 14

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and 15 -

2 VICE CHAIR GUIBERTEAU: Excuse me. Could  
3 I clarify that?

4 MS. HOLIDAY: Yes.

5 VICE CHAIR GUIBERTEAU: Is this an  
6 appointment? Because I know Dr. Malmud did not serve  
7 on the Committee, but he agreed to review the iodine-  
8 131 issues.

9 MS. HOLIDAY: Right. That's correct.

10 VICE CHAIR GUIBERTEAU: I mean, I'm just -  
11 I think the record - is this appointing a reviewer,  
12 or a member of the Committee?

13 CHAIR THOMADSEN: I am appointing him to  
14 the Committee.

15 MS. HOLIDAY: Sure. Thank you for that  
16 distinction. Okay. So, Items 11, 13, 14 and 15 are  
17 included in the extended Part 35 rulemaking.

18 Item 16 also relates to the patient  
19 release - or, I'm sorry, per release limit. We have  
20 tabled this and may consider this for future  
21 rulemaking.

22 Item 20 is where Dr. Langhorst asked us  
23 to place historical documents on the ACMUI website  
24 and to add past information. That was discussed at  
25 the last meeting. So, this will change to closed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And we presented you with the changes to the ACMUI  
2 webpage.

3 And Item 32 also relates to the AO  
4 criteria, where again we sent on the ACMUI  
5 Subcommittee's report on to Research to be included  
6 in their paper to the Commission.

7 Okay. Moving on to 2012, the only item  
8 that I have on the agenda here that's changed is Item  
9 9. Not that it's changed, but just to remind you  
10 that ACMUI made the recommendation to have an annual  
11 review of the reporting structure. So, we will also  
12 have that presentation tomorrow afternoon.

13 Items for the chart 2013, again I  
14 apologize for the size of the chart. The majority of  
15 this, Items 1 through 14, were discussed in the ACMUI  
16 Rulemaking Subcommittee. And all these  
17 recommendations were included in staff's paper to the  
18 Commission for the proposed rulemaking.

19 Item 15 dealt with the ACMUI bylaws.  
20 That was tabled from the spring meeting and was  
21 discussed this morning and will be tabled for the  
22 following spring meeting. A subcommittee has been  
23 formed that will review those proposed amendments to  
24 the bylaws.

25 On the next page, Item 16, Dr. Langhorst,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 you had requested that when we publish the proposed  
2 rulemaking in the guidance document, that they have  
3 the same docket numbers.

4 Staff did reach out to Admin and they  
5 have informed us that we have to have two separate  
6 docket numbers.

7 However, both documents will reflect each  
8 other's docket number so that they're easily  
9 accessible.

10 MEMBER LANGHORST: Thank you very much.

11 MS. HOLIDAY: You're very welcome.

12 Item 17 was the teleconference to discuss  
13 Y-90 microspheres medical events.

14 That's closed because we had that  
15 teleconference and a subcommittee report was given  
16 and delivered. And the final report was distributed  
17 on June 28th, 2013.

18 Item 18 also deals with the AO  
19 Subcommittee report. Again, transmitted to [be  
20 included in] a paper to Research and will be sent off  
21 to the Commission.

22 Item 19 is closed. That was when the  
23 ACMUI planned to hold their fall meeting on September  
24 9th and 10th. Here we are today.

25 Item 20, Dr. Guiberteau asked that staff

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 provide the link that Ms. Weil had referred to in her  
2 ThyCa presentation. I transmitted that to the  
3 Committee on the 17th. So, that item is closed.

4 Item 21, in Mr. Mattmuller's presentation  
5 he asked that NRC provide regulatory relief for the  
6 decommissioning funding plan requirements for the use  
7 of germanium-68/gallium-68 generators.

8 Staff has taken an initial review of this  
9 and we plan to refer this on to another division in  
10 our office and they will review that recommendation  
11 and then will be able to come back with a response  
12 for you, but this is just an initial review. Dr.  
13 Zanzonico will also give a presentation on this very  
14 topic.

15 And then for our last item, this is a  
16 result from the Y-90 microspheres medical events  
17 teleconference that was held in June. And it's just  
18 saying that the ACMUI endorsed the report. Again,  
19 that report was finalized and distributed on June  
20 28th, 2013.

21 Do you have any questions for me?

22 CHAIR THOMADSEN: Yes, Dr. Suh.

23 MEMBER SUH: There's no 2010 ACMUI  
24 recommendations and action items.

25 MS. HOLIDAY: Sure. So, actually, I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it was maybe a year or two ago we closed all those  
2 items on 2010. So, that's why that chart is not  
3 included in this list.

4 CHAIR THOMADSEN: Any other questions for  
5 Ms. Holiday?

6 (No response.)

7 CHAIR THOMADSEN: Thank you very much.

8 MS. HOLIDAY: Thank you.

9 CHAIR THOMADSEN: We will move on to What  
10 is the ACMUI presentation from Ms. Cockerham.

11 MS. COCKERHAM: Good morning. So, this  
12 presentation, What is the ACMUI, this presentation is  
13 originally one that I gave to a group of health  
14 physicists at the NRC.

15 So, it included individuals from  
16 reactors, research, from the materials group, it was  
17 all across the Agency. So, not all of them knew what  
18 ACMUI stood for. So, that's why I have it spelled  
19 out here.

20 You guys obviously know what it is. You  
21 eat, sleep and breathe this, but that's kind of how  
22 this originated.

23 And it was well received. And so, we  
24 thought maybe for those who are newer on the  
25 Committee or for those who don't know its history,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and this was kind of prompted by Dr. Langhorst, she  
2 asked for some history on the ACMUI webpage. So, we  
3 were kind of building on that and that's sort of the  
4 purpose of my presentation.

5 So, I'm going to go over the history,  
6 talk a little bit about the organization, the purpose  
7 of the Committee, what the membership is like and  
8 meetings, how subcommittees work.

9 And then as you know, we totally revamped  
10 our ACMUI website. So, it's much more user-friendly  
11 and easy to find things for members of the public and  
12 for the Committee and then tell you who your contacts  
13 are.

14 So, taking you all the way back to 1946,  
15 this was as far back as I could find. And I was able  
16 to find this information on a DOE website. Because  
17 as you know, NRC and DOE used to be all one thing.

18 So, the program for distributing  
19 radioisotopes in the U.S. grew out of part of the  
20 Manhattan Project at Oak Ridge.

21 Individuals working on the Manhattan  
22 Project had developed the greatest technical  
23 expertise during World War II and the Manhattan  
24 Project publicly announced its program for  
25 distributing radioactive isotopes in 1946.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Medical researchers and doctors  
2 previously had limited access to radioisotopes  
3 produced from cyclotrons. However, this new  
4 distribution program also allowed access to byproduct  
5 material from the uranium chain.

6 Each byproduct material order had to be  
7 reviewed and approved. And for human use, each  
8 application was reviewed by a special subcommittee at  
9 Oak Ridge.

10 In the first year, there were over 200  
11 orders received and nearly half of them were for  
12 human use. Over 95 percent of all requests received  
13 in 1946 were approved.

14 Cancer researchers initially received  
15 radioisotopes at no charge. A little bit different  
16 than the environment now, but the program was changed  
17 to an 80 percent discount after about six years.

18 Less than 50 practitioners were using  
19 radioisotopes in medicine at the start of the  
20 distribution program.

21 So, then it takes us to 1947 and Congress  
22 created the Atomic Energy Commission which  
23 transferred control from the military to the civilian  
24 sector.

25 The new AEC encompassed all parts of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Manhattan Project including the subcommittee of  
2 experts who reviewed and approved the distribution of  
3 radioactive isotopes.

4 The first medical regulations were  
5 developed in the 1950s and the system regulated the  
6 types of uses allowed according to their hazard and  
7 known risks.

8 It required and provided training of  
9 those who would use the radioisotopes and it required  
10 establishment of local radioisotope committees.

11 Then we jump to 1959. The Oak Ridge  
12 subcommittee doing the reviews and approvals was  
13 officially named the Advisory Committee on the  
14 Medical Uses of Isotopes. The Commission established  
15 the ACMUI under the authority of the Atomic Energy  
16 Act of 1954.

17 Then we jump to 1974. The Energy  
18 Reorganization Act split the AEC's responsibilities  
19 between the NRC and what is now known as the DOE or  
20 Department of Energy.

21 The ACMUI's name, functions, reporting  
22 structure, all of that remained unchanged under the  
23 NRC.

24 And currently the activities of the ACMUI  
25 are subject to the Federal Advisory Committee Act

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 regulated by the U.S. General Services Administration  
2 and the NRC's regulations in 10 CFR Part 7.

3 We have been talking about this a lot  
4 recently, too. Here's an excerpt of the org chart.  
5 We have the Commission, the EDO and our office, the  
6 Office of Federal and State Materials and  
7 Environmental Management Programs.

8 And then we have our division where Brian  
9 is the division director. I guess Pam is our acting  
10 division director now.

11 And then we have the ACMUI who reports  
12 directly to Brian. And the support staff for the  
13 Advisory Committee and for all of the medical team  
14 were in that bottom box in the Radioactive Materials  
15 Safety Branch. So, that includes the Medical  
16 Radiation Safety Team. That's just a quick layout.

17 So, the purpose of the ACMUI is to advise  
18 staff on policy and technical issues that arise in  
19 the regulation of the medical use of byproduct  
20 material for diagnosis and therapy.

21 ACMUI provides specific recommendations  
22 on proposed changes to 10 CFR Part 35 and revisions  
23 to NRC guidance documents.

24 From 2007 to 2011 - I need to update  
25 this. I guess for all of 2012, but from 2007 to 2012

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the ACMUI made over a hundred recommendations to NRC  
2 staff on various issues.

3 Staff accepted over 90 percent of the  
4 recommendations, but many are pending rulemaking  
5 completion for full implementation.

6 Only five percent of ACMUI  
7 recommendations during that same time period were not  
8 accepted. In comparison, GSA reported for fiscal  
9 year 2009 for over 900 federal advisory committees,  
10 only ten percent of recommendations have or will be  
11 fully implemented.

12 NRC staff values input from the medical  
13 professionals and experts on the ACMUI. I think that  
14 90 percent number speaks volumes for your advice and  
15 input and how it is implemented through the Agency.

16 The ACMUI also provides technical  
17 assistance to NRC staff. For example, they can  
18 provide input on guidance documents or commission  
19 papers.

20 ACMUI members can raise issues on behalf  
21 of stakeholders or bring information on new  
22 technologies to the attention of NRC staff.

23 ACMUI members can also serve as medical  
24 consultants for specific technical issues, or to  
25 evaluate medical events that are reported to the NRC.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Since you're all here, you do know about  
2 this process. There's a formal nomination process  
3 where we publish in the *Federal Register*. And to  
4 fill vacancies, NRC staff publishes a notice in the  
5 *Federal Register* requesting nominations from  
6 interested parties.

7           After we receive the nominations, we  
8 convene a selection panel that includes NRC employees  
9 and an individual who is a non-NRC federal employee.

10          This non-NRC federal employee is always a  
11 professional who specializes in the vacancy to be  
12 filled.

13          So, if we had a radiation oncology  
14 opening, we would try to get someone from, say, NIH  
15 or up the road at the - what's the Army Medical  
16 Center.

17          PARTICIPANT: Walter Reed.

18          MS. COCKERHAM: Walter Reed, yes. So,  
19 typically we try to get people that are close to here  
20 to come in and sit on our panels.

21          The selection panel evaluates each  
22 nominee and sends the recommendation to the FSME  
23 office director.

24          Usually there are some interviews thrown  
25 in there and lots of other managers that are looking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 over things as well.

2 ACMUI members serve four-year terms and  
3 they are eligible for reappointment after the four  
4 years for a second four-year term.

5 And ACMUI members are considered special  
6 government employees and they have L security  
7 clearances. And they also have badges.

8 So, these are all the positions on the  
9 ACMUI. I won't go through all of these. I think you  
10 all know very well what jobs you do the other 99.9  
11 percent of the time that you're not here.

12 And expertise covers these areas. We  
13 look at diagnostic, therapeutic. We have research,  
14 patients' rights. And we have co-regulators in the  
15 agreement states and also with the FDA.

16 Here is your picture, I guess, from April  
17 of this year. And I've got Dr. Malmud in parentheses  
18 here, as we know he rotated off the Committee. And  
19 Ms. Bailey retired and left us as well. So, other  
20 than that, I think everyone is here.

21 It helps to put faces with names. For  
22 those that are working at the NRC, like I said, this  
23 was geared towards them. So, if they saw you, they  
24 would maybe know who was who.

25 As you know, we meet twice a year for two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 days at a time. We have teleconferences any time  
2 that we need to. And one to two hours, that would be  
3 good. I don't think we've had any two-plus hour  
4 meetings recently, have we? We used to be that way.

5 At least not the teleconferences.

6 And then we always look forward to trying  
7 to have an annual commission briefing. So, we will  
8 have that this year.

9 Subcommittees are created as needed. And  
10 they are created by the ACMUI chairman. And the  
11 majority of ACMUI work, as you know, is done in  
12 subcommittee space not necessarily while you're here  
13 meeting face to face.

14 Subcommittees are given a specific  
15 purpose or task usually related to one topic. They  
16 generate reports for review and discussion in a  
17 public meeting with the full committee.

18 Subcommittee reports are voted on and  
19 submitted to NRC as formal comments or  
20 recommendations.

21 And here I have listed many of the topics  
22 that have been covered. Not everyone was here in  
23 2002, but that's when we first started, I guess,  
24 talking about training and - probably prior to that,  
25 but that's when we had a major rule coming out on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 training and experience in Part 35.

2 And there was a subcommittee for the  
3 Novoste medical events that were reported. And we  
4 had the fingerprinting efficiency and cesium chloride  
5 issues that came up. I know Dr. Thomadsen is very  
6 familiar with those and served on those  
7 subcommittees.

8 We had a board certification pathway. I  
9 believe Dr. Eggli, who is no longer on that  
10 committee, headed that one up.

11 We've had lots of interest on patient  
12 release recently. And of high, high interest is the  
13 permanent implant brachytherapy topics. And you can  
14 see we visited in 2008, `10, `11 and `12. And more  
15 to come on that as the proposed rule works its way  
16 through the process.

17 And we've also looked at electronic  
18 signatures, radium-223 dichloride. The AO criteria,  
19 which Sophie mentioned, went to Research and will be  
20 submitted to the Commission.

21 And then we have the expanded Part 35  
22 rulemaking which is the big rule going on right now.

23 You guys have provided lots of input for that. And  
24 also the yttrium-90 microsphere events analysis that  
25 we just had a teleconference for.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           And you also have a standing subcommittee  
2 for medical events that are reported each fiscal year  
3 to the NRC.

4           And here is a link to the ACMUI public  
5 webpage which we revised and updated earlier this  
6 year. Hopefully it's easier to navigate, easier to  
7 find things. And it includes all of your membership  
8 information, your biographies. If you ever need any  
9 updates or anything to that, you can always send  
10 those to Sophie and she'll get your most recent  
11 information put up there.

12           We also had a history section which is  
13 part of the beginning of this presentation and that  
14 takes us all the way back to the Manhattan Project.  
15 And it has a copy of our charter. All of your  
16 subcommittee reports are easily linked from there.

17           And meeting information which includes  
18 all the meeting summaries, the agendas, full  
19 transcripts, all that information is broken out by  
20 year and it's easy to find now.

21           And there's also just a generic contact  
22 submission form which goes to a box that the medical  
23 team monitors. And we assign it to the appropriate  
24 person to get a response for any questions that come  
25 in.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1           And obviously you guys know how to get in  
2 touch with us. But if you ever have a constituent  
3 who needs just a general question, we have a place  
4 for that.

5           And these are your contacts; Chris, Mike  
6 and Sophie. That's it for me.

7           CHAIR THOMADSEN: Any questions for Ms.  
8 Cockerham? Yes. Dr. Langhorst.

9           MEMBER LANGHORST: I wanted to say thank  
10 you very much for gathering this information and,  
11 yes, I do - I'm able to find things on here. It  
12 looks really good.

13           One question I had about the meetings -  
14 I'm trying to get to it here. The history goes back  
15 to 1993.

16           Is there information that goes back  
17 farther than that that's available on -

18           MS. COCKERHAM: We checked with HR and  
19 that was all that they had. I have some paper files  
20 that I need to turn over to Sophie. It would be a  
21 matter of going through paper files to see if there  
22 is selection panel information. And then I'm not  
23 sure even within those paper files if we would show  
24 who was selected.

25           I believe we're required to retain the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information for six years as far as membership goes.

2 And so, our initial question was to HR since they  
3 maintain all of that and they went back to `93 and  
4 that's as far as it went.

5 So, when Jeff was here and was collecting  
6 that information, that's as far as we got with HR.

7 MEMBER LANGHORST: Okay. It would be nice  
8 to have the discussions that occurred in the meetings  
9 like transcripts during the formation of Part 35 and  
10 all that. So, that's one thing that would be really  
11 nice to have if it's -

12 MS. COCKERHAM: When did we start ADAMS  
13 stuff?

14 MR. McDERMOTT: 1993.

15 MS. COCKERHAM: I was getting ready to say  
16 you're getting into - I don't know if we have  
17 electronic copies where we could even get this stuff  
18 without going - doesn't our stuff go to the National  
19 Archive or - it goes downtown in boxes. So, that's  
20 probably why you're seeing that `93 number. The  
21 electronic age.

22 MS. HOLIDAY: If I can jump in just real  
23 quick, Dr. Langhorst. I do have a box of hard copy  
24 transcripts that date probably around the 1990s for  
25 some that stuff - if it's not on there. And I know

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 those items probably more than likely were not  
2 scanned into ADAMS. So, it's just a matter of  
3 resources.

4 So, when I do get time, I do plan to add  
5 those into the system and upload that. So, as  
6 information comes available and we have time to do  
7 that, then I am more than happy to add that to the  
8 website.

9 MEMBER LANGHORST: Well, I appreciate that  
10 effort very much.

11 MS. COCKERHAM: I probably have another 25  
12 boxes to add to that.

13 (Laughter.)

14 MS. HOLIDAY: We're still transitioning.

15 CHAIR THOMADSEN: Dr. Van Decker.

16 MEMBER VAN DECKER: Yes, actually I wanted  
17 to thank you. And I wanted to point out that there's  
18 probably some utility to what you just did, you know.

19 Historical institutional memory is  
20 important in consistency to the stakeholder community  
21 over time and important to the thought processes that  
22 evolve over time and regulations.

23 So, I would start it out with my first  
24 historical comment showing how old I am and then I'll  
25 go to where I want to go.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           The initial 10 CFR 35 rewrite for  
2 training and experience kicked off in 1996 at a town  
3 hall meeting in Philadelphia. I know. I was there.

4       So, it goes back a little bit more than 2002.

5           And it points out that that process which  
6 was so important to the stakeholder community took  
7 eight or nine years before it came to fruition, which  
8 would have cycled everybody off the Committee during  
9 that period of time for some historical threat of  
10 where thought was going and where we were. So, there  
11 is some importance to that.

12           And so, collation of institutional memory  
13 and historical memory is kind of important for  
14 everyone.

15           And then my final comment on where that  
16 goes is obviously you have three open positions  
17 coming up and some packaging of introductory material  
18 for people to hit the ground running as far as  
19 institutional memory goes, some of the most major  
20 topics recently and some of the background on it  
21 which certainly, I think, make the Committee most  
22 effective and effective to the staff. Which from my  
23 experience from 1996 on, has been nothing but  
24 phenomenal.

25           CHAIR THOMADSEN: Dr. Suleiman.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER SULEIMAN: Some interesting points.  
2 There's little doubt in my mind that the transcripts  
3 of the earlier meetings are somewhere.

4 I've recently been looking at documents  
5 dating back to the 1940s. And so, sometimes it's  
6 surprising how ancient information is still very,  
7 very relevant. So, I think it would be important to  
8 find them or at least have some sort of a moderate  
9 level, you know, effort to find them.

10 Yeah, I think everything started to get  
11 electronicized about a decade or two ago and that  
12 seems to be the period, but you'd be surprised at how  
13 much stuff has been scanned in and PDF'd and is on  
14 there.

15 Another point just for historical  
16 purposes that I've come across, in 1963 when the FDA  
17 started to require pre-market approval of certain -  
18 for the drugs, for example, the FDA and NRC had a  
19 Memorandum of Understanding.

20 They basically said we don't consider  
21 these drugs - or we'll let the Atomic Energy  
22 Commission take charge of that.

23 But in 1975, which I always find - it's  
24 not ironic. I'm sure it's associated with the  
25 reorganization with the NRC and ERDA, Energy Research

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and Development Agency, was established, but at that  
2 time they said we're going to give back to FDA the  
3 authority to require pre-market approval.

4 So, from '75 on FDA took back its  
5 authority to evaluate drugs prior to marketing, but  
6 prior to that it was completely AEC or completely  
7 NRC.

8 So, that sometimes gives you a different  
9 perspective of how different people perceive, you  
10 know, how these products have been regulated over the  
11 years.

12 CHAIR THOMADSEN: Dr. Welsh.

13 MEMBER WELSH: Thank you, Ashley, for  
14 conducting this research. I know you and I talked  
15 about two or three years ago and I was extremely  
16 interested in this in large part because of some  
17 questions that were raised to me as a member of the  
18 ASTRO History Committee, and because of the  
19 University of Wisconsin who I understand had several  
20 previous members besides me and Dr. Thomadsen. So, I  
21 wanted to try to dig that history up myself and I  
22 think you've done a much better job on it.

23 Therefore, I'd like, if possible, for you  
24 to refute a rumor that I might have dug up which was  
25 raised when people asked, how did someone like you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 get onto the ACMUI?

2 And as I dug further and further into it,  
3 I heard that the original name for this committee was  
4 the Subcommittee on Human Applications within the  
5 Division of Isotopes within the Manhattan Project or  
6 Atomic Energy Commission.

7 And that was too long a name. So, it was  
8 shortened to the Subhuman Committee.

9 MS. COCKERHAM: I remember that  
10 conversation now.

11 (Laughter.)

12 MEMBER WELSH: After, we stopped all  
13 investigations into the whole subject.

14 MR. EINBERG: Dr. Howe has something that  
15 she'd like to say on that topic.

16 DR. HOWE: And that's absolutely right. It  
17 was the Subhuman Committee.

18 And I think one other interesting point  
19 is one reason you start to see more things on the  
20 ACMUI not only electronic, but in the late `80s,  
21 early `90s, there was a move to get new people on the  
22 ACMUI.

23 We had members on the ACMUI that had been  
24 on ACMUI for 18 to 20 years. So, there was a move to  
25 standardize that people would come into certain

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 positions and they would be here for a certain period  
2 of time. And you could be extended for a certain  
3 amount of -

4 MS. COCKERHAM: And I think we actually  
5 overcompensated on that. From that 18 to 20-year  
6 mark, we went to a three-year system and that wasn't  
7 long enough.

8 As you know, you can spend your whole  
9 first year just getting up to speed, figuring out  
10 kind of the dynamics of the Committee, what the  
11 issues are, what your position is. And then all of a  
12 sudden a year goes by and then now it's your last  
13 year.

14 So, having four years - I know recently  
15 we had extended terms to four years. And obviously  
16 having two back-to-back four years it seems like a  
17 long time, eight years, but I think that there is a  
18 lot to be learned and hopefully good knowledge  
19 management for that time period. Hopefully we've  
20 found a happy medium with that.

21 CHAIR THOMADSEN: Dr. Zanzonico.

22 MEMBER ZANZONICO: How is the, I mean, the  
23 composition is very logical, representative and so  
24 forth, but what mechanisms are there for expanding or  
25 changing composition.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           Because one - as you were speaking and  
2 you alluded to research, one thing that came to mind  
3 was the possibility that, you know, maybe including a  
4 lab research representative as opposed to the pure  
5 clinical disciplines might be useful.

6           I mean, at our place and I'm sure at  
7 every academic medical center there are many, many  
8 laboratory investigators using radionuclides who are  
9 not represented on the Committee. And that might be  
10 something to consider.

11           MS. COCKERHAM: The mechanism is a  
12 commission paper. So, staff would write a SECY paper  
13 and it would go up through the management chain.

14           As an example, we added Dr. Guiberteau's  
15 position, a diagnostic radiologist. I believe Dr.  
16 Eggli had sort of made a case that he was covering  
17 one part of nuclear medicine. And although it was  
18 our expectation that he was representing another  
19 part, he wasn't necessarily bringing that  
20 perspective.

21           And so, he really raised that to our  
22 attention and we went to the Commission and asked to  
23 add a position.

24           So, the Commission has to approve  
25 positions on the Committee. And there are lots of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other things that come into play as far as the size  
2 and the scope and, you know, budgets and economic  
3 factors, things that we're not necessarily thinking  
4 about, but I think that's the balance we're trying to  
5 strike here and that's the mechanism is that it's  
6 Commission approval for each position.

7 CHAIR THOMADSEN: Thank you.

8 MS. COCKERHAM: I think it's risk-  
9 informed, too, you know. So, two radiation  
10 oncologists and different things like that.

11 CHAIR THOMADSEN: Any other comments?

12 (No response.)

13 CHAIR THOMADSEN: In that case, thank you  
14 very much.

15 MS. COCKERHAM: Thank you.

16 CHAIR THOMADSEN: We're running a little  
17 bit ahead. We're scheduled for the group photo at  
18 this point.

19 Would the photographer be ready?

20 MR. EINBERG: Is the photographer here,  
21 Sophie, or do you know?

22 MS. HOLIDAY: I believe the photographer  
23 is going to come up here at 12:00 to meet the  
24 Committee. So, if you would like, Dr. Thomadsen, I  
25 guess you could have everyone else break for lunch

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 now.

2 CHAIR THOMADSEN: That sounds like a good  
3 idea. So, officially the meeting is on break now  
4 until 1:30. And the Committee members should please  
5 hang around for the photo.

6 (Whereupon, the above-entitled matter  
7 went off the record at 11:46 a.m. and resumed at 1:29  
8 p.m.)

9

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

1:29 p.m.

CHAIR THOMADSEN: Okay. We have Ms. Holiday who is going to make a slight correction to her presentation this morning.

MS. HOLIDAY: Thank you. For everyone's awareness, earlier this morning I gave a presentation called "Old Business." And I made an incorrect statement when going over the 2008 recommendation and action chart.

Item 28 which refers to, "staff revising 10 CFR 35.65, to clarify, it does not apply to sources used for medical use. However, NRC should not require licensees to list the transmission sources as a line item on the license. NRC staff should also revise 10 CFR 35.590 to permit the use of transmission sources under 10 CFR 35.500 by AUs meeting the training and experience requirements of 10 CFR 35.590 or 35.290."

I stated that this would be considered for future rulemaking. But in actuality, this is included in the current Part 35 rulemaking and I just wanted to get that on the record.

CHAIR THOMADSEN: Thank you very much. And speaking of the Part 35 rulemaking, here is an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 update with Ms. Bhalla and Mr. Lohr.

2 MR. LOHR: Welcome back from lunch. I am  
3 Ed Lohr and this is Neelam Bhalla. We work in the  
4 rulemaking and project management branch. And we  
5 have the honor, if you will, of managing the Part 35  
6 rulemaking.

7 Just to rehash a little bit, sometimes we  
8 forget how long these have been going on. We began  
9 the effort for the medical event rulemaking in 2006.  
10 And we merged this with what we called the expanded  
11 rulemaking in August of 2012.

12 And so, you hear us many times now refer  
13 to this as our expanded rulemaking. And so, this  
14 encompasses both the efforts that were independently  
15 ongoing prior to that.

16 The official title is Medical Use of  
17 Byproduct Material, Medical Event Definitions,  
18 Training and Experience and Clarifying Amendments.

19 The proposed rule package was made public  
20 on August 23rd of last month. But I do want to  
21 clarify that the package is made public, but not  
22 soliciting comments at this point.

23 The package was made public so that the  
24 public could be aware of the ongoing rulemaking and  
25 of course for the ACMUI discussion today, among other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 things.

2 We will be seeking public comments on the  
3 rulemaking only if the Commission votes to publish a  
4 proposed rule. The Commission has many options, of  
5 course, on whether they would like to do that.

6 The Commission has scheduled a public  
7 meeting on this specific rulemaking in October. They  
8 are doing this prior to their vote on the rule. And,  
9 again, as I said, pending their approval, we will  
10 publish this in the Federal Register for public  
11 comment.

12 We have proposed to the Commission a 90-  
13 day comment period. The Commission can accept this  
14 recommendation from the staff, or they change it.  
15 So, today I cannot tell you exactly how long that  
16 comment period will be, but we anticipate 90 days or  
17 longer.

18 The medical community will be informed  
19 when the Federal Register notice has actually been  
20 published, along with the Agreement States. We send  
21 a specific letter to the Agreement States telling  
22 them that it's also available.

23 All of the comments that we receive if  
24 this rule is published will be considered by the NRC  
25 staff and resolved with our working group committees.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 And the actual resolutions you see will be in the  
2 Federal Register Notice for the final rule.

3 Just one note for anybody who's looked at  
4 these, you are probably familiar we do not address  
5 question by question or comment by comment as they  
6 come in, but rather we group them together and give a  
7 general response to them.

8 And as of this moment in time, we are  
9 still on our tentative schedule as we presented in  
10 the past to your folks for the current - or the final  
11 rule being due to the Commission at the end of  
12 calendar year 2014.

13 Just as in the proposed rule, the ACMUI  
14 will be asked for and their reviews and comments will  
15 be included in the final rule package to the  
16 Commission. And this takes approximately one year  
17 after we publish the proposed rule.

18 There is really nothing new from the  
19 rulemaking perspective on our schedule. We're right  
20 on track and we anticipate everything to stay that  
21 way.

22 CHAIR THOMADSEN: Okay. Thank you. Any  
23 questions for - yes, Dr. Zanzonico.

24 MEMBER ZANZONICO: Does the latest draft,  
25 for lack of a better term, the one that was published

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 in August, did that reflect the input of the ACMUI in  
2 its report on the rulemaking?

3 MR. LOHR: Yes, sir, it does.

4 MEMBER ZANZONICO: And just a comment I  
5 was asking, I'm thinking this is such a big,  
6 complicated effort. I'm wondering if 90 days is  
7 sufficient for the community at large to provide  
8 comments. I'm thinking something like twice that  
9 length of time.

10 MR. LOHR: That's something, again, the  
11 Commission will make that decision.

12 MS. BHALLA: Generally we give about 60  
13 days with most rulemakings. 75 is also done. 90 is  
14 like somewhat at the upper end of that time frame.

15 We could - and, again, it depends on, you  
16 know, if you propose to the Commission that perhaps  
17 90 days is not enough and maybe could propose little  
18 bit longer time. But considering that 75 is kind of  
19 our norm, 90 days, we think, is adequate time.

20 MEMBER ZANZONICO: Even for something of  
21 this scope?

22 MS. BHALLA: Yes. But we could, I mean,  
23 actually -

24 MR. EINBERG: Just to remind you that this  
25 - the rule is already, you know, publicly available.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, it's not out for public comment. So, people in  
2 the medical community if they wanted to get an early  
3 glimpse as to what is likely to be promulgated by the  
4 Commission, you could start reviewing already right  
5 now. But we're not soliciting comments at this time,  
6 but it's out there.

7 MEMBER ZANZONICO: And there will be some  
8 - so, the 90-day clock hasn't started or whatever it  
9 turns out to be. That will be some weeks, if not  
10 months, from now.

11 MR. EINBERG: Most likely it will be after  
12 the Commission meeting in October.

13 MS. BHALLA: Yeah. And the day we issue  
14 the Federal Register Notice, that's the day the clock  
15 pretty much starts. So, in that notice we say public  
16 comments will close 90 days from today.

17 And I think we do even put a date. So -

18 CHAIR THOMADSEN: Dr. Guiberteau.

19 VICE CHAIR GUIBERTEAU: What are the  
20 options of the Commission at this point? I mean, on  
21 October 18th providing they agree, will they approve  
22 this draft as written, or do they, I mean, I presume  
23 one of the options is to send it back for  
24 consideration, or may they change it at that meeting?

25 I'm just curious to know what their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 options are at that time.

2 MR. LOHR: When the Commission votes and  
3 they send direction to the staff on to what to do,  
4 the disposition of this, they have multiple options.

5 And it's not uncommon for them to send instruction  
6 to the staff to change particular items, language,  
7 add items, subtract items.

8 We are - of course we'll follow whatever  
9 instructions we get, but they also have the option to  
10 return it as they did in the medical event rule in  
11 2010 to ask us to re-engage the community on specific  
12 items.

13 So, we, the staff, of course have no feel  
14 for what they're going to do, but we had hoped that  
15 we had followed their instructions and engaged the  
16 medical community in this particular rulemaking so  
17 that, you know, your views of the medical community  
18 are presented to the Commission for them to make  
19 their informed decisions.

20 VICE CHAIR GUIBERTEAU: Well, thank you,  
21 because I just think we need to know, you know, what  
22 to expect.

23 And your answer is pretty much what I  
24 expected, but I just wanted to make it clear, you  
25 know, at this point that they still have numerous

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 options and may well take advantage of them.

2 MS. BHALLA: Yeah. And Mike Fuller was  
3 here, in fact. Mike spent some - very recently Mike  
4 was with the Chairman's Office and perhaps can add to  
5 it or, you know.

6 MR. FULLER: No.

7 (Laughter.)

8 MR. FULLER: I apologize. I was trying to  
9 make sure that we could hear Dr. Guiberteau and Dr.  
10 Thomadsen in there as speakers. So, I missed that  
11 last bit, the last string of the conversation. So,  
12 what was the question?

13 MS. BHALLA: Oh, the question was that  
14 what choice the Commission would have or what - yeah,  
15 what choice the Commission would have once they start  
16 to work on the rule.

17 MR. FULLER: Oh, yeah. Ed is entirely  
18 correct. They have any number of options available  
19 to them and but keeping in mind that this has been  
20 going on for so many years.

21 I know there is a very strong interest on  
22 the part of the Commission to get this out for public  
23 comment. But, again, it's, you know, there will be  
24 an opportunity during the October 18th meeting for  
25 the ACMUI to make their opinions known as far as, you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know, the public comment period and things such as  
2 that, but what was set out in the SECY paper is  
3 reflective of the ACMUI's position on most issues, if  
4 not all.

5 And so, there's not a lot of - lot of  
6 controversy like we've had in the past on this  
7 particular rule. So, I wouldn't expect, you know, I  
8 would never be surprised, but it appears that this  
9 one is in pretty good shape at this point in time.

10 CHAIR THOMADSEN: Thank you. Dr. Van  
11 Decker.

12 MEMBER VAN DECKER: So, let's look at the  
13 other end other than giving extra time for comment.  
14 Saying that there's only administrative changes on  
15 October 18th or relatively buy-in, where do you  
16 foresee approximately this thing going to  
17 publication?

18 Is this going to take several months on  
19 your end if it comes back to you? I mean, do we see  
20 this as something that's going to come out in  
21 December-January if it goes through administrative  
22 changes? Do we see this coming out after the next  
23 ACMUI because it takes stuff within your division to  
24 get it ready to go?

25 MR. LOHR: Well, you need to understand

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the meeting on October 18th is not when the  
2 Commission is going to vote.

3 MEMBER VAN DECKER: To vote, right.

4 MR. LOHR: They're just getting additional  
5 input from the medical community, as well as the  
6 staff, any questions they may have.

7 MEMBER VAN DECKER: So, it's likely it's  
8 going to be months.

9 MR. LOHR: They're on their own schedule  
10 to when they decide to vote on it. We hope that they  
11 will vote before the end of the year.

12 Once they have issued the instructions to  
13 the staff to publish a rule, we generally have it out  
14 within like three weeks on the street. It goes  
15 fairly quickly after that.

16 MS. BHALLA: Except I just want to add to  
17 that we have in this rule the information collection  
18 requirements. And those must be approved by the OMB,  
19 Office of Management and Budget.

20 So, what happens is all the rulemakings  
21 that we do, they go and they line up with this OMB's  
22 desk officer. And usually they are the ones who are  
23 behind.

24 And at that point, it's beyond NRC's -  
25 beyond us to control that. So, we have had some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 rulemaking sitting up there for a good two, three  
2 months, four months even depending on their schedule.

3 So, that's one thing that we just need  
4 to, you know, keep in mind that we could be already  
5 set and, you know, ready to publish, but we may be  
6 hindered by the OMB desk clearance.

7 MEMBER VAN DECKER: So, my follow-up for  
8 that for what to ask is obviously I think the  
9 Committee spent a lot of years here, a lot of work on  
10 this in detail and hopefully the groundwork had been  
11 set for this to move smoothly, but we also need to  
12 recognize, right, that this is going to get a three-  
13 year grace period for the agreement states to come to  
14 Category B. So, you're really looking at 2017  
15 implementation for the majority of the nation.

16 So, to keep adding six months here or six  
17 months there, we are - I may be retired by the time I  
18 see it.

19 So, it would be nice to kind of make sure  
20 that that, you know, kind of gets moved along, I  
21 think, from the community of stakeholders.

22 And then the last segue to that,  
23 obviously, is if you do get successful and move this  
24 along relatively quickly into next year, you've got  
25 three open spots here, one of which is the Agreement

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 State spot, that's going to have to have final  
2 consensus here.

3 So, from the administrative perspective  
4 making sure those seats are full, they're up to speed  
5 and the agreement states are into the buy-in of if it  
6 goes, it goes, would all be helpful in making things  
7 move.

8 CHAIR THOMADSEN: Thank you. Dr.  
9 Langhorst.

10 MEMBER LANGHORST: Yes. On the  
11 development of guidance for this rulemaking, will  
12 that be included in the update of Volume 9, 1556  
13 Volume 9, or -

14 MR. LOHR: I think that Dr. Howe can  
15 possibly speak to that best.

16 DR. HOWE: Ashley and I are actually going  
17 to give a presentation, I believe, tomorrow on where  
18 1556 Volume 9 is.

19 The guidance that goes with the rule will  
20 be independent of Volume 9 until Volume 9 is finally  
21 completed.

22 MEMBER LANGHORST: Okay. And so, that  
23 will be - that would be made available during the  
24 time of commenting for licensees?

25 DR. HOWE: The guidance that goes with the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 rule is available - will be posted. The *Federal*  
2 *Register* Notice will go out the same day as the rule  
3 does and say where it's located. And you make your  
4 comments at the same period of time.

5 And then when we get to a final rule, the  
6 final guidance that goes with the rule will be  
7 published at the same time.

8 MEMBER LANGHORST: Thank you very much for  
9 that, because I know it can be difficult to get all  
10 that altogether and coordinated. So, I appreciate  
11 that.

12 CHAIR THOMADSEN: We have a comment from  
13 the public. Please state your name.

14 MS. FAIROBENT: Lynne Fairobent, American  
15 Association of Physicists in Medicine. I just wanted  
16 to point out a couple of things.

17 When the draft does go over to OMB, there  
18 is a comment period for commenting back to OMB on the  
19 data collection requirements. So, that also will add  
20 some time in there. Typically, that's a 30-day  
21 comment period. It's a very short comment period,  
22 but it is there.

23 The other thing, those of us who have  
24 been invited to testify on the October 18th, there  
25 are five specific questions that we have been asked

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 to address in our eight-minute presentations; and  
2 they are compatibility in medical event reporting for  
3 permanent implant brachytherapy, modifying training  
4 and experience attestation requirements, expanding  
5 the grandfathering to authorized status for selected  
6 board certified individuals who were not named on a  
7 license before October 25th, 2005, authorizing  
8 associate radiation safety officers in frequency of  
9 testing for molybdenum and concentration and  
10 reporting requirements for exceeding regulatory  
11 limits.

12 And I just wanted to mention because I  
13 think it's tangential to the Part 35 rulemaking, but  
14 I think it also hinges on it especially since we have  
15 been asked to address compatibility requirements for  
16 the permanent implant brachytherapy, there are two  
17 policy statements out for public comment right now on  
18 agreement state issues, one of which ties to  
19 compatibility, and those comment period closes  
20 September 16.

21 So, I think that if the Committee has not  
22 looked at those, it might be something you want to  
23 look at. Because I think whenever the direction  
24 comes out of the policy statements on the definition  
25 of compatibility, will definitely impact which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 provisions of Part 35 may be determined to be  
2 Compatibility B in the future.

3 CHAIR THOMADSEN: Thank you for that  
4 comment. Other comments from the Committee or from  
5 the public?

6 (No response.)

7 CHAIR THOMADSEN: Hearing none, thank you  
8 very much for your presentation. And I'll just turn  
9 the chair over to Dr. Guiberteau since I'm the next  
10 presenter.

11 (Pause in the proceedings.)

12 VICE CHAIR GUIBERTEAU: Well, welcome, Dr.  
13 Thomadsen.

14 (Laughter.)

15 CHAIR THOMADSEN: I just wanted to make  
16 some comments about the Nuclear Regulatory Commission  
17 and safety culture.

18 You will recall that we, as a committee,  
19 reviewed the safety culture statement from the NRC a  
20 couple years ago.

21 And just as a summary, I have on the  
22 screen some of the features of safety culture that  
23 were included in that statement that the leadership  
24 values safety and safety actions, that problem  
25 identification and resolution are part of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 culture, there's personal accountability, there are  
2 work processes, there is continuous learning, that  
3 environment for raising concerns exist, effective  
4 safety communications are in place, there's a  
5 respectful work environment and a questioning  
6 attitude.

7 I want to address Item 6, Environment for  
8 Raising Concerns. And part of this comes from our  
9 concern when we talked about the statement of how the  
10 statement would be used by the NRC in the future.

11 And the NRC defines "a chilled work  
12 environment" as a place where employees perceive that  
13 raising safety concerns to their employers or to the  
14 NRC is being suppressed or discourages. And that's  
15 part of the Inspection Manual.

16 And the NRC gave a presentation at the  
17 Health Physics Society Meeting just, I think it was,  
18 last month in Madison, Wisconsin where they gave an  
19 example of workers at a facility who did not report a  
20 problem. And the speaker hypothesized that it may  
21 have been that they were afraid of retaliation and  
22 that there may have been a chilled work environment.

23 But more often than people being afraid  
24 of retaliation, I hear that people are afraid to  
25 report events because not of what the employers might

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 do, but because of what regulators might do in their  
2 employment location.

3 And so, it's possible that maybe the  
4 workers were afraid to report, but they were afraid  
5 to report because of potential NRC actions and that  
6 this may indeed be forming a chilled work  
7 environment.

8 The goal that we all should have is to  
9 learn about issues that exist and to fix the issues  
10 before they actually cause problems.

11 All the research in learning systems says  
12 that a non-punitive reporting environment is  
13 advantageous to being able to learn about issues that  
14 exist.

15 That's why we have Item Number 6 in that  
16 safety culture list. And the question would be how  
17 to best achieve this goal.

18 If we follow the NRC guidance to avoid a  
19 chilled workplace by not punishing facilities that  
20 identify and report problems, that's a good step.

21 Possibly use a reporting system like the  
22 Federal Aviation Administration where the reporters  
23 are given a bit of amnesty from the regulatory  
24 agencies from punishment as long as they have  
25 reported an issue.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           This could lead to more details and more  
2 correct details being given during an investigation  
3 and better information in NMED. Openness could also  
4 facilitate concentrating on solutions rather than  
5 punishments.

6           In summary, following the practices of a  
7 good safety culture could be beneficial to the NRC  
8 and to the medical community.

9           These are the acronyms that I used and  
10 that's it. It was a very short statement, but I just  
11 wanted to bring it to the attention of the NRC staff  
12 as something to be considered.

13           VICE CHAIR GUIBERTEAU: Thank you, Bruce.

14           Are there any comments from the members of the  
15 Committee or questions?

16           Orhan.

17           MEMBER SULEIMAN: I think this is sort of  
18 the heart of the whole medical error reporting issue  
19 that's, you know, out there as well is we don't have  
20 a society where people can report mistakes without  
21 implicating themselves or fearing some sort of  
22 consequences. So, I think this is maybe just a  
23 smaller subset of a bigger issue.

24           If that became routine practice, people  
25 would say, well, we made a mistake, I made a mistake,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 identify it, learn from it and then move on, but  
2 there's always the same thing here where why would I  
3 report this when I'm not sure what's going to happen.

4 CHAIR THOMADSEN: Thank you very much.

5 MEMBER ZANZONICO: I think it is also -  
6 it's hard to disagree with anything you've said, but  
7 there's also the issue of, you know, potential  
8 litigation and the institution for which one works  
9 and, you know, their legal people and their role in,  
10 quote/unquote, protecting the institution in terms of  
11 exactly what an employee can or cannot divulge to  
12 whomever, a regulator or whomever.

13 So, you know, I don't have any answer or  
14 really any position, but it just does strike me that  
15 that real world consideration impacts this as well.

16 VICE CHAIR GUIBERTEAU: Interestingly as  
17 you bring up that topic and certainly the medical  
18 community has a long way to go in this, but there are  
19 a number of institutions and medical-legal  
20 philosophers who believe that doing the same with  
21 patients is a good practice that is admitting  
22 wrongdoing which actually goes to some kind of  
23 medication rather than the legal - the long legal  
24 processes. So, I think in general we are moving in  
25 this direction.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           It has been slow, but I think it brings  
2 up some very interesting things in terms of patient  
3 advocacy, etcetera, but I think your points are very  
4 well taken.

5           Chris.

6           MR. EINBERG: Yeah, I would also just  
7 point out that during the enforcement process if the  
8 licensee itself identifies a problem, then that is  
9 taken into consideration when an enforcement action  
10 is taken.

11           So, problems that are self-identified  
12 receive different types of treatment in the  
13 enforcement process.

14           VICE CHAIR GUIBERTEAU: Any other  
15 questions? Sue.

16           MEMBER LANGHORST: Having been deeply  
17 involved in some of these medical event reportings  
18 and follow-ups and so on, I know we've had discussion  
19 with our NRC inspector about the frustration of  
20 admitting to what we did and what was wrong, but also  
21 having that desire to share a lot of details, but not  
22 necessarily where our name is just front and center  
23 of all of it, you know, where you can really present  
24 it in a forum for others to learn from.

25           And you can go into much more detail that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you don't want to do in the enforcement side of  
2 things, and that's kind of that ability to report and  
3 not have a chilling effect, not have that retribution  
4 of, boy, you did wrong and we're going to be watching  
5 you from now on.

6 I mean, it's, we're worse on ourselves  
7 than anybody else, but still we'd like to be able to  
8 share that with others.

9 I know there is efforts out there to try  
10 to get these types of lesson learned scenarios so  
11 that people can reason for themselves and understand  
12 what's going on to a little more detail than what we  
13 have currently.

14 The NMED system I find very interesting  
15 to read and see what happened in places, but still  
16 there is that, gee, I didn't get enough of really  
17 what happened here and it's not available to  
18 licensees.

19 I mean, it's an internal regulatory tool  
20 right now and I guess maybe potentially open to  
21 licensees.

22 So, I commend everyone who is working on  
23 this effort to try to get lessons learned out there  
24 and in a level of detail that really helps people,  
25 but still protects the entities that are reporting.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           If it were easy, it would already be  
2 done. So, I'll just stop there.

3           CHAIR THOMADSEN: Dr. Guiberteau, you have  
4 a member of the public.

5           VICE CHAIR GUIBERTEAU: Yes, please.

6           MR. WILLIAMS: Gary Williams, Veterans  
7 Health Administration. We are in the process of beta  
8 testing an incident reporting system that would allow  
9 for individuals to make anonymous reports similar to  
10 the aircraft reporting mishap anonymous system.

11           We hope to get that available to our 40  
12 locations that do primarily external vein therapy at  
13 a meeting later this month where they will be given  
14 some ideas about how they could use that system, and  
15 we'll see how it works out.

16           The other comment I would make is that  
17 part of the challenge from my perspective is how the  
18 NRC always uses human error as the root cause for any  
19 incident that sort of at least in the medical  
20 community is not what we use given that you want  
21 people to feel free to report.

22           I notice the recent information notice or  
23 RIS on HDR that came out analyzed some previous  
24 medical event circumstances. And I believe they  
25 concluded every one of them were due to human error.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       So, I just do not have much comfort with the  
2       approach NRC uses with their root cause analysis and  
3       the unwarranted, in my view, conclusion that they're  
4       all due to human error. Thank you.

5               VICE CHAIR GUIBERTEAU: Thank you. Yes,  
6       please.

7               MS. TOMLINSON: Hi. Cindy Tomlinson from  
8       ASTRO. Along the same lines ASTRO has just started  
9       beta testing a radiation oncology incident learning  
10      system under the auspices of the Patient Safety  
11      Quality Improvement Act. So, it's technically a  
12      patient safety organization.

13              We're hopeful that full launch to the  
14      full radiation oncology community will be in the  
15      early part of 2014, but I am offering to possibly  
16      maybe present to the Committee at your spring meeting  
17      something about the PSO as we're talking about the  
18      things that we're going to be looking for and  
19      collecting. And maybe by then we'll even have some  
20      data that we can share.

21              But the idea of the PSO is that it's got  
22      all this information. It's collecting it in a safe  
23      environment so that physicians and other healthcare  
24      providers are not - there's no fear of liability. It  
25      can't be used in a disciplinary hearing, anything

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 like that.

2 So, that's the idea of patient safety  
3 organization is a safe - is a safe place for people  
4 to report these kinds of incidents and then analyze  
5 them on a national aggregate level.

6 VICE CHAIR GUIBERTEAU: Is this  
7 information as you had set it up in your - where your  
8 headquarters are, is this considered peer-protected  
9 information, or do you know?

10 MS. TOMLINSON: Yes, it's all protected.  
11 So, and I, I mean, I don't know how much time you  
12 want to allow me to talk about it, because I could  
13 talk for quite some time on it.

14 But the idea is that you enter in  
15 information, you know, it is protected, but the idea  
16 is to learn from your peers. Because especially in  
17 radiation oncology, things happen in other clinics  
18 that could be happening in your clinic and you might  
19 not necessarily know about it.

20 And so, it's a way for there to be sort  
21 of a national comparison, but it is safe. Any data  
22 that I see is all de-identified data. I cannot see  
23 any of it, any identifiable information.

24 So, I am putting ASTRO out there to give  
25 you all more information at your spring meeting if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 you would so desire.

2 VICE CHAIR GUIBERTEAU: Thank you.

3 MR. EINBERG: Also this morning in the  
4 closed session I had mentioned that, you know, the  
5 NMED database may be made publicly available.

6 We're exploring possibilities for making  
7 that publicly available, but I wanted to give the  
8 general public the opportunity to hear that also.

9 As I indicated earlier, we're looking at  
10 what is sensitive information within the database  
11 that needs to be scrubbed. And so, what are the  
12 practical implications of trying to make that public.

13 So, we're working with our contractor out  
14 in Idaho National Labs to see if we can make that  
15 public, but, you know, the initial indications look  
16 positive. It may be possible to make NMED public.

17 VICE CHAIR GUIBERTEAU: Thank you. Any  
18 other comments or questions? Yes, Sophie.

19 MS. HOLIDAY: Sophie Holiday. I just  
20 wanted to make a quick comment. I know that Cindy  
21 Tomlinson mentioned an event database that ASTRO is  
22 developing.

23 As Mr. Einberg just mentioned, we're also  
24 exploring making NMED publicly available. So, staff  
25 has been made aware of several other medical event

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 reporting mechanisms and we considered putting that  
2 on the spring 2014 agenda, as possibly devoting half  
3 a day of the meeting to having these different  
4 organizations come in and make that presentation.

5 But of course the agenda hasn't really  
6 been spoken about and that would be something we  
7 would consult with the chair and the DFO about.  
8 Thank you.

9 VICE CHAIR GUIBERTEAU: Any other  
10 questions, comments?

11 (No response.)

12 VICE CHAIR GUIBERTEAU: Dr. Thomadsen, you  
13 are excused.

14 (Laughter.)

15 (Pause in the proceedings.)

16 CHAIR THOMADSEN: And that brings us to  
17 Mr. Zelac with a discussion of interim enforcement  
18 policy for permanent implant brachytherapy programs.

19 Something this committee has lived with for a long  
20 time.

21 DR. ZELAC: Truer words couldn't be  
22 spoken. This is essentially the next chapter in a  
23 continuing saga that goes back at least to 2004-2005  
24 era.

25 This is intended, this interim

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 enforcement policy, essentially, to bridge a gap - A  
2 gap between where we are now with the current  
3 regulation and where we're heading with proposed  
4 regulation with respect to permanent implant  
5 brachytherapy even reporting, primarily.

6 Let's see. Not the right one. Yeah.  
7 So, the first question that comes up that I'll  
8 attempt to answer is where did this come from, this  
9 interim enforcement policy?

10 This is one of several that the Agency  
11 has through its Office of Enforcement, but the  
12 question is, well, how did they get involved? And  
13 the answer is, the Commission.

14 A paper was sent up to the Commission in  
15 December - well, actually earlier, excuse me - in  
16 2012 on recommendations for making regulatory changes  
17 with respect to permanent implant brachytherapy.

18 The Commission accepted those  
19 recommendations and they, in fact, are the basis for  
20 what's taking place in the proposed rule right now.

21 But the Commission also asked that in the  
22 interim, because there's clearly a time lag between  
23 when the Commission says this is a good idea and when  
24 it actually comes to fruition, the Commission also  
25 wanted there to be some clarity to licensees about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 how they should be operating under the current rule.

2 And the Commission also said that based  
3 on where we're going with the current rule, it  
4 appears that there is some opportunity here for  
5 giving some enforcement discretion to inspectors who  
6 go out in the field and see certain things.

7 So, when the Commission provided its  
8 response to this commission paper, they gave us these  
9 two additional requirements that we were to clarify  
10 the medical event reporting for permanent implant  
11 brachytherapy under the existing rule, and secondly  
12 develop an interim enforcement policy for those  
13 licensees that use total source strength and  
14 treatment time for determining the existence of a  
15 treatment site medical event.

16 The objective of this interim enforcement  
17 policy was to allow staff, meaning specifically  
18 inspection staff initially, enforcement discretion  
19 both for existing and future violations of 35.3045,  
20 which is the medical event reporting requirement  
21 section.

22 The status of this interim enforcement  
23 policy is that it was published in the Federal  
24 Register in the early portion of July and became  
25 effective on that date.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           If someone wants to look specifically at  
2 the interim enforcement policy and what it says, of  
3 course there's the Federal Register Notice on the top  
4 there, 78 FR and the page number. And I can supply  
5 it to anyone who wants it later. Or the alternative  
6 is to go to a website, [www.regulations.gov](http://www.regulations.gov), and enter  
7 the appropriate docket number and it's also available  
8 there.

9           The third opportunity or possibility is  
10 that you might go to what was also developed. Once  
11 the interim enforcement policy was available, it was  
12 impossible for us staff to put out the first  
13 directive that we got from the Commission, which was  
14 tell us or tell licensees and tell us how there is  
15 going to be enforcement under the existing rule and  
16 what licensees should be able to do, you know, under  
17 the existing rule to comply with it.

18           That was put out in the form of a  
19 regulatory issue summary that was recently published  
20 at the end of July. And, again, it references and  
21 describes and includes some excerpts from the interim  
22 enforcement policy. So, there are plenty of ways to  
23 learn more about this if you wish to.

24           Now, the specific enforcement discretions  
25 that are available for inspectors to consider when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 they are doing an inspection, are two.

2 Initially it was directed by the  
3 Commission to go in one direction, which we have, and  
4 that's the first of these enforcement discretions,  
5 but another one through consideration as this was  
6 being developed, became apparent, was included in  
7 this interim enforcement policy.

8 The first of these discretions is to  
9 allow licensees to use as the Commission directed,  
10 total source strength and treatment time for  
11 determining the existence of a treatment site medical  
12 event. Again, conformity with the requirements of  
13 35.3045.

14 The second of these enforcement  
15 discretions was to allow the total dose to the  
16 treatment site to exceed 120 percent of the  
17 prescribed dose.

18 Now, for those of you who are familiar  
19 with the current requirement, you know that there is  
20 a variance limit that's placed on the delivered dose.

21 It needs to be within 20 percent plus or minus of  
22 what had been prescribed. If it isn't for the  
23 treatment site, that's one criterion for reporting -  
24 for requiring medical event reporting.

25 I'm going to expound on both of these.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 I'm going to move ahead here. The rationale for the  
2 first one, the first discretion on source strength,  
3 is that under the existing rule there are two  
4 criteria for the treatment site dose that need to be  
5 met before it is - before a particular event - before  
6 there is an event that needs to be reported.

7 The first of these is that the dose has  
8 to vary. The delivered dose needs to vary from the  
9 prescribed dose by at least 50 rads to an organ or  
10 tissue.

11 And the second is that the variance from  
12 the prescribed dose as I mentioned already, needs to  
13 be - the variance from the prescribed dose needs to  
14 exceed 20 percent.

15 So, if both of those conditions are met,  
16 then you've met the criteria for a treatment site  
17 medical event report.

18 In fact, because we are talking  
19 therapeutic doses, these two criteria are and have  
20 always been linked together.

21 If you exceed the treatment site dose by  
22 20 percent or deliver less than 80 percent of the  
23 treatment site dose, in other words, a variance in  
24 the minus direction of 20 percent, you clearly have  
25 differed from the prescribed dose by more than

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 50rads.

2 So, in fact, if you satisfy one of these  
3 conditions, the 20 percent variance condition, you  
4 have, in fact, also satisfied the 50 rads condition.

5 And because of that, we and the  
6 Commission felt it was appropriate that if a  
7 particular investigator were choosing to make a  
8 comparison of prescribed dose to delivered dose in  
9 terms of total source strength which is permiss4ed  
10 because the definition of "prescribed dose" in the  
11 Definition section of Part 35, 35.2, the definition  
12 of "prescribed dose" for brachytherapy is either  
13 absorbed dose or total source strength and exposure  
14 time. Either one.

15 So, if a particular facility chooses to  
16 make the comparison between delivered dose and  
17 prescribed dose in terms of total source strength,  
18 that should be logically acceptable, reasonable.  
19 Because, in fact, will if they exceed plus or minus  
20 20 percent variance, automatically exceed the other  
21 condition which is greater than 50 rads.

22 So, that was the reasoning that went  
23 behind suggesting this discretion. And that is, in  
24 fact, the basis that was accepted by the Commission.

25 So, I think I've said sufficient on that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 As I'm going on, do you have any questions so they  
2 don't get lost in the muck, just let me know.

3 This is a lot of detailed stuff. The  
4 conclusions are simple. The concepts to get there,  
5 you know, are a little complicated.

6 The second discretion has to do with the  
7 magnitude of the dose to the treatment site. The  
8 current regulation again limits the variance of  
9 delivered dose from prescribed dose to be 20 percent  
10 positive.

11 This, in fact, limits to some degree the  
12 physician's ability to deliver optimal care to the  
13 patient since the permanent implant therapies  
14 typically have the objective of delivering as much  
15 radiation dose as possible to the treatment site  
16 without exceeding medically recognized dose limits  
17 for nearby normal tissues and structures.

18 So, that means the rationale were in  
19 fact, interfering with medical practice to have this  
20 limitation. What conditions can we impose for  
21 applying discretion for those investigators or  
22 clinicians that want to, in fact, disregard this  
23 upper limit? And I'll get to that in a minute.

24 I'm bouncing back and forth between  
25 Discretions 1 and 2, but I want to now talk about the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 conditions, the specific conditions that are listed  
2 along with that interim enforcement policy for an  
3 inspector to consider when they find in the field a  
4 situation where source strength has been used to make  
5 this comparison of delivered dose to prescribed dose.

6 First of all, the licensee has required  
7 documented procedures. And those refer back to Part  
8 35.41, Section 35.41, which is procedures for  
9 administrations requiring a written directive.

10 These procedures must specify that this  
11 comparison of prescribed dose to delivered dose is  
12 going to be made in terms of total source strength  
13 and exposure time. So, that's the first condition.

14 Second condition: the licensee, in fact,  
15 enters both the prescribed dose and the delivered  
16 dose into the written directive as total source  
17 strength and exposure time.

18 In other words, if they are operating in  
19 a total source strength and exposure time mode, they  
20 are consistent in doing this.

21 So, the procedures refer to doing the  
22 comparison in it. The entries in the written  
23 directive are in it. And the comparison of total  
24 source - the actual comparison of prescribed to  
25 delivered is done in terms of total source strength

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and exposure time. This is the - this is basically  
2 what's being permitted and the others are tangential  
3 to that.

4 And finally, if, in fact, this comparison  
5 made in terms of total source strength and exposure  
6 time does indicate that there has been a dose that is  
7 not within the prescribed limits and regulations,  
8 that the licensee, in fact, reported this as a  
9 medical event.

10 If all of those conditions apply and if  
11 the use of total source strength and exposure time  
12 doesn't result in any misapplication of byproduct  
13 material by the licensee, then this discretion can be  
14 imposed/utilized by the inspector and not cite a  
15 violation of 35.3045.

16 Now we'll go back to discretion No. 2 of  
17 the dose being greater than 120 percent to the  
18 treatment site. Here the conditions are utilizing  
19 that discretion. In other words, these are things  
20 that the inspector looks for. If he or she finds it,  
21 then they can consider applying discretion. First,  
22 that the licensee is in fact using absorbed dose to  
23 compare the dose delivered to the treatment site with  
24 the prescribed dose. Why this limitation? I just  
25 said a few moments ago that prescribed dose as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 defined in Part 35 can either be absorbed dose or  
2 total source strength and treatment and exposure  
3 time.

4 This discretion is not be exercised for  
5 licensees that use source strength and exposure time  
6 to make this comparison since it's expected that the  
7 licensee has much more control over delivery of  
8 prescribed dose when they're using source strength  
9 and exposure time than they do when they're trying to  
10 make this comparison in terms of the absorbed dose.  
11 In other words, the practitioner can have much  
12 greater control over where the seeds are placed than  
13 he or she has over the resultant dose that those  
14 seeds will deliver.

15 So the conditions for applying this  
16 discretion are first, absorbed dose is being used for  
17 the comparison. Secondly, if it is that the doses  
18 that normal tissues and structures in the nearby  
19 areas are receiving does not exceed the existing  
20 limitations on those doses that exist in the  
21 regulations. In other words, by allowing this  
22 discretion to have larger doses to the treatment  
23 site, you're not also trying to say, well, it's  
24 acceptable for the doses to normal tissue to  
25 correspondingly also be greater, the limitation that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 exists in the regulations, which is essentially a  
2 dose that exceeds again 50 rads, but also 50 percent  
3 more than had been expected by the application.

4 So what this says is that the dose to  
5 normal tissues and other structures nearby can't  
6 exceed by 50 percent what had been expected based on  
7 the planned administration. If that is the case, the  
8 inspector can still consider discretion for this  
9 second condition. And finally, that in the written  
10 directive the entries that were made in the plan and  
11 afterwards, post-administration are both made in  
12 terms of absorbed dose and not total source strength.

13 So in summary, we have an interim  
14 enforcement policy put out by our Office of  
15 Enforcement that is available for use by inspectors.

16 It is an interim measure, as the name would imply,  
17 between where we are now with our regulation and  
18 where we're going with the modifications as they're  
19 present currently in the proposed regulation. And  
20 it's intended to provide what seems to be reasonable  
21 flexibility above and beyond what would be currently  
22 available under a strict interpretation of the  
23 existing rule. And if you have any questions, I will  
24 attempt to answer them.

25 CHAIRMAN THOMADSEN: Questions? Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Zanzonico?

2 MEMBER ZANZONICO: I have a question. So  
3 must the practitioner or a site sort of declare that  
4 they'll either use a source strength criteria for an  
5 ME, or an absorbed dose-based criteria? And maybe  
6 the radiation --

7 DR. ZELAC: The answer is yes.

8 MEMBER ZANZONICO: Because the question  
9 that raises is I'm just wondering if there might be  
10 some instances with individual patients where  
11 clinically it might be more appropriate to prescribe  
12 a treatment plan based on source strength, whereas in  
13 another instance at the discretion of the physician  
14 it might be better to prescribe it on the basis of  
15 absorbed dose. But then they're boxed in underneath  
16 this declaration.

17 DR. ZELAC: I understand what you're  
18 asking and I fully agree that there should be some  
19 discretion available, but I think that really comes  
20 up to what kind of information is provided with  
21 respect to that particular patient's treatment. If  
22 in fact as part of the planning for that treatment  
23 it's made clear that although our standard procedures  
24 for other patients, for example, indicate that our  
25 comparisons are going to be made in terms of total

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 source strength and time of exposure, which of course  
2 for permanent implants is, you know, more limited,  
3 but if in fact our standard protocol, our standard  
4 procedures speak in terms of using total source  
5 strength. But in this case we feel that absorbed  
6 dose is better. If that's documented in advance, I  
7 personally see no reason why that couldn't work.

8 MEMBER ZANZONICO: Right, that's my  
9 point.

10 DR. ZELAC: Yes.

11 MEMBER ZANZONICO: I don't think the  
12 physicians should have hands tied --

13 DR. ZELAC: No.

14 MEMBER ZANZONICO: -- just because they  
15 made a declaration at some point. Now you have  
16 another patient where that's not appropriate.

17 DR. ZELAC: The thing, too, is that  
18 you've got a licensee, but you're not talking about  
19 an individual. You're talking about a facility.

20 MEMBER ZANZONICO: Yes.

21 DR. ZELAC: Different practitioners from  
22 that facility may prefer to choose to, want to, and  
23 in fact demand to do it in one way versus the other.

24 And, you know, so you need to have some level of  
25 discretion in this. Has to be reasonable.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ZANZONICO: Yes.

2 CHAIRMAN THOMADSEN: Did that answer your  
3 question?

4 MEMBER ZANZONICO: Yes.

5 CHAIRMAN THOMADSEN: Good. Fine. Other  
6 questions? Mr. Fuller?

7 MR. FULLER: Just to follow on with what  
8 Ron was saying in response to Dr. Zanzonico, this  
9 really doesn't change anything. I mean currently our  
10 requirements are that you have a written program that  
11 you train your people in and you implement and so  
12 forth and so on. We don't prescribe what that  
13 program is.

14 So it would stand to reason that if you  
15 had a program that was written such a way that you  
16 use total source strength and treatment time as the  
17 basis for developing your written directive and  
18 assessing after the fact and so forth, except in  
19 cases where, and you fill out the reasons why you  
20 might need to have a different set of criteria -- if  
21 that's your policy and that's your procedure, and  
22 that's what you've trained your folks in, then that's  
23 what your policy is.

24 What this interim enforcement policy is  
25 designed to do -- as Ron has said, you know, we've

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 had some confusion in the past. A strict  
2 interpretation of the rules is they exist.  
3 Essentially, the requirements in 35.3045 nullify the  
4 flexibilities and the allowances in the 35.40  
5 criteria or the rule. In other words, even though  
6 under the prescribed dose it allowed for total source  
7 strength and time, that was essentially nullified  
8 when you got to 30.45 where it talked about reporting  
9 medical events. It was only in terms of dose.

10 So this is a way to fix that bridge to  
11 the new rule. You know, we have a proposed rule up  
12 to the Commission, which we'll be talking about again  
13 in October and they'll be voting on it soon. The  
14 Commission was very clear last year when they  
15 indicated that they wanted the community, the medical  
16 community to have this bridge. In other words,  
17 provide the medical community with the option of  
18 using total source strength as prescribed dose and  
19 for evaluating whether or not a medical event had  
20 occurred. Now, if a licensee desires to use an  
21 absorbed-dose-based criteria, that's entirely up to  
22 them as well.

23 DR. ZELAC: It is probably worth noting  
24 what I think all perfectly obvious anyway, and that's  
25 the direction that we have gone in the proposed rule

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 with respect to the treatment site and reporting on  
2 medical events is to eliminate dose entirely. It's  
3 been problematic from day 1. And there's certainly a  
4 reasonable alternative to it, and that's what's in  
5 the proposed rule.

6 So the fact that we're going in this  
7 interim to talking about source strength, total  
8 source strength as opposed to absorbed dose, is very  
9 consistent with where we're going to wind up,  
10 presumably. And I can say that with some reasonable  
11 certainty, unless the community objects strongly,  
12 because the Commission has already bought into it.

13 CHAIRMAN THOMADSEN: Dr. Guiberteau?

14 VICE-CHAIR GUIBERTEAU: Just so that the  
15 Committee, and more specifically myself, understands  
16 in terms of interim enforcement policy, are these  
17 applicable equally to the agreement states?

18 And the second part of my question is  
19 since I'm not sure how discretion is defined, is  
20 there an appeals process if a licensee feels that  
21 they should have been offered discretion and didn't  
22 get it?

23 DR. ZELAC: The answer to the first  
24 question, are Agreement State's going to be utilizing  
25 this interim enforcement policy. It's strictly up to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the particular Agreement State. It's out there.  
2 It's available. It's been made known to them very  
3 explicitly and clearly, but it's their discretion as  
4 to whether or not to use it.

5 The second, there's always an opportunity  
6 for appealing whatever actions might be taken in the  
7 course of an inspection for discussing it with the  
8 regulators beyond the initial report that this is  
9 what we saw, this is what you're being cited for.  
10 So, yes, certainly there is opportunity for further  
11 discussion about whether or not a particular  
12 discretion could have, should have, ought to be  
13 applied.

14 VICE-CHAIR GUIBERTEAU: Thank you.

15 CHAIRMAN THOMADSEN: Dr. Langhorst?

16 MEMBER LANGHORST: I wanted to share some  
17 of the comments I received from my medical physicists  
18 after reading this regulatory issue summary, just to  
19 share with the Committee. The first question they  
20 had of me was what does this say, because they found  
21 it very confusing and were not clear what was meant  
22 by what was said in here. I'm not sure I could have  
23 worked my way through it had I not been involved in  
24 the medical event, or in the Permanent Brachytherapy  
25 Subcommittee work.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           If this talks about permanent implant  
2 brachytherapy, it's confusing to talk about the time  
3 of the sources being in there. It's infinity, isn't  
4 it? And if your procedure says it's permanent, isn't  
5 your time infinity? There is no other choice. So  
6 that was one level of confusion.

7           Also, we utilize the number of seeds  
8 method, and in 1991 in the statements of  
9 consideration when that was developed, NRC stated  
10 using total source strength and exposure time  
11 provides an easy way of specifying the total dose and  
12 simplifies a determination of a misadministration.

13           I think that was lost when terminology  
14 changed and medical events were defined and so on and  
15 I think somehow we lost sight, we as a community and  
16 regulators lost sight of what was originally  
17 intended. The last thing I wanted to mention was, we  
18 talk about absorbed dose and yet the dose units here  
19 are in rem and sievert rather than gray and rads.  
20 And that was confusing to my medical physicists also.

21       So thank you very much.

22           CHAIRMAN THOMADSEN: Thank you. Dr.  
23 Zelac?

24           DR. ZELAC: If I can respond, the issue  
25 of time is it had to be included, because it's in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 current regulations. You know, anyone that's  
2 involved in any way whatsoever knows that once the  
3 seeds are there, hopefully they'll reasonably stay  
4 there. They're not going to generally be removed, so  
5 the time has no limits.

6 The second point having to do with the  
7 change, if you will, from source strength to dose,  
8 the 35.75 reporting requirements are general  
9 regulations that apply not only to therapy, but also  
10 to diagnostic use. And they cover all various modes  
11 of application. And it was for that reason that they  
12 had to be written in units or criteria that would  
13 apply to all the different modes of utilization and  
14 not simply to implants and particularly to permanent  
15 implants.

16 So because they were written in terms of  
17 dose, we now have gotten away from, as you've said --  
18 and not with intent, but by necessity, I guess, the  
19 fact that the direction for permanent implants  
20 certainly have been -- and for temporary implants,  
21 too, the strength of the sources and the number of  
22 sources to define, if you will, the dose.

23 MEMBER LANGHORST: I think we'd all agree  
24 it's a confusing topic.

25 DR. ZELAC: I think we'd all agree that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the regulation needs some work, and that's exactly  
2 what's taking place now.

3 And the third thing, I can't explain it.  
4 Everything is in terms of rem and sievert. And even  
5 though clearly rads and gray would be more  
6 appropriate here, it simply wasn't there. And so the  
7 description of the current regulation had to refer to  
8 what's there, not what ought to be necessarily there.

9 CHAIRMAN THOMADSEN: Dr. Suleiman?

10 MEMBER SULEIMAN: I think one of the  
11 problems is we still see -- I see it confusion  
12 between what different professions and different  
13 people mean by "dose." And I think the absorbed dose  
14 is clearly what you plan your treatment with. Then  
15 you come up with this metric; in this case activity  
16 source strength, because you can measure that. The  
17 absorbed dose you're going to have to calculate and  
18 it's subjected to some biological variables.

19 The other thing that I think we continue  
20 to miss is that depending on the type of therapy,  
21 depending on the type of patients, the precision and  
22 accuracy of the methodology is going to vary.  
23 External beam therapy is extremely precise and  
24 extremely accurate. Brachytherapy, not so, because  
25 implanting the seeds and the patient's response and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 inflammation and migration is going to confuse  
2 things. So if anybody thinks they can predict the  
3 absorbed dose until the entire procedure is finished,  
4 is really not accepting reality. And again, if you  
5 really talk about uncertainty, you know, let's talk  
6 about, you know, the unsealed sources.

7           So when we talk about dose, I think the  
8 first thing I always want to know is what are we  
9 talking about? Then my uncertainty kicks in and I  
10 say, oh, 10 percent is acceptable, or 50 percent is  
11 unacceptable. So I think unless we realize this is  
12 brachytherapy with all its limitations and whatever,  
13 we shouldn't extrapolate 20 percent to the other  
14 modalities or to the other procedures. And I see  
15 this among all specialties. When you talk about  
16 dose, they mean activity; they mean a whole variety  
17 of things. So we have to discipline ourselves and  
18 just be careful what we're talking about. And I  
19 think a large proportion of the confusion is just  
20 attributable to those metrics and how different  
21 people interpret them.

22           DR. ZELAC: It's actually worse than  
23 that, because in the regulation itself it's not clear  
24 what is meant by the term "dose" when it is used, and  
25 it can be used in multiple ways throughout the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 regulations.

2 MEMBER SULEIMAN: And maybe we need to  
3 focus and really take a hammer and say this is what  
4 we mean by "dose," or these are the terms that are,  
5 you know, different. If you want to concede to the  
6 different professions and specialties, when you talk  
7 about "dose," this is what they mean, and when this  
8 group talks about "dose," this is what this group  
9 means. But when we're talking about different  
10 specialties and different therapies, I bet you if you  
11 ask the next 10 people what you mean by "dose" and  
12 not lead them, you'd probably get a whole bunch of  
13 different answers.

14 DR. ZELAC: Yes, and that of course if  
15 there is ever going to be any improvement of the  
16 current situation, there will at least be one  
17 revision down the road. So it's after you and I and  
18 most of the people here are long gone.

19 CHAIRMAN THOMADSEN: Dr. Welsh?

20 MEMBER WELSH: Thank you, Dr. Zelac, for  
21 the presentation, and I think you said that one of  
22 the ultimate directions that this is going in is the  
23 elimination of dose. And if that's what I heard you  
24 say, then I think that's great.

25 DR. ZELAC: For the treatment site, yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Not for the normal tissues and structures nearby or  
2 within.

3 MEMBER WELSH: So then for specific  
4 enforcement discretion item 2, allow the total dose  
5 to the treatment site to exceed 120 percent, it begs  
6 the question about minus 20 percent.

7 DR. ZELAC: I didn't mention it, but that  
8 is not included because it is felt that although  
9 permitting doses greater than 120 percent goes along  
10 with the general direction that clinicians should be  
11 thinking. Maximize the dose to the dose to the  
12 treatment site for at least portions of the treatment  
13 site for most effective treatment. You, certainly as  
14 a clinician, wouldn't want to be going in the  
15 direction and missing what you had intended by more  
16 than 20 percent. That wouldn't be an optimal -- it  
17 wouldn't be an acceptable treatment. So the  
18 discretion only applies for greater doses by a 20  
19 percent variance than had been prescribed. It does  
20 not apply to negative, lesser doses than had been  
21 prescribed.

22 MEMBER WELSH: So while I can fully  
23 appreciate the logic of what you just said, I think  
24 the reality of what happens in the clinic indicates  
25 that it is the minus 20 percent that is more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 problematic because of that edema that we've  
2 discussed and debated here since 2007, wherein if you  
3 take the post-implant dosimetry CT scan at an  
4 inappropriate time and the prostate gland has  
5 enlarged by 40, 50 percent, you may erroneously  
6 conclude that you have under-dosed that patient by 20  
7 percent or more. And we still have this bit of a  
8 problem here in that 20 percent higher is exempt, but  
9 20 percent lower, which is the majority of the  
10 issues, is not exempt.

11 DR. ZELAC: I don't disagree at all with  
12 what you're suggesting, but I am also indicating that  
13 for those persons that feel that this is a concern,  
14 that these are the kinds of medical event reports  
15 that they want to avoid, the option of course is  
16 discretion No. 1. Do it in terms of total source  
17 strength and you should be home free.

18 CHAIRMAN THOMADSEN: Dr. Welsh?

19 MEMBER WELSH: I have another question,  
20 and it's regarding your slide No. 7, rationale for  
21 applying discretion No. 1 source strength.

22 DR. ZELAC: Yes.

23 MEMBER WELSH: And maybe I just didn't  
24 hear or understand what you were saying about the 50  
25 rads in this context.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. ZELAC: There are two criteria in  
2 35.75 now for reporting a medical event based on the  
3 dose for the treatment site. The first of those is  
4 that the variance of dose, absorbed dose in fact,  
5 from what had been prescribed exceeds 50 rads. And  
6 the second is, okay, if it exceeds 50 rads, does it  
7 also vary from what had been prescribed? Does the  
8 delivered dose vary from what had been prescribed by  
9 more than 20 percent? Both of those are conditions  
10 which would have to be met under the current  
11 published regulation for a medical event to be  
12 reported based on the treatment site dose.

13 Is there a question?

14 MEMBER WELSH: I suppose the only comment  
15 here might be 50 rad, 0.5 gray compared to a  
16 prescription of 160 or 150, 144 gray is well under 1  
17 percent.

18 DR. ZELAC: Well, but that's exactly the  
19 rationale involved in saying that if in fact you have  
20 met the second criteria, the variance criteria, you  
21 automatically can discount having to make a direct  
22 comparison on dose basis for the first criterion,  
23 because you clearly have exceeded 50 rads multi-fold.

24 So, you know, again, the regulation was  
25 written for any procedure that might be utilized that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 required a written directive. Some of those are not  
2 intended for therapeutic purposes or diagnostic  
3 purposes. And so there was a need to have a lower-  
4 dose limit, absorbed-dose limit there in order to  
5 eliminate, you know, many diagnostic procedures from  
6 consideration as medical events because the total  
7 dose difference didn't amount to very much.

8 CHAIRMAN THOMADSEN: Any other questions  
9 or comments? Dr. Welsh?

10 MEMBER WELSH: I'd like to conclude by  
11 saying that I'm certainly not going to quibble over  
12 the 50 rads at this point. I think that we've been  
13 working on this, I've been working on this with you  
14 since 2006, 2007, and I think this is the closest  
15 we've ever come to a satisfactory resolution between  
16 the Commission, the staff and the medical  
17 stakeholders. So I applaud you for the efforts and I  
18 hope that we finally get some resolution in an  
19 efficient fashion.

20 DR. ZELAC: Thank you. I guess the only  
21 additional comment I'd made is that we as staff are  
22 trying to work harmoniously with our counterparts in  
23 other offices to be sure that what is done is  
24 generally what is best for everyone concerned, those  
25 in the medical community as well as regulators that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 have regulations that have to be enforced.

2 So we try to jointly advise and inform  
3 the Commission so they can make reasonable decisions.

4 And we also work with one another in preparing  
5 whatever it is that's going to be utilized. In this  
6 case, an interim enforcement policy that's going to  
7 be used for several years at least before we get our  
8 final rule. That was done with a great amount of  
9 input from to the Office of Enforcement to  
10 essentially get it as right as we could get it right.

11 CHAIRMAN THOMADSEN: Thank you very much.

12 DR. ZELAC: You're welcome.

13 CHAIRMAN THOMADSEN: Dr. Howe will now  
14 talk about the Enforcement Guidance Memorandum for  
15 the rubidium-82 generators.

16 DR. HOWE: Thank you, Dr. Thomadsen.

17 CHAIRMAN THOMADSEN: Yes. Go ahead.

18 DR. HOWE: What is Enforcement Guidance  
19 Memorandum 13-003? And this is the title: "It's  
20 Enforcement Guidance Memorandum: Interim Guidance  
21 for Dispositioning Violations Involving 10 C.F.R.  
22 35.60, 10 C.F.R. 35.63 for the Calibration of  
23 Instrumentation to Measure the Activity of Rubidium-  
24 82 in the Determination of Rubidium-82 Patient  
25 Dosages."

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           We ended up -- well, we started with the  
2 voluntary recall of the rubidium generators because  
3 of patients receiving an excess of the strontium-82  
4 and 85. And that caused us to go back and look  
5 extensively at what regulations apply to the rubidium  
6 radiofluoride and to see how the product meets those  
7 regulations.

8           And when we did that extensive review, we  
9 discovered that the main problem is that you have a  
10 very short half-life of 76 seconds for the rubidium-  
11 82. And why is that an issue for the regulation?  
12 Because of the short half-life, you have to elute the  
13 generator and inject the material directly into the  
14 patient. This precludes pre-measurement of any  
15 administration through a dose calibrator or  
16 traditional methods of measuring activity. It also  
17 means that you are delivering the dose on the fly. So  
18 a major part is there is a dose calibrator involved  
19 with this process, but it is not used in the method  
20 we normally think of as measuring activity.

21           And the problem we found out was that in  
22 35.60, because you're eluting the generator, you're  
23 not receiving unit dosages. You have to measure the  
24 dosage and you have to calibrate the instrument  
25 you're using to measure the dosage in accordance to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 nationally-recognized standards and calibration  
2 instructions provided by the manufacturer. And for  
3 rubidium-82 you're not using a dose calibrator to  
4 calibrate the rubidium-82. That's a secondary  
5 calibration over here.

6 You're actually measuring the activity of  
7 the rubidium-82 using the detector on the infusion  
8 cart, and that detector is measuring activity as it  
9 goes by in a dynamic manner. And at this particular  
10 point we don't have a nationally-recognized standard  
11 for calibrating a detector measuring in a dynamic  
12 mode. And the manufacturer's instructions were not  
13 true calibration instructions. They were constancy  
14 checks where you make a measurement with a dose  
15 calibrator of one eluent and then you check another  
16 one to see if it matches with it, with what's being  
17 measured on the dose after a certain period of time  
18 from the detector coming off of the infusion pump.

19 But then if you make a change because you  
20 need to adjust, there's no re-calibration of the  
21 diffusion -- infusion pump dosimetry. So it's not a  
22 true calibration. You had the calibration of the  
23 dose calibrator, but you don't have a calibration of  
24 the detector on the infusion pump.

25 So all of our licensees are essentially -

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 - if you're using rubidium-82, you're in violation of  
2 35.60. And that's not an acceptable position. And  
3 this is the reason you don't have the nationally-  
4 recognized standards for the dynamic mode, and until  
5 such standards are developed, you cannot meet the  
6 requirements.

7           There is another section in 35.63, and  
8 that requires that a licensee determine the activity  
9 of a dosage administered before medical use. That's  
10 very easy with most of the other radiopharmaceuticals  
11 because you can put it in a dose calibrator, you can  
12 make the measurement, you can measure it in the  
13 syringe or vial, and then you administer it to the  
14 patient. So you've got that measurement. You can  
15 record it. You know what it is before you give it.

16           In this particular case, because you have  
17 a direct infusion into the patient you are not  
18 measuring the final dosage before you inject it to  
19 the patient. You're doing an incremental measurement  
20 and the infusion pump should turn off at a certain  
21 point when it believes it's reached that dose  
22 measurement. But the fluid has already gone into the  
23 patient, so technically you're not measuring it prior  
24 to administration. So because it's a dynamic  
25 process, there is really no way to meet 10 CFR 35.63.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 So once again, all of our licensees using this  
2 product are in violation, and that's not acceptable.

3 So what we did was we looked at those  
4 requirements and we said they can't meet either one.

5 What are we going to do about it in the short term?

6 Well, in the short term we do not want to cite  
7 licensees for violations when there is no way that  
8 they can provide a corrective action. So we looked  
9 to see if there was something else that we could use  
10 that would kind of assure that the doses going into  
11 individuals are what they should be and will meet the  
12 current situation of how measurements are made and  
13 how the dose is administered.

14 So we're using enforcement discretion so  
15 that we don't issue violations for failure to comply  
16 with the requirements for the rubidium generator  
17 systems if they're not being used in accordance with  
18 35.60 and 35.63. And we're able to use enforcement  
19 discretion because we've developed three criteria,  
20 that if the manufacturer is -- not the manufacturer,  
21 if the use licensee is following those criteria, then  
22 we can use the enforcement discretion and not issue a  
23 violation.

24 What are those criteria? The first  
25 criteria is essentially looking at the infusion pump

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and the measurement with the radiation detector on  
2 the infusion pump. It's important for the infusion  
3 pump to deliver a constant volume. So the accuracy  
4 and the consistency of the infusion pump rate is an  
5 important factor. So we put that in as a requirement  
6 that they have a written test procedure to ensure  
7 that the infusion pump flow rate is constant and  
8 accurate. And then we put a requirement in that the  
9 radiation detector meets the manufacturer's  
10 specifications.

11 Now, most licensees don't have a clue as  
12 to how to make sure the infusion pump is consistent  
13 and accurate or that the radiation detector meets the  
14 manufacturer's specifications. And so we understood  
15 that and we said, okay, the licensee needs to perform  
16 the test at least every 12 months to make sure  
17 everything's functioning. And if there's a repair or  
18 a replacement, then it needs to be done again and  
19 they need to maintain records.

20 And because we recognized that the  
21 licensee may not have this capability, we indicated  
22 that the licensee can use the data obtained from the  
23 manufacturer, because the manufacturer is going in on  
24 a yearly basis and testing all the infusion delivery  
25 systems and is doing a radiation test of the detector

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 to make sure that the detector is operating correctly  
2 and also doing an electronic test of the detector to  
3 make sure it's operating. So we said, okay, as long  
4 as that's happening we'll buy into the fact that it's  
5 working the way it should be working and we let them  
6 use the manufacturer's information.

7 Now, in the future if there is a  
8 calibration procedure for accuracy, linearity and  
9 geometry evaluations of the detector, then the  
10 calibration procedures would have to be performed in  
11 accordance with those tests.

12 When we looked at the recall, one of the  
13 things that became clear is not everybody understood  
14 what they were supposed to be doing and what the  
15 measurements meant and when they were not supposed to  
16 deliver the rubidium-82 because there was too much  
17 strontium. So we put another requirement in that the  
18 authorized users -- and in our case that means the  
19 physicians. For the manufacturer, their authorized  
20 users were the technologists. But that the  
21 physicians have training in the specific rubidium  
22 generator that they have, because if the generators  
23 change, then the next manufacturer may have slightly  
24 different processes, and they need to know that. And  
25 we wanted the radiation safety officer to also have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 training.

2 And they have to successfully complete  
3 the training with specific the manufacturer model  
4 number and they have to maintain documentation of  
5 that training.

6 And what are we requiring in the  
7 training? We want them to know how to elute and how  
8 to do the quality control procedures to determine the  
9 rubidium-82 activity, and the strontium-82 and the  
10 strontium-85 breakthrough levels. We want them to  
11 make sure that the dose calibrator calibration  
12 procedures -- know what they are and know how to use  
13 them and use the properly. We also want them to be  
14 aware of the safety procedures for the clinical use  
15 of rubidium-82 chloride. So we've tried to identify  
16 what we think are measurable quantities and things  
17 that need to be known at the licensee's site. And  
18 for the training we will accept the generator  
19 manufacturer's training requirements.

20 And then there's certain tasks in that,  
21 and that's the performance of the rubidium-82  
22 activity constancy check, and that should be also the  
23 adjustment of infusion cart readout setting, if  
24 that's necessary, and know when tests had to be  
25 required by the manufacturer. And so that gets us

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 into the idea that the manufacturer is now asking for  
2 tests on each generator after a certain elution  
3 volume at the beginning of each day or at some other  
4 period that's specified. And so we've tried to  
5 approach this in a method that the licensee can meet  
6 and also that will assure a safe use of the rubidium.

7 And our third part was as long as you  
8 have to directly infuse this into the patient, you're  
9 never going to be able to measure the activity as a  
10 unit before it's injected. And so we've said  
11 measuring the activity using the detector on the  
12 infusion cart and the printout value that the  
13 infusion cart gives you that is acceptable for the  
14 NRC to receive the enforcement discretion.

15 So I've just walked you through what we  
16 wrote in the Enforcement Guidance Memorandum. We  
17 sent a letter out to all the Agreement States to make  
18 them aware that we had the Enforcement Guidance  
19 Memorandum. We sent the Enforcement Guidance  
20 Memorandum to them. We gave them a link. We have  
21 just recently completed a RIS going out to our  
22 licensees, the MMLs and the Agreement States that  
23 make them aware that this Enforcement Guidance  
24 Memorandum is out there. We included it as an  
25 attachment and there's also a link to it so that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 everybody knows it's there.

2 And you asked a question earlier about  
3 the Agreement State compatibility. The Agreement  
4 States do not have to use this Enforcement Guidance  
5 Memorandum. We think it's a good document. We think  
6 it's a reasonable approach to not having licensees in  
7 violation. So they may adopt it if they choose.

8 CHAIRMAN THOMADSEN: Any questions? Dr.  
9 Zanzonico?

10 MEMBER ZANZONICO: I have several  
11 questions. One is I think a number of sites that you  
12 use the rubidium generator don't actually have their  
13 own generator. They use a circulating generator  
14 because it's just not cost-effective for individual  
15 sites to buy it. And I believe it's the whole system  
16 that goes from site to another. So site A might use  
17 it on Monday, site 2 on Tuesday, et cetera.

18 So in that case, since the individual  
19 sites don't have the infusion pump or any of the  
20 components, whose responsibility is it then to  
21 demonstrate compliance with this definition?

22 DR. HOWE: Before the recall there were  
23 commercial pharmacies that were delivering generators  
24 and the infusion systems to different licensees on  
25 different days of the week. And the commercial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 pharmacies indicated to their customers that they  
2 were not responsible for doing quality control tests.

3 It was the medical use licensee.

4 Our understanding is that practice is no  
5 longer being followed, but that there is a mobile  
6 nuclear medicine process now where you have a mobile  
7 nuclear medicine licensee and that licensee takes the  
8 unit to a different medical facility. And that  
9 mobile nuclear medicine licensee is the licensee  
10 that's responsible for doing the quality control  
11 tests, because they are essentially delivering the  
12 whole thing and operating it at a different  
13 customer's site.

14 We've seen cases I believe out in  
15 California where they've got the big mobile van with  
16 the PET camera on it.

17 MEMBER ZANZONICO: So it sounds like it  
18 could be variable. In other words, if the provider  
19 of the system were to divest themselves of  
20 responsibility, then the authorized user would have  
21 to be responsible. Ideally though, the provider  
22 would take that responsibility. But someone has to  
23 be responsible.

24 DR. HOWE: If you have a traditional  
25 mobile nuclear medicine, you have to have an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 authorized user on that mobile nuclear medicine  
2 license. That authorized user is responsible. And  
3 also the mobile nuclear medicine licensee is  
4 responsible. And they show up at a customer's site  
5 and they deliver the rubidium to them. But they are  
6 the ones responsible.

7 What we saw before the recall was the  
8 whole unit was delivered and the company said it's  
9 not us anymore. It's you. You've got to do  
10 everything.

11 MEMBER ZANZONICO: Okay. So if that's  
12 still the practice at some sites, that's still the  
13 responsibility of the AU?

14 DR. HOWE: It's not supposed to be the  
15 practice anymore.

16 MEMBER ZANZONICO: Okay.

17 DR. HOWE: The manufacturer is supposed  
18 to be very tightly controlling --

19 MEMBER ZANZONICO: Okay.

20 DR. HOWE: -- how its product is used,  
21 because they want to keep their product out there.

22 Orhan?

23 MEMBER SULEIMAN: This has been a real  
24 interesting case study and it's clearly not over, but  
25 the infusion system, I mean, is part and parcel with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the generator.

2 DR. HOWE: Yes.

3 MEMBER SULEIMAN: And I understand it  
4 belongs to the company. The company doesn't even  
5 give it to the sites.

6 DR. HOWE: That's correct.

7 MEMBER SULEIMAN: And I'm sure there  
8 could have been a technical solution worked out in  
9 terms of calibration, but I think what you're  
10 suggesting may in fact be a viable solution.

11 The question that keeps on rearing its  
12 head to me is we default to the vendor training. How  
13 do we know, how do you know, how does anybody know  
14 that the vendor training is consistent? At what  
15 point is it the trainer training somebody else and we  
16 start to get dilution of instruction? If the  
17 manufacturer does in fact initiate a training program  
18 to meet all these issues, hopefully it's spelled out.  
19 Do you pass on that? Do you say this is a qualified  
20 certified training program, or the manufacturer says  
21 this is our training program? When they've undergone  
22 this training, they get our John Hancock that we  
23 completed so many hours of training? How do we  
24 validate? How do we verify that the users have in  
25 fact been trained by the company?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. HOWE: NRC's policy is not to approve  
2 training programs. And so, we don't look at and  
3 evaluate the training, the manufacturer's training  
4 program. The manufacturer essentially documents that  
5 the topics are addressed and they provide written  
6 documentation that the individuals have had the  
7 training. And I guess what we do is, in our  
8 performance-based inspections, when we go to a  
9 facility, we ask the facility how they're doing  
10 things. Do they know what they're doing? We ask  
11 kind of leading questions to try to find out whether  
12 folks have absorbed what they were supposed to be  
13 absorbing from the training and they understand what  
14 it is that they're doing.

15 MEMBER SULEIMAN: As I recall, my  
16 memory's not perfect, but as I recall from what I  
17 heard during the entire recall was the company was  
18 saying we did the training, the site was saying we  
19 weren't trained properly and they were sort of  
20 pointing fingers at each other. And nowhere in there  
21 is there any sort of qualification that the training  
22 was conducted in the proper way. And again, from my  
23 understanding it was obvious that a lot of the sites  
24 didn't know what they were doing. But I'm not one to  
25 let the company off either, because I'm sure that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 some of the accusations made against them had some  
2 validity as well.

3 DR. HOWE: I think what I've heard from  
4 the company is that they assumed they could go out  
5 and give training and everyone listening would absorb  
6 it and understand what was being said and would be  
7 able to go forth. And based on the FDA criteria that  
8 you gave that they had to monitor as things were  
9 coming, they began to realize that their users didn't  
10 necessarily understand. And so they had to go back  
11 out and give additional training.

12 And I think they've modified how they  
13 provide their training so that they have a better  
14 accountability of it, because they want to keep their  
15 product out there. And I think the recall kind of  
16 put the pressure. We want to keep it out there.  
17 We're going to go the extra mile to make sure it's  
18 there. That's the feeling that we've gotten. We've  
19 gotten the feeling that they had to go back and  
20 redesign things.

21 CHAIRMAN THOMADSEN: Dr. Langhorst?

22 MEMBER LANGHORST: I had a general  
23 question. And I appreciate all the work that went  
24 into this, because I think it is very valuable to the  
25 patients. I mean that should be the ultimate goal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for going to all these efforts.

2 But maybe this is a question more for  
3 Chris. How does NRC staff decide when to try to get  
4 enforcement relief like this, or not, depending on  
5 when issues are raised and problems are seen with how  
6 this is exactly meeting the regulations?

7 MR. EINBERG: Well, it's a collaborative  
8 effort, primarily within the medical team, and it's  
9 discussed within the medical team that meets weekly.  
10 And then in this particular instance, you know, the  
11 inability of the licensees to comply with the  
12 regulations, it could have had the negative impact of  
13 putting them out of business or not having access to  
14 this generator. So we felt that something needed to  
15 be done in this case. So, but it's a collaborative  
16 effort where we discussed things. And then if need  
17 be we'd talk to the Office of Enforcement to see what  
18 the options are for moving forward.

19 DR. HOWE: I think another consideration  
20 is, is it possible for a licensee to meet the  
21 regulations?

22 MEMBER LANGHORST: Yes.

23 DR. HOWE: And if most of the licensees  
24 can meet the regulations, then you probably don't go  
25 into enforcement.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER LANGHORST: Okay.

2 DR. HOWE: If you've got a case where  
3 none of the licensees can meet the regulation because  
4 of something unique here, then you've got to do  
5 something. And the interim enforcement is a  
6 temporary matter until we get the whole issue  
7 resolved, which may be years from now.

8 MEMBER LANGHORST: Yes.

9 DR. HOWE: So that's one of the big  
10 things. If it's 2 licensees out of 1,000 can't meet  
11 it, that's one thing.

12 MEMBER LANGHORST: Okay.

13 DR. HOWE: If it's 1,000 out of 1,000,  
14 that's something else. You kind of use the same  
15 rationale to use when you look at emerging  
16 technologies.

17 MEMBER LANGHORST: Yes.

18 DR. HOWE: Is there something unique here  
19 that you cannot meet the regulations? Is there  
20 something here that you've got to do in excess in  
21 order to be safe? So you use the same kind of  
22 thought process.

23 MEMBER LANGHORST: And I think Dr. Zelac  
24 might have said something along this line. Is this a  
25 challenging effort to go through and work it through

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 with the enforcement group, or do they understand the  
2 differences of medical use?

3 DR. HOWE: This one was fairly easy  
4 because we had a fairly clear message of what you  
5 couldn't meet and we came out and helped them  
6 determine what they could use to avoid violation  
7 citation.

8 MR. EINBERG: In the case of Ron's  
9 interim enforcement policy, that's a much more  
10 complicated topic, the one that we all struggled  
11 with. So the medical team did a lot of, you know,  
12 teaching and clarifying with the Office of  
13 Enforcement. But also, in that case that was a  
14 policy decision that was made by the Commission. So  
15 that required a SECY paper that went to the  
16 Commission. The Commission made the decision to  
17 issue this interim enforcement policy.

18 MEMBER LANGHORST: Well, I thank you all  
19 for all the efforts that these take, especially the  
20 complicated permanent brachytherapy one.

21 MEMBER ZANZONICO: One more question. In  
22 your slide 12 you indicated that all AUs as well as  
23 the radiation safety officers should receive  
24 training, but I'm thinking of sites like a private  
25 practice where the AU often is the RSO. So it's the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 same individual. So how is that handled?

2 DR. HOWE: If the authorized user and the  
3 RSO are the same, then you're going to provide the  
4 training to that person.

5 MEMBER ZANZONICO: Okay.

6 DR. HOWE: You're going to focus on their  
7 AU issues and you're going to focus on their RSO  
8 issues.

9 MEMBER ZANZONICO: Right, I --

10 DR. HOWE: We have many licensees that  
11 are one individual.

12 MEMBER ZANZONICO: I didn't know if the  
13 intent of this was to have some redundancy of  
14 training, which it may be in part, but in that case,  
15 if you needed a second individual trained, named  
16 individual trained even in the instance where the AU  
17 and the RSO were the same person.

18 DR. HOWE: No, but we did find that in  
19 the clinics that had issues, they had radiation  
20 safety officers. They weren't the physicians. And  
21 so they needed to have the training also. So we  
22 wanted to make sure that we hit it from the physician  
23 side and the RSO side, if they were separate.

24 CHAIRMAN THOMADSEN: Mr. Mattmuller, did  
25 you have a question?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 MEMBER MATTMULLER: Yes, a comment and a  
2 question.

3 CHAIRMAN THOMADSEN: All right.

4 MEMBER MATTMULLER: I know this is unique  
5 in that the actual dose to the patient is not  
6 measured first before it's given to the patient, but  
7 in PET we've done something very, very similar with a  
8 couple of radiopharmaceuticals that have very short  
9 half-lives, such as N-13 ammonia of 10 minutes or O-  
10 15 oxygen of 2 minutes. Not necessarily not  
11 measuring the dose, but in terms of  
12 radiopharmaceutical quality we would do a pre-  
13 production run and check the product for  
14 radiochemical purity and pyrogenicity and such, and  
15 do all that beforehand. But the actual run that we  
16 produce and then give directly to the patient, we  
17 don't have time to do that beforehand. So it's  
18 somewhat analogous to this in that we pre-check it to  
19 make sure it's working properly that morning and then  
20 subsequent doses are believed to be just meets in the  
21 criteria.

22 DR. HOWE: Yes, and I was going to say  
23 that we know you've got the PET oxygen and we've seen  
24 that at NIH where it's made in the basement and it's  
25 shot straight up a tube and through the pharmacy and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 straight into the patient.

2 MEMBER MATTMULLER: Right.

3 DR. HOWE: So that is another use. Now,  
4 you're not going to be injecting oxygen, PET oxygen  
5 at a small clinic.

6 MEMBER MATTMULLER: True.

7 DR. HOWE: So most of those are big  
8 broads and big broads have more flexibility in trying  
9 to figure out how to meet intent of requirements.

10 MEMBER MATTMULLER: And then my question:  
11 In regards to the training, do you have plans to  
12 possibly make the training for -- or the training for  
13 an authorized user for this type of generator, would  
14 that be added to 35.290? Because it seems like we've  
15 got almost -- and I suppose this isn't unique -- here  
16 we're relying on training provided by a company  
17 versus if it's in 30.290 it's training as provided by  
18 a preceptor.

19 DR. HOWE: The interim enforcement  
20 guidance is a temporary solution. Well, it's a  
21 temporary Band-Aid. We haven't come up with the  
22 final solution on this. And I think it's clear that  
23 part of the final solution will be -- I don't want to  
24 say device-specific, but almost device-specific  
25 training, and we would have to be open to who

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 provides that training.

2 CHAIRMAN THOMADSEN: Dr. Suh?

3 MEMBER SUH: What kind of support is  
4 there to ensure that these enforcement guidelines, in  
5 terms of the written test procedures and training, is  
6 actually being done? Is there for like every 100  
7 centers, I mean, like how many of them are actually  
8 being audited?

9 DR. HOWE: Well, in NRC space we inspect  
10 private practice licensees on a five-year basis. We  
11 inspect medical institutions, not broads, on a three-  
12 year basis. We inspect broad-scopes on a two-year  
13 basis. So our inspectors would be going out and  
14 looking at these as they go. There's not a lot of  
15 rubidium generators out there right now. I mean,  
16 there's less than 200. So, you know, it's not  
17 everywhere. And so we don't see it very often.

18 But we went in and inspected a rubidium  
19 generator about a week after the Enforcement Guidance  
20 Memorandum came out and the licensee was complying  
21 pretty well. They didn't understand what they were  
22 complying with, but as soon as they talked to the  
23 manufacturer, the manufacturer said, oh, yes, this is  
24 what you need and we can provide that to you. And  
25 we'd already provided the training, so the training

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 wasn't an issue. It was the documentation.

2 Have I answered your question?

3 MEMBER SUH: Yes.

4 MEMBER LANGHORST: I wanted to add onto  
5 that. I think that's an important reason why the RSO  
6 needs training or someone in the RSO office, because  
7 that's part of your responsibility to, you know,  
8 inspect your own operation and how it is in  
9 compliance with the regulations, or in this case this  
10 --

11 DR. HOWE: EGM?

12 MEMBER LANGHORST: Thank you. So and  
13 even if that person is the same, is the authorized  
14 user, too, as the RSO they have that responsibility  
15 of guess you'd say self-auditing, self-inspecting to  
16 make sure that they are in compliance and that they  
17 document that, compliance operator. So the licensee  
18 has some level of documenting that they are meeting  
19 this requirement.

20 CHAIRMAN THOMADSEN: Dr. Guiberteau?

21 VICE-CHAIR GUIBERTEAU: With respect to  
22 the 12-month frequency of really checking the  
23 physical aspects of, you know, the flow rates and the  
24 detector accuracy across different manufacturers, I  
25 mean how did you arrive at that number? Is it based

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 on just prior experience or is it --

2 DR. HOWE: In this particular case we  
3 only have one rubidium generator manufacturer and we  
4 know that they do go in every 12 months. And they  
5 send out a special team that goes out and looks at  
6 the infusion pumps, and they check them out every 12  
7 months. And so we were able to piggyback with what  
8 they're doing.

9 VICE-CHAIR GUIBERTEAU: So I take it that  
10 they have found that they're consistent when they do  
11 this on a yearly basis?

12 DR. HOWE: Yes.

13 CHAIRMAN THOMADSEN: Mr. Mattmuller?

14 MEMBER MATTMULLER: Yes, just to address  
15 Dr. Guiberteau's concern. I mean, it's not  
16 quantitative, but on a qualitative basis when they do  
17 their first elution of the day, they're able to see  
18 the volume in the vial. So it's an eyeball test, but  
19 it's still a qualitative test that the pump is  
20 operating at the proper speed and such and they're  
21 getting the proper volume, or close to the proper  
22 volume on a daily basis. But also, again appreciate  
23 this guidance to potentially avoid any issues.

24 The only concern I have is that you have  
25 touched on compatibility and I never really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 understand compatibility completely, but in regards  
2 to this type of document, do the typical categories  
3 fall into place and one is picked for it? And I  
4 happen to know there's an individual in the audience  
5 who might be able to comment on this, since she's  
6 back from Europe. If she would be willing to speak  
7 on this.

8 MS. GILLEY: Debbie Gilley, and I am not  
9 affiliated with an Agreement State anymore, so I'd  
10 probably be out of touch with what's going on in the  
11 last two years. But if you will restate your  
12 question, I will try to enlighten the Committee on  
13 those, what I might know.

14 MEMBER MATTMULLER: What level of  
15 compatibility would an enforcement guide of this  
16 nature have with an Agreement State?

17 MS. GILLEY: This would not be  
18 compatibility B. The Agreement States would not have  
19 to adopt the enforcement guide. This would either be  
20 a C or a D, and I would have to defer back to Donna-  
21 Beth if she knew which one that would be.

22 DR. HOWE: I'm not sure because it didn't  
23 come up. We knew that it wasn't a B. We knew that  
24 the Agreement States had flexibility in whether they  
25 wanted to use that Enforcement Guidance Memorandum or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 not. And so it's not like rulemaking where you've  
2 got to identify a compatibility level for every  
3 action that you take.

4 MS. GILLEY: So you would have to take up  
5 whatever activity was being done by the individual  
6 Agreement State with that Agreement State.

7 DR. HOWE: Thank you.

8 CHAIRMAN THOMADSEN: Thank you. Any  
9 other -- yes, Dr. Van Decker?

10 MEMBER VAN DECKER: First, Dr. Howe,  
11 thank you for being consistent, and as usual  
12 providing what I consider an incredibly thoughtful  
13 and proactive presentation.

14 You know, the stakeholder provider  
15 community obviously also wants the product available  
16 as an option for good patient care, and so obviously,  
17 you know, we encourage and are happy with anything  
18 that improves the process for further patient care in  
19 the future.

20 You know, everything you laid out to me  
21 makes good general radiation safety sense, so I  
22 really like that. I mean, you know, certainly good  
23 process leads to good radioisotope clinical handling  
24 down the line, and you do what you can do to get  
25 there. I think that a lot of this has made a lot of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 sense.

2 I did take the opportunity in the last  
3 couple of days to touch base with some of my  
4 colleagues around the nation who personally have been  
5 very involved in the service provisions. And in the  
6 words of one very smart one in the group that I've  
7 always respected greatly, he said he would consider  
8 this reasonable, customary and expected, which I  
9 thought was a good off-take of the usual CMS  
10 reasonable, customary and necessary --

11 (Laughter.)

12 MEMBER VAN DECKER: -- which you could  
13 look at as the same thing in my mind. But it's an  
14 okay thing.

15 I guess my only final comments on this in  
16 that regard then -- and so we appreciate it. I think  
17 that this is good and a good step in further process  
18 of creating, you know, more options and better care  
19 down the line.

20 I guess my quick questions are you're  
21 implicit in saying that the manufacturers kind of  
22 bought into this as far as --

23 DR. HOWE: Yes.

24 MEMBER VAN DECKER: Okay. I would  
25 reinforce Steve's comments about, quotes, "the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 equivalent of compatibility B." I guess I'll be the  
2 final voice, a strong voice on that from the past  
3 '90s argument, but you know, you can't be providing  
4 services in 50 patchwork pieces in this nation to  
5 patients. You have to have some consistent way for  
6 people in training when they go from one state to  
7 another to be providing the same practice. So we  
8 have to have some way to make this within realms of  
9 reasonable, you know, consistency throughout.

10 My third comment would be obviously the  
11 annual scientific meeting of the American Society of  
12 Nuclear Cardiology, ASNC, is in three weeks in  
13 Chicago. So you may get some interaction from some  
14 people looking for whatever further information you  
15 can give at this point, RIS out or not, so that we  
16 can do some general education to the provider  
17 community. You know, part of all the job description  
18 of the stakeholders around the table is to bring back  
19 to your constituency stuff, as well as give input.  
20 And so, I know I can speak for my colleagues in  
21 saying we would like to do that and be piece of that.

22 And then the last comment I would make is  
23 just on the training piece. You know, obviously  
24 we've done manufacturer device training and radiation  
25 onc here for years and years and years, and obviously

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the provider community wants to make sure that this  
2 goes well, too. And I'm sure we'll take an active  
3 interest in how this gets played out.

4 I would agree with your concept that this  
5 is really along the concept of device-specific  
6 training. While there is only one rubidium generator  
7 out there right now, we all know there are potential  
8 competitors and each of those devices, even though  
9 they would be rubidium, would be different in their  
10 delivery systems for proprietary methods. And so  
11 this is general 0.200 radiation safety considerations  
12 for general education of 0.290 people, but then you  
13 need some device-specific stuff in any of the realms  
14 for anything that comes up for the things we've seen.

15 And I think that we would support that and try to be  
16 helpful in that in any way that could be possible.

17 So I thank you for your efforts in this.

18 CHAIRMAN THOMADSEN: Thank you for the  
19 comments.

20 Any other comments?

21 (No audible response.)

22 CHAIRMAN THOMADSEN: Thank you very much,  
23 Dr. Howe.

24 We have four minutes before the next  
25 presentation. I think we'll take that time for a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 slight break. Maybe we can try to be back within 10  
2 minutes and try to stick as closely to the schedule  
3 as we can with the consideration of biological  
4 necessities.

5 (Laughter.)

6 (Whereupon, at 3:22 p.m. off the record  
7 until 3:36 p.m.)

8 CHAIRMAN THOMADSEN: Dr. Welsh, will you  
9 please fill us in on the medical event reports for  
10 the fiscal year 2012?

11 MEMBER WELSH: Thank you, Dr. Thomadsen.

12 So thank you to all the subcommittee members who  
13 participated in this annual exercise which sometimes  
14 can be quite tedious and arduous, but nonetheless we  
15 have completed this exercise.

16 I'm going to start with the list of  
17 events under the search that I employed, namely NMED  
18 Advanced Search, medical event details menu,  
19 therapeutic procedure. And when you do this way, you  
20 tally 69 events. And here they are. And right off  
21 the bat you can see some categories that are a bit  
22 unusual. Linear accelerator up at the top there.  
23 And then some nebulous ones. The NA/NR. And they  
24 continue to get a little bit confusing. And we see  
25 the last one, X-ray, which I was curious about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 because what is that doing in this NMED database in  
2 the first place? So there are some of these  
3 categories that don't make a whole lot of sense.  
4 They're just kind of occupying some space, like  
5 specifically Linac, X-ray and NA, as quick examples.

6 Other things that we all observed during  
7 the event report tallying is that now Zevalin is in  
8 its own category, but Bexxar does not have its own  
9 category. There is another category, radiolabeled  
10 antibodies, which you would think should cover both,  
11 but does not. Another important point or observation  
12 was that some events from many years back will get  
13 logged into the period of your investigation so that  
14 during our 2012 event tallying we could see events  
15 that actually occurred, 2007, 2008, and finally got  
16 recorded into the system only in the past year.

17 Additionally, some events from the period  
18 in question may not be entered for many months. So  
19 if you think you've completed a 2012 event tally, you  
20 may find that other things can get added subsequently  
21 and your numbers are not going to be as accurate as  
22 you might think they would be. So the only way to  
23 truly conduct a search is to focus on what is  
24 reported during that fiscal year.

25 Additionally, since we all will be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussing items based on how we divide topics  
2 according to 10 CFR 35, it would be nice if the  
3 database were so organized, but it is not. For  
4 example, gamma knife radiosurgery events can be  
5 problematic. Some are Part 660; others are Part  
6 1000. The same holds true for manual brachytherapy.

7 Many of these manual brachytherapy procedures are  
8 under 490; some are in 1000 in the form of Y-90  
9 microspheres. So organizing things according to 10  
10 C.F.R. 35 would be great, but it could be very  
11 challenging for those who operate the database  
12 because of how things move in and out.

13 So if we look at this slide that I  
14 borrowed from Dr. Howe's presentation in the spring  
15 meeting, we see that there are 11 listed under 35  
16 Part 400, one brachy-mesh and 10 prostate events  
17 involving 22 patients. But when I did the search  
18 recently, what I initially was hoping was going to be  
19 restricted to 400 using the brachytherapy manual  
20 implant menu, I initially came up with 32.

21 So if Dr. Howe said that there were 11  
22 and I said there were 32, why is there a discrepancy  
23 and what's the explanation? The simple explanation  
24 would be just to trust Dr. Howe's count rather than  
25 mine. But I did want to know exactly why I was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 coming up with such a discrepancy and what can be  
2 gleaned from a detailed investigation of this.

3 So one of the observations that comes to  
4 the forefront immediately is that the NMED database  
5 is not in chronological order. For example, when I  
6 completed my search a month or so ago, event 130374  
7 was the very last entry in the whole section on  
8 manual implant brachytherapy, yet it referred to an  
9 event that occurred in 2011 and therefore was to be  
10 included in our tally.

11 Similarly, the third-to-last event in the  
12 list was something that happened September 2012.  
13 Therefore, if I looked at what was apparently the  
14 2012 year, finished all the 2011s, now I'm in the  
15 2012s, and now I see everything after, it looks like  
16 it's 2013. And I don't look at the next pages and  
17 the next pages after that because apparently  
18 everything in 2012 that I'm interested is right here.

19 I would get a wrong number because the things from  
20 2012 can wind up way out of sequence sometimes. So  
21 this was an interesting and important observation.  
22 For an adequate search you have to look at all the  
23 pages.

24 Another thing that was interesting is  
25 that if you do the search, as I mentioned, advanced

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 search menu, medical event details, therapeutic  
2 procedures, you come up with 69 items, but not all of  
3 these are truly medical events. These are just  
4 events that are listed in NMED, and I'll talk more  
5 about that later on. Specifically, some of them can  
6 be retracted.

7 Additionally, some of them get added to  
8 the database well after your search has been done,  
9 and that can also lead to numbers that are discrepant  
10 with others who might be doing similar searches.

11 The database, as I said, is not organized  
12 along Part 35 lines. For instance, if you do a  
13 search under advanced search menu, medical event  
14 details, therapeutic procedure, brachytherapy manual  
15 implant, you'll come up with 32 items. But you have  
16 to keep in mind that this is going to include the Y-  
17 90 microspheres which are under Part 1000. You'll  
18 have to subtract those out in order to get what was  
19 truly Part 400.

20 So here's the bottom line tallies. In  
21 Dr. Howe's presentation we came up with a total of  
22 52, I believe. And now it's up to 61. But it's  
23 important to keep in mind that that number there, the  
24 61, is not all truly medical events. Some were  
25 retracted. Additionally, after Dr. Howe has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 completed her search, things can get entered into the  
2 database and listed as 2012 events. Additionally,  
3 things can be out of sequence and easily missed  
4 depending on how you do the search.

5 The point of all of this is that this is  
6 clearly not a perfect system and these numbers here  
7 have to be taken with a grain of salt or an  
8 appropriate degree of skepticism because they are all  
9 subject to human error as well because it's a manual  
10 count. I don't know what you would call a medical  
11 practitioner who makes an error in counting events,  
12 but I don't know if that's a medical event or what,  
13 but it does explain why there's going to be a  
14 discrepancy in the numbers from one individual  
15 counter to another, and one individual count in the  
16 spring to a count in the fall.

17 So getting into the details, there were  
18 two medical events involving four patients involved  
19 in the Part 200 series. These were discussed earlier  
20 in the year and we've discussed them in depth  
21 elsewhere. As far as the part 35 events, there were  
22 two of them. One of them was particularly  
23 interesting and I'm going to ask Mr. Mattmuller to  
24 give us a little bit of detail on that later on,  
25 because there was an interesting explanation for what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 happened in this particular event.

2 Focusing now on the Part 400 medical  
3 events, which can be found a variety of different  
4 ways, but the way I searched for them was under  
5 brachytherapy manual implant and then subtracting out  
6 the Y-90 microspheres. Twenty events were found.  
7 And I say here MEs, but I should point out that these  
8 are events listed in the database, not necessarily  
9 MEs as per the true definition.

10 Eighteen prostate permanent seed implants  
11 involving thirty-two patients. Some of them involved  
12 more than one patient. Those are listed here. The  
13 balance of them were one patient apiece. If we focus  
14 on these prostate implants, here's how they broke  
15 down. The majority were I-125, a couple palladium  
16 and cesium, and one was unspecified.

17 Three of them involved multiple patients,  
18 two of them with two patients each, one with thirteen  
19 patients. Twenty-three of the thirty-two total  
20 individual patient cases were under doses to the  
21 treatment site. So again, the majority of medical  
22 events in the prostate permanent implant arena were  
23 underdoses as we discussed with Dr. Zelac earlier  
24 today.

25 Of the underdoses where normal tissue was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 not overdosed -- sorry about the typo there --  
2 several of these patients subsequently had additional  
3 seeds placed or supplemental external beam radiation  
4 therapy as per the discretion of the treating  
5 clinician.

6 There were a couple of that were  
7 particularly interesting, if not truly enlightening.

8 I can't say that any of these were able to aid in  
9 our true quest of identifying trends that can be  
10 averted, but nonetheless there are a few that are  
11 noteworthy. This particular event had all the seeds  
12 placed inferiorly to the prostate as determined on  
13 the day zero CT. This was human error because the  
14 penile bulb was mistaken for the prostate in the  
15 operating room. So the corrective action that the  
16 institution opted to take will be use fluoroscopy to  
17 verify the position of the needles in the operating  
18 room prior to implanting the seeds, and that should  
19 solve that problem.

20 Here are a couple of other events where  
21 the seeds were similarly placed inferior to the  
22 prostate. In one event the seeds were systematically  
23 misplaced about a centimeter inferior to the  
24 prostate. In the other event seeds were as far as  
25 3.5 centimeters inferior to the prostate with only a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 small fraction of the target getting the full  
2 prescription dose. Of note, I think both of these  
3 would qualify as medical events with the proposed  
4 definitions that we discussed an hour ago or so.

5 Here's another that was interesting. A  
6 survey of the packing material showed elevated  
7 readings that suggested one of the seeds might have  
8 leaked. So the patient underwent urine bioassays and  
9 thyroid counts and according to the report revealed  
10 I-125 uptake. I put in parentheses suggested simply  
11 because we don't know if that patient might have had  
12 some iodine uptake previously from another possible  
13 source, but it's extremely unlikely. There were some  
14 more doses calculated to the thyroid and the whole  
15 body that probably should not have happened. This  
16 was attributed to manufacturing error perhaps, damage  
17 in transit, damage on site at the hospital. The  
18 hospital elected to switch to a different seed  
19 manufacturer from that point on.

20 Here's another event wherein a patient  
21 passed 2 strands of the seeds, a total of 15 seeds.  
22 One was flushed down the toilet into the septic  
23 system. Another was brought into the hospital for  
24 proper disposal. The report mentions that the  
25 patient had a TURP, transurethral resection of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 prostate, over 15 years ago, but we all know that  
2 sometimes seeds will be lost in such patients. But  
3 it's hard to predict exactly what will happen.

4 This might be an extreme example where 15  
5 seeds were lost, but they were linked together in a  
6 strand. And sometimes this is going to happen, I  
7 think. I don't think that it would be appropriate to  
8 systematically say patients who have had TURP should  
9 not have this procedure. This is just a reality that  
10 goes along with seed implant in these patients.

11 In this particular example the wrong  
12 patient got the seeds and it was because there were  
13 back-to-back procedures on two consecutive days. The  
14 written directives were confused. The first patient  
15 got the proper treatment, but the next day the second  
16 patient received the same exact seed placement  
17 procedure as the first. In other words, his plan was  
18 the same as the patient from the day before. This  
19 resulted in a 27 percent underdose and an overdose to  
20 the urethra. Clinicians elected to place seeds to  
21 improve the irradiated coverage to the cold spots.

22 This one was also quite interesting in  
23 that it involved multiple patients. The Wisconsin  
24 Department of Health Services has been conducting an  
25 inspection of all cases since 2001. In this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 particular event 13 patients were identified between  
2 2005 and 2012. There was one overdose, seven  
3 underdoses. Two of those seven also had overdoses to  
4 normal tissue. And then five patients qualified  
5 based on normal tissue overdoses. The hospital had  
6 not reviewed cases against the medical event criteria  
7 and therefore it was categorized as human error. The  
8 corrective action was interesting, and that was that  
9 the authorized user will be placing all the needles  
10 from event discovery date onward, whereas in the past  
11 it was the urologist and the authorized user who were  
12 alternating the placement of the needs. Hopefully  
13 this will correct that problem.

14 MEMBER ZANZONICO: How common is that  
15 practice of a urologist placing implants?

16 MEMBER WELSH: I think it's quite common.

17 MEMBER ZANZONICO: Oh, it's common?

18 MEMBER WELSH: I think that I wouldn't be  
19 able to give you estimates, but I think that it is  
20 fairly common and depends on the institution.  
21 Probably the best solution is he or she who has the  
22 most experience in placing the needles be the one  
23 that does that. I do think that the actual  
24 deposition of the radioactive material after the  
25 needle has been placed probably should be the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 authorized user, but I think the needle placement  
2 should be placed by that individual who has the most  
3 experience, and I think that varies.

4 In this particular event there were two  
5 patients who were underdosed in January and August of  
6 2011. A review was subsequently performed and  
7 identified an additional 14 patients who might have  
8 gotten greater than 20 percent underdoses during the  
9 prior 3 years. The two events that were identified  
10 in question were attributed to prostate swelling  
11 between the implant and the day 15 scan. During the  
12 investigation all implant procedures were suspended  
13 and a policy and procedure revision was underway.

14 And this event concerned me because I  
15 wasn't sure what I was going to read afterwards and I  
16 feared that maybe that institution was going to shut  
17 down its program because of these alleged events.  
18 And that would be another example of a good therapy  
19 that is going by the wayside because of concern about  
20 events. Fortunately, it turns out that these alleged  
21 medical events, these two events reported in the NMED  
22 were determined to not be true medical events since  
23 the administered dose was actually not truly off by  
24 more than 20 percent.

25 Here we have these two events that were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 not -- that event was not considered a true medical  
2 event. In addition to that event these two that I  
3 have on this slide here were both considered  
4 underdoses and were subsequently retracted when  
5 either subsequent post-implant dosimetry was done and  
6 found that the dose did not exceed 20 percent error  
7 or that in another example the event did not meet the  
8 Connecticut State activity based medical event  
9 criteria.

10 But I thought that it was interesting and  
11 instructive to note that three of the events in the  
12 system -- that if you were simply doing a count you  
13 would say, okay, there's these three medical events.

14 But if you read the details, they're events that  
15 initially were thought to be medical events, but were  
16 subsequently retracted. And so you do have to pay  
17 attention to the detail when you're reading these  
18 things. You can't simply count them up and come up  
19 with a number.

20 This one was a particularly interesting  
21 case example involving an I-125 lung mesh implant.  
22 It was performed May 31st, 2012. Chest X-ray that  
23 was done a couple of months later in July 2012 showed  
24 that instead of 50 implanted seeds only 38 were  
25 visible on that chest X-ray. So they did a chest X-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 ray again two days later and now instead of 38 there  
2 were only 35, and 3 of them were in the abdomen.  
3 Chest X-ray about a week later showed the number down  
4 to 25 in the lung and 9 in the abdomen. The next day  
5 after that only 13 remained in the lung and there  
6 were 17 in the abdomen. Going into August, August  
7 4th, only six left in the lung; eight were in the  
8 abdomen. Finally on August 9th, 2012 there was one  
9 remaining in the lung and zero in the abdomen.

10 So the conclusion was that the patient  
11 must have been coughing up loose seeds periodically  
12 and swallowing them and they're winding up in the  
13 abdomen. So this was a very unusual example of a  
14 device failure and it was reported to the  
15 manufacturer as well as the FDA.

16 Among the non-prostate manual implant  
17 brachytherapies, there was one cesium-137 temporary  
18 implant using Fletcher-Suit ovoids. Thirty gray was  
19 prescribed to each ovary region. At the completion  
20 of the procedure a source was found to be missing on  
21 the left side. It was found on the IV monitor stand.

22 It was determined that only one of the sources was  
23 correctly placed. The other one fell onto the bed  
24 and the nurse found it 12 hours later and by hand  
25 placed it on the IV stand. The patient subsequently

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 got treated to that left side. It was estimated that  
2 the nurse might have sustained an extremity dose of  
3 13 rem and it was attributed to human error during  
4 the procedure and inadequate training for nursing  
5 staff. Going to Part 600, all 35.600 in 2012 totaled  
6 17 events. Majority of these were HDR, 16 of them,  
7 with one gamma knife procedure. And the asterisk  
8 there is because not all gamma knife is in Part 600.

9  
10 Here's how they broke down specifically,  
11 but as with 2011, there were no systematic errors or  
12 frequent problems. There were some length problems.

13 Two of them where the catheter was not inserted in  
14 tandem. One endobronchial tube was used instead of  
15 an adapter. One the wrong distance used. Another  
16 not stated. Four treatment planning errors. There  
17 was one wire drift. One marker in an NG tube, nasal-  
18 gastric tube, that was mistaken for the source  
19 location. Another because of catheter slippage. One  
20 the wrong patient's plan was used and two were  
21 considered hardware or software failures. In two  
22 cases it was noted that QA was not performed and  
23 perhaps imaging before the treatment with the actual  
24 connectors or the transfer tubes could have uncovered  
25 several of these problems. As far as the gamma knife

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 event, it was attributed to mechanical failure,  
2 specifically the latch fastener failed.

3 Moving onto the 1000s, there were more in  
4 2012 than there were in 2011. And as we discussed a  
5 few months back during our Y-90 microsphere  
6 subcommittee evaluation, nothing very specific stands  
7 out as a systematic error. But there were 11 using  
8 the glass TheraSpheres and 8 using the resin SIR-  
9 Spheres. There was one on Perfexion medical event,  
10 Perfexion gamma knife medical event.

11 As far as the eight SIR-Spheres go,  
12 here's how the events broke down: Two involve  
13 spheres that were stuck to the septum. We've seen  
14 that plenty of times before. We've seen this wrong  
15 patient's dose medical human error-type of thing.  
16 One was attributed to retrograde flow. One was due  
17 to settling because of slow delivery. One was  
18 another human error where the wrong artery was  
19 addressed. Another one was where the wrong dose was  
20 drawn and one had no information. As far as the  
21 TheraSphere glass microspheres go, six were  
22 considered from slow flow, these various reasons  
23 here. One was a needle-in-the-vial problem. One was  
24 another classic human error: wrong patient got the  
25 dose. Another one was stasis, which one might argue

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 should not have been listed as a medical event. And  
2 there were two without detailed information.

3 As far as the other in Part 1000 with the  
4 Perfexion gamma knife, this was a computer failure  
5 after the frame was reapplied. It was listed as  
6 human error, but it's an event nevertheless.

7 So what were some of the general  
8 observations regarding the Part 600 and Part 1000?  
9 Well, we don't want to bash the NMED database, but it  
10 does seem like there's a paucity of useful  
11 information with sufficient detail that one can rely  
12 on to draw detailed conclusions.

13 I was able to use the IMV data from 2010  
14 to put things in perspective here. We have said  
15 during the Subcommittee discussions in the past that  
16 these numbers are kind of useless if you don't have  
17 the denominators. So NRC has generously purchased  
18 these denominators here. You can see that of 924,000  
19 radiation therapy courses 8.5 percent are not  
20 external beam radiation therapy.

21 And of that here's how they breakdown and  
22 here's the number of sites that report that they  
23 performed these procedures. You see radionuclide  
24 therapy is quite a small component right there at the  
25 bottom, but it does not include nuclear medicine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 facilities that don't do other forms of radiation  
2 therapy.

3 So of 675 radiotherapy sites reporting  
4 treatment on an estimated 28,000 prostate cancer  
5 patients with permanent implants here's how they  
6 broke down: Seventy percent were I-125, twenty-nine  
7 percent were palladium and one percent used cesium.  
8 I was encouraged to see that number of 28,205 because  
9 I was fearful that we might continue to see that  
10 downward progression in the number of permanent  
11 implant brachytherapy procedures since the VA events  
12 from a number of years back, but it seems like it  
13 might have stabilized. And again, this is 2010 data.

14 Here's a pie chart showing how permanent  
15 seed implants fit into the rest of brachytherapy.  
16 And some of the questions and comments that were  
17 brought up during Subcommittee discussions include  
18 what kind of quality control is being done on the  
19 database? How is this event information being used  
20 by the agreement states and others? Are there other  
21 event reporting systems that are in use presently or  
22 being developed? And importantly, how can such a  
23 database be used to support licensee safety culture  
24 development to improve patient care? And an  
25 important point that is worthy of further discussion

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 is is the information that comes in from the states  
2 all voluntary? And if so, does that mean that the  
3 NMED database could be quite incomplete?

4 The numbers are probably too small to  
5 draw any kind of meaningful conclusions. We did not  
6 see any kind of very obvious trends. But the one  
7 thing that one might be able to say based on this  
8 year's analysis and prior years and the years before  
9 that we see medical events that are still due to  
10 failure to perform some basic QA, lack of  
11 verification or sloppy verification, irrespective of  
12 the verification method that should have been used.  
13 These ideas and habits should be ingrained in the  
14 community, but there perhaps will always be some very  
15 small human error that is going to cause the number  
16 here to not ever reach zero.

17 One other question came up about the  
18 agreement state consistency. How does the NRC assure  
19 that the agreement states are consistent with the NRC  
20 with regard to their medical events and the  
21 reporting? But I think that looking at the  
22 denominators once again on these slides here were  
23 encouraging in that the medical events in prostate  
24 brachytherapy, as an example, are well under 1  
25 percent, maybe under 0.1 percent. So while we go

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 through this exercise annually and try to identify  
2 problems that can be fixed, the fact is that even if  
3 all the events that I counted through the NMED were  
4 true medical events, the proportion of medical events  
5 compared to the total prostate brachytherapy is quite  
6 minuscule and it's a safe and effective treatment.

7 So I'm going to ask Mr. Mattmuller to  
8 point out the thing that he wanted to raise regarding  
9 the diagnostic event.

10 MEMBER MATTMULLER: You want me to go for  
11 5 minutes or 50 minutes?

12 MEMBER WELSH: I'm going to leave that to  
13 our Chair.

14 MEMBER MATTMULLER: Okay. I'll try to be  
15 brief.

16 This goes back to 35.300 and it was  
17 titled, "Written Directives in the Electronic Medical  
18 Record World." And looking at this one, it sort of  
19 took me down a pathway of looking at how -- which you  
20 may not all be aware of the immense sums of money  
21 that hospitals have spent on electronic medical  
22 records, or electronic health records, depending on  
23 the abbreviation of choice -- that may or may not  
24 affect us in nuclear medicine and radiation oncology.

25

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           The first big system is akin to Windows.  
2           It's sort of all-encompassing and it's in the  
3 background. And then this system affects everybody.  
4           But then each individual department has their own  
5 module of software that's specific for their type of  
6 operation. For example, there's a module for the  
7 inpatient pharmacy and there's a separate module for  
8 the outpatient pharmacy. And likewise, there's a  
9 module for radiology that includes nuclear medicine  
10 and radiation oncology. But despite the immense  
11 expense of these modules and complexity, they still  
12 leave a lot to be desired. It's like we're in  
13 Windows 1.1, honestly, for some of these records  
14 systems. It's very difficult and expensive to do  
15 anything different from what they hand you.

16           One of our frustrations is that we also  
17 have a nuclear medicine information system in our hot  
18 lab for preparing, keeping track of all of our NRC  
19 records. It doesn't talk very well to this module.  
20 It can for a large of sum of money, but we haven't  
21 found that large sum of money yet. So it sounds  
22 great, but there's still some problems with these  
23 electronic records.

24           And as we all know, there are issues with  
25 NMED in trying to get details. And I don't know

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 exactly that this was done to an electronic health  
2 record issue, but it seems like the way it's  
3 structured that -- because it's actually a pathway we  
4 considered going through when our hospital took the  
5 plunge into electronic medical records. For example,  
6 all of our diagnostic procedures do go through  
7 central scheduling, but for our therapeutic  
8 procedures we still schedule those ourselves within  
9 our own department because of the complexity of them.

10 So it sounds like they tried to do it all and ran  
11 into a snag or a snafu; regulatory terms, and have  
12 since backed away from it.

13 So I did do a quick non-scientific survey  
14 with a lot of my nuclear pharmacy friends around the  
15 country, and pretty much everyone's in the same  
16 situation we're at, at Kettering Medical Center in  
17 that we have a paper-written directive that stays  
18 paper the whole time. I mean, it's signed off  
19 physically by the physician and it goes into a file.

20  
21 Now some sites are taking an additional  
22 step, and we're considering this at our location, to  
23 where you actually scan that written directive into  
24 the electronic medical record as a PDF file. I did  
25 find one site in Iowa where they do truly have an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 electronic written directive from start to finish.  
2 They never had a paper copy. Well, I take that back.

3 They do it all electronic and then they print out a  
4 paper copy just so they have extra backup.

5 So in discussing this with Dr. Welsh,  
6 this actually might be a topic for another  
7 presentation, and maybe this could be baptism for our  
8 new health care administrator, that they could  
9 explain the complexities of the new electronic  
10 medical records and the different modules throughout  
11 a hospital and how they could potentially affect us.

12 CHAIRMAN THOMADSEN: So noted. Put that  
13 onto the schedule.

14 MR. EINBERG: Should that be one of the  
15 interview questions?

16 CHAIRMAN THOMADSEN: That's right.

17 (Laughter.)

18 CHAIRMAN THOMADSEN: Yes. Before or  
19 after they accept.

20 (Laughter.)

21 CHAIRMAN THOMADSEN: Mr. Fuller has  
22 something to do with it.

23 There are no other comments if you want  
24 to make a comment right now.

25 MR. EINBERG: I'd like to comment on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 database, if possible.

2 CHAIRMAN THOMADSEN: Please.

3 MR. EINBERG: And thank you, Dr. Welsh.  
4 Excellent presentation and very thorough and you  
5 certainly poked the system to try to, you know, use  
6 it. And it's a complex system with many fields and  
7 especially in advanced search. I came to quite a bit  
8 of appreciation for that last week while I was out in  
9 Idaho National Labs who runs the database for us.  
10 And we had a simple query that we were trying to run,  
11 however, there's a lot of nuances to this database  
12 and an experienced user can pull out all the  
13 information that you really need on this. And Idaho  
14 National Labs has been doing this for the past 18  
15 years. Something that we've done with a simple  
16 search, they were able to point out to us what we  
17 were doing incorrectly.

18 So what I'd like to offer you and the  
19 Medical Event Subcommittee is, you know, we have  
20 staff resources who can help query the database or at  
21 least reach out to Idaho National Labs to make sure  
22 that there is -- you're doing the appropriate search.

23  
24 And at this point I'd like to introduce  
25 Robert Sun, who's the NMED project manager. And so,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 you know, he went through your presentation also and  
2 did a very thorough analysis of all the comments and  
3 prepared some responses for each one of the comments  
4 to address why you're getting this information and so  
5 forth. And we can provide that to you or he's  
6 available right now to answer any questions you may  
7 have.

8 But the last thought I'd like to leave  
9 you with, you were questioning whether quality  
10 assurance was performed on this database, and there  
11 are two additional levels of quality assurance that  
12 goes into this. So it has quite an extensive quality  
13 assurance process at the Idaho National Labs. And  
14 the contractor goes out and reaches out to the  
15 agreement states as well to make sure that the data  
16 is complete. And so as you indicated, some of the  
17 data is new data that is maybe many years old.  
18 That's a function of them reaching out and also a  
19 function of the inspect process where the NRC goes  
20 out to the agreement states and does a periodic  
21 review of their programs. And I believe it's on a  
22 five-year schedule. And, you know, the agreement  
23 states, just like anybody before they're audited,  
24 they're always trying to get their records in order.  
25 And so there is oftentimes a large influx of new

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data to make sure that their records are in order.  
2 So that's part of the reason that there is new data  
3 that comes in.

4 But that's our oversight process of the  
5 agreement states to make sure that they are reporting  
6 the appropriate information into the database.

7 CHAIRMAN THOMADSEN: Dr. Langhorst?

8 MEMBER LANGHORST: I think with the plan  
9 of potentially making NMED open to licensees and so  
10 on, it will be just another look at the database and  
11 there will be some problems that will be identified.

12 But that's the nature of the database. I mean and  
13 when you look at it in a different way or you sort in  
14 a different way, you learn a lot more about your  
15 database, about what problems might have been  
16 identified, but then it just makes your database that  
17 much better.

18 And so I won't be surprised if that does  
19 come to pass and you find issues, even historical  
20 ones that maybe weren't right. Don't be discouraged.

21 (Laughter.)

22 MR. EINBERG: Absolutely. And the  
23 contractor at Idaho National Labs was grateful that  
24 we provided this feedback to them. So this will be  
25 useful feedback, you know, for them to improve their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 database as well.

2 CHAIRMAN THOMADSEN: Dr. Suleiman?

3 MEMBER SULEIMAN: I think one thing that  
4 came up during our deliberations, and I want to sort  
5 of reemphasize for the record, these are just very  
6 low numbers. I mean when you realize that there are  
7 17 or 18 million nuclear medicine procedures done  
8 annually -- and, you know, the others are obviously  
9 smaller, but you're not getting any sort of critical  
10 mass here for any of these.

11 I mean and they're anecdotal and we can  
12 learn from -- you know, I think probably the most  
13 important -- the biggest value you get out of this is  
14 looking at them on an individual basis and see if  
15 there are lessons learned here. But in terms of any  
16 kind of a trend -- and I think the seeds maybe were  
17 high only because of the VA incident of a few years  
18 ago. So that just sort of raises awareness. I mean  
19 that's a well-known fact. When there's publicity,  
20 you see a spike in certain types of procedures.

21 So these are interesting, but I don't see  
22 any upsetting kind of trend here right now, except  
23 for -- again, I think the feeling was that there just  
24 seems -- if you want to say what cuts across all of  
25 them, this lack of attention to quality control, this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 failure to pay attention to some of the things that  
2 are either beneath us to do on a regular routine  
3 basis, the things that are boring. But that's where  
4 I think these sites get into trouble.

5 MEMBER WELSH: I'd like to follow up on  
6 what Dr. Suleiman just said with this slide here that  
7 is very valuable, and I again appreciate getting this  
8 IMV data, albeit 2010 information. But if there were  
9 924,000 radiotherapy courses, and at the bottom you  
10 see 8.5 percent were brachytherapy radionuclide,  
11 somewhere around 75,000-80,000 cases per year.

12 Therefore, whether my number is correct  
13 or Dr. Howe's number from the spring is truly the  
14 correct one, we're still talking about around 0.1  
15 percent, which indicates that we're not going to be  
16 identifying real trends, but we might be able to say  
17 that this is a relatively low event procedure. All  
18 of these are.

19 CHAIRMAN THOMADSEN: Dr. Guiberteau?

20 VICE-CHAIR GUIBERTEAU: Could you  
21 elaborate on the Part 300 medical events?

22 MEMBER WELSH: Oh, Part 30 were the ones  
23 that Mr. Mattmuller talked about, particularly the --

24 VICE-CHAIR GUIBERTEAU: You know, a  
25 little bit more information, just out of curiosity.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER WELSH: Sure. I think one of them  
2 was the admission order that was mistaken for an  
3 written directive. And another one was -- Steven?

4 MEMBER MATTMULLER: The first one, this  
5 one, 120548, that was a confusion of admission  
6 orders, lack of written directive, going through the  
7 central scheduling system, where 163 millicuries of  
8 iodine-131 were administered when they only wanted  
9 100 millicuries to be administered. So it was a big  
10 overdose.

11 The second incident was a planned dose of  
12 100 millicuries of iodine-131 and it was supplied in  
13 two 50-millicurie capsules. One of the capsules  
14 stuck to the plastic container, and so the patient  
15 only got one of the capsules.

16 Which, actually I forgot, that raises a  
17 question for staff. You guys inspect for DOT regs,  
18 right, at licensees? It seems to me that that site -  
19 - well, actually it's not clear from the NMED report  
20 that they didn't follow proper procedures for  
21 preparing that package properly to be sent back to  
22 the radiopharmacy, because if it had, they would have  
23 noticed there was 50 millicuries of iodine in here,  
24 because that would be detectable in today's packages.  
25 So it seems like there's another oops in that report

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that didn't really get reported, or at least it's not  
2 described in detail in the report. But that was the  
3 second incident.

4 VICE-CHAIR GUIBERTEAU: So both of the  
5 events related to radioiodine therapy, is that  
6 correct?

7 MEMBER MATTMULLER: Yes.

8 VICE-CHAIR GUIBERTEAU: Okay. Thank you.

9 CHAIRMAN THOMADSEN: Dr. Suh?

10 MEMBER SUH: I mean obviously it's been  
11 shown the instance of these events is very small.  
12 You're looking at less than 0.1 percent. But if  
13 someone wanted to learn -- like you mentioned lessons  
14 learned from these various events, these 62 events,  
15 or whatever number you want to use. Is there a  
16 mechanism that someone could actually learn from it?

17 I just want to get an idea of what type of events  
18 occurred for prostate implant, or what kind of events  
19 occurred for TheraSphere. I mean is there a way to  
20 manufacture and we can get easy access to this?

21 MEMBER WELSH: Well, if you mean somebody  
22 -- lay public? Some member of the lay public wanted  
23 to --

24 MEMBER SUH: Just like a physician.  
25 Let's say I'm someone in training and I may go out in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the real world. You know, an authorized user. I  
2 just want to get a sense of --

3 MEMBER WELSH: Well, I think that unless  
4 you have access to the NMED database yourself, you  
5 rely on the reports that we generate twice a year,  
6 one by Dr. Howe and the other one by myself. And  
7 then you could comb through those. And I know  
8 individuals have contacted me and said is there  
9 anything that you can share about this type of an  
10 event or that type of an event? We're wondering if  
11 we should introduce it at our hospital, or etcetera.

12  
13 So I think that that is one mechanism  
14 wherein a physician can get more information, because  
15 our reports are made available to the public on the  
16 Web site.

17 MR. EINBERG: In addition, there's the  
18 NMED Annual Report as well. And so that's a  
19 compilation of all the different types of events out  
20 there. And there's descriptions of the events of  
21 interest. So that's a good resource as well.

22 But unless you have access to the  
23 database as a, you know, ACMUI member or an Agreement  
24 State or NRC employee, you really don't have access  
25 as a member of the public to that information, you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know, to do your own kind of queries.

2 MEMBER SUH: It's not so much a query.  
3 Just in terms of trying to educate the next  
4 generation of, you know, physician users. I think if  
5 you were to -- and my suspicion at least is that if  
6 you were to hold training programs, particularly in  
7 radiation oncology, you know, what kind of events are  
8 occurring, they probably don't have a good sense of  
9 that.

10 MEMBER WELSH: Well, I think as an  
11 example of how the information could be used by a  
12 physician or for educational purposes there was an  
13 abstract presented at last year's ASTRO meeting based  
14 on the annual exercise that is done here. And the  
15 point of the abstract was that a number of prostate  
16 medical events were evaluated and subsequently found  
17 to be not medical events when a repeat CT scan was  
18 done and the volume was different on that repeat scan  
19 and the dose was not the underdose to the prostate  
20 that it was initially believed it was.

21 And the point of that was that the  
22 medical event definition should not be good on  
23 Monday, but not on Tuesday. And therefore, the  
24 medical event definition deserved revision. So that  
25 would be an example of information or scientific

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 publications or presentations that were based on  
2 material that was discussed here.

3 MR. EINBERG: If I may, additionally we  
4 ask the contractor once a year to do a special  
5 trending study or a special analysis study. And if  
6 there's something of interest, you know, if there is,  
7 you know, for instance an increase of -- outside of a  
8 medical area, an increase of radiography events,  
9 overexposures, then we may ask to do a special study  
10 on that.

11 I believe the VA was the source of a  
12 special study. And this gets fed into our Agency  
13 Action Review Meeting. And so if there is a, you  
14 know, special study that the Committee here would  
15 like, then we can get our contractor to, you know,  
16 focus on something as well.

17 CHAIRMAN THOMADSEN: And we have a  
18 comment from the public.

19 MS. FAIROBENT: Two things. Lynne  
20 Fairobent with AAPM. Dr. Welsh, on the IMV data did  
21 you also or did NRC also purchase nuclear medicine  
22 data so that we might know the denominators for  
23 microspheres, or did they only purchase the therapy  
24 seeds?

25 MEMBER WELSH: The information that I saw

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 was on external -- all radiotherapy courses and it  
2 included these here.

3 MS. FAIROBENT: Okay.

4 MEMBER WELSH: And this was the  
5 information that I had at my disposal.

6 CHAIRMAN THOMADSEN: The answer is from  
7 Ms. Cockerham.

8 MS. COCKERHAM: And Sophie can confirm  
9 this. It depends on if the report is available or  
10 not. I don't know exactly what we bought. I know  
11 also that these reports range in the thousands of  
12 dollars. And so typically we buy excerpts of the  
13 report. So sometimes they're right, sometimes  
14 they're not. It also depends on budgeting. And I  
15 think we had to pick and choose which reports we  
16 could get at the particular time based on the  
17 available budget.

18 MS. FAIROBENT: Yes.

19 MS. COCKERHAM: So I don't know exactly  
20 yes or no for nuclear medicine, but I know there are  
21 multiple reports that we need to put all of this  
22 together.

23 MS. FAIROBENT: Yes, I was really curious  
24 on the denominators for the microspheres.

25 MS. COCKERHAM: And interesting thing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about that is we know the denominators for the  
2 microspheres from another source, and they don't  
3 necessarily match up exactly here. And I don't  
4 believe that they're -- you're not able to separate  
5 them out in this group, are you?

6 CHAIRMAN THOMADSEN: I think the answer  
7 is no you can't. And because they're done in  
8 different facilities by different people they sort of  
9 wash down the cracks between the reports.

10 MS. COCKERHAM: When I was looking -- we  
11 did the actual Y-90 event analysis. I peeked -- we  
12 didn't have this 2010. I had the 2007 version that  
13 we used for the previous analysis for the  
14 Subcommittee. And when I looked at it, I couldn't  
15 really get the numbers out of there. And I knew what  
16 the numbers were. So it was a matter of are they in  
17 here? Can I find them? It wasn't easy to extract.

18 MS. FAIROBENT: Thanks, Ashley. If the  
19 Committee is going to look at electronic records,  
20 AAPM -- as part of their training for the Conference  
21 of Radiation Control Program Director's Meeting in  
22 2010, we did include electronic records, at least as  
23 it applies potentially in brachytherapy. So we'd be  
24 happy to update that if you wanted us to, also, if  
25 you're doing that topic.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 CHAIRMAN THOMADSEN: Thank you. Dr.  
2 Langhorst?

3 MEMBER LANGHORST: I just wanted to  
4 remind people because this confused me no end as I  
5 was a newbie on the Committee, but NMED stands for  
6 Nuclear Material Events Database. And it's not just  
7 medical. Even though it sounds like it is, it isn't.  
8 So there's a lot of sorting to do to just get to the  
9 medical, the events that deal with medical use.

10 CHAIRMAN THOMADSEN: Dr. Welsh?

11 MEMBER WELSH: And to that point, when  
12 you're doing a search, as Dr. Thomadsen and I  
13 discussed through email, there are several ways to  
14 get to the same end point. I think, Bruce, you used  
15 a method that was a different approach than the one I  
16 did where I went to advanced search, medical event  
17 details menu, therapeutic procedure. I think you did  
18 advance search, general event info, event type  
19 medical and then event date and had fewer challenges  
20 with things being out of sequence than I did. But I  
21 guess the point is that there may be many ways of  
22 skinning the cat, but there's a lot of cats in there,  
23 too.

24 (Laughter.)

25 CHAIRMAN THOMADSEN: Mr. Einberg, did you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have another --

2 MR. EINBERG: Yes, Sophie pointed out, to  
3 get back to Dr. Suh's point as far as the public  
4 availability of some of this information -- she  
5 reminded me that we do have an event notification  
6 reporting system on our public Web site. Now  
7 [inaudible] that, but, you know, all the events  
8 coming in. We post them on the public Web site.  
9 They're held for five days before being publicly  
10 released. But that is a source of the event  
11 information as well.

12 CHAIRMAN THOMADSEN: Thank you. Any  
13 other comments?

14 (No audible response.)

15 CHAIRMAN THOMADSEN: Hearing none,  
16 today's session is done and we'll meet here again  
17 tomorrow at 8:30 in the morning.

18 (Whereupon, the meeting was adjourned at  
19 4:34 p.m. to reconvene at 8:30 the next day.)  
20  
21

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)