

Official Transcript of Proceedings
NUCLEAR REGULATORY COMMISSION

Title: Meeting of the Advisory Committee on the
Medical Uses of Isotopes: Open Session

Docket Number: (n/a)

Location: Rockville, Maryland

Date: Friday, May 9, 2014

Work Order No.: NRC-755

Pages 1-106

NEAL R. GROSS AND CO., INC.
Court Reporters and Transcribers
1323 Rhode Island Avenue, N.W.
Washington, D.C. 20005
(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

UNITED STATES OF AMERICA
NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

+ + + + +

SPRING 2014 MEETING

+ + + + +

OPEN SESSION

+ + + + +

FRIDAY,

MAY 9, 2014

+ + + + +

The meeting was convened in room T-2B3 of
Two White Flint North, 11545 Rockville Pike, Rockville,
Maryland, at 1:00 p.m., Bruce R. Thomadsen, Ph.D., ACMUI
Chairman, presiding.

1 MEMBERS PRESENT:

2 BRUCE R. THOMADSEN, Ph.D., Chairman

3 MILTON J. GUIBERTEAU, M.D., Vice Chairman

4 PHILIP O. ALDERSON, M.D., Health Care
5 Administrator

6 FRANCIS M. COSTELLO, Agreement State
7 Representative

8 VASKEN DILSIZIAN, M.D., Nuclear Cardiologist

9 SUSAN M. LANGHORST, Ph.D., Radiation Safety
10 Officer

11 STEVEN R. MATTMULLER, Nuclear Pharmacist

12 CHRISTOPHER J. PALESTRO, M.D., Nuclear Medicine
13 Physician

14 JOHN J. SUH, M.D., Radiation Oncologist

15 ORHAN H. SULEIMAN, Ph.D., FDA Representative

16 LAURA M. WEIL, Patients' Rights Advocate

17 JAMES S. WELSH, M.D., Radiation Oncologist

18 PAT B. ZANZONICO, Ph.D., Nuclear Medicine
19 Physicist

20

21 NRC STAFF PRESENT:

22 LAURA DUDES, Director, Division of Materials
23 Safety and State Agreements

24 PAMELA HENDERSON, Deputy Director, Division of
25 Materials Safety and State Agreements

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MICHAEL FULLER, Designated Federal Officer
2 SOPHIE HOLIDAY, Alternate Designated Federal
3 Officer, ACMUI Coordinator
4 DOUGLAS BOLLOCK, FSME/MSSA/RMSB
5 SUSAN CHIDAKEL, OGC/GCLR/RMR
6 JACKIE COOK, RIV/DNMS/NMSB-B
7 SAID DAIBES, Ph.D., FSME/MSSA/RMSB
8 JIM DWYER, RI/DNMS/MB
9 SARA FORSTER, RIII/DNMS/MLB
10 CASSANDRA FRAZIER, RIII/DNMS/MLB
11 SANDRA GABRIEL, Ph.D., FSME/MSSA/RMSB
12 JOE GIESSNER, RIII/DNMS
13 LATISCHA HANSON, RIV/DNMS/NMSB-A
14 MICHELLE HAMMOND, RIV/DNMS/NMSB-B
15 VINCENT HOLAHAN, Ph.D., FSME/MSSA
16 DONNA-BETH HOWE, Ph.D., FSME/MSSA/RMSB
17 KEVIN NULL, RIII/DNMS/MLB
18 DENNIS O'DOWD, RIII/DNMS/MLB
19 BRYAN PARKER, RIII/DNMS/MLB
20 PATTY PELKE, RIII/DNMS/MLB
21 WILLIAM REICHHOLD, RIII/DNMS/MLB
22 GRETCHEN RIVERA-CAPELLA, FSME/MSSA/RMSB
23 LIZETTE ROLDAN, Ph.D., RIV/DNMS/NMSB-B
24 MOHAMMAD SABA, RES/DSA/RPB
25 TOYE SIMMONS, RIII/DNMS/MLB

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 REBECCA TADESSE, RES/DSA/RPB

2 FRANK TRAN, RIII/DNMS/MLB

3 LESTER TRIPP, RI/DNMS/MB

4

5 MEMBERS OF THE PUBLIC PRESENT:

6 DAVID ALLARD, Pennsylvania Bureau of Radiation
7 Protection

8 MAXWELL AMURAO, Columbia University Medical
9 Center

10 SARAH BENDER, Ph.D., National Nuclear Security
11 Administration

12 LISA BRUEDIGAN, Texas

13 SUE BUNNING, Society of Nuclear Medicine and
14 Molecular Imaging

15 JESSICA CLEMENTS, Texas

16 PETER CRANE, unaffiliated

17 ROBERT DANSEREAU, New York State Department of
18 Health

19 RAY DIELMAN, Florida Department of Health

20 KAREN FLANIGAN, New Jersey Department of
21 Environmental Protection

22 CINDI GILBERT, North Carolina Nuclear Medicine
23 Technologists, Inc.

24 BRIAN GORETZKI, Arizona Radiation Regulatory
25 Agency

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 GEORGIA HEARN, American Society of Nuclear
2 Cardiology
3 ANGELA HILL, Arkansas Department of Health
4 CAITLIN KUBLER, Society of Nuclear Medicine and
5 Molecular Imaging
6 RALPH LIETO, Trinity Health System
7 JOSE MORALES, MD, Hima San Pablo (Puerto Rico)
8 VICKI MORRIS, University of Cincinnati
9 ELIZABETH PEETZ, Mallinckrodt Pharmaceuticals
10 MICHAEL PETERS, American College of Radiology
11 GLORIA ROMANELLI, American College of Radiology
12 DANIEL SNYDER, Geisinger Health System
13 TOD SPEER, MD, Willmar Regional Cancer Center
14 PARRISH STAPLES, Ph.D., National Nuclear
15 Security Administration
16 MICHAEL STEPHENS, Florida Bureau of Radiation
17 Control
18 JOY STEPHENSON, Florida Bureau of Radiation
19 Control
20 GLENN STURCHIO, Mayo Clinic
21 JULIE TIMINS, MD, unaffiliated
22 CINDY TOMLINSON, American Society for Radiation
23 Oncology
24 PAUL YURKO, Veterans Health Administration
25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7

A-G-E-N-D-A

Research on the Release of Patients Following
Iodine-131 Administration 16
Opportunity for Public Comment 49
NNSA’s Efforts on Reducing HEU in Molybdenum-99
Production 56
Administrative Closing 98

P R O C E E D I N G S

1:01 p.m.

1
2
3 CHAIRMAN THOMADSEN: Before we start with the
4 agenda we have one item on gallium from yesterday.

5 We created a subcommittee to address the
6 issues around the decommissioning plan for gallium-68
7 with Mr. Mattmuller as the chair. We had not
8 established the charge. We wanted to take a little time
9 to think about it.

10 And Mr. Mattmuller has developed a first draft
11 charge if you would like to read that.

12 MEMBER MATTMULLER: Certainly. Yes. It
13 would be to evaluate the cost of a decommissioning
14 funding plan, its effect on the future clinical use of
15 new gallium-68 grade pharmaceuticals and how
16 appropriate regulatory relief may be gained.

17 CHAIRMAN THOMADSEN: Thank you. Comments.
18 Mr. Costello.

19 MEMBER COSTELLO: It's a small plan. I
20 realize the target of decommissioning --

21 CHAIRMAN THOMADSEN: I can't understand a
22 word you're saying. It sounds like we're getting a lot
23 of the echo again. At least I am.

24 MEMBER COSTELLO: I'll speak more slowly,
25 does that help?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: Give it a shot.

2 MEMBER COSTELLO: I believe that this is
3 germanium-68 rather than gallium-68 that creates the
4 problem for decommissioning. So just to be clear in the
5 charge, that we're really talking about the
6 germanium-68.

7 CHAIRMAN THOMADSEN: Then why don't we make
8 that change in the charge.

9 MEMBER COSTELLO: And the other point is, and
10 I don't know how to put this in there. This is only a
11 problem because the table is wrong. Okay?

12 Regardless of what the cost may be if the
13 tables were consistent with every other isotope on the
14 table, we wouldn't even be discussing this. So I don't
15 think the burden should be that we have to show that --
16 how expensive it is to develop a decommissioning plan
17 for gallium-68 generators because actually displacing
18 them is fairly simple.

19 But that is unnecessary from any risk-based
20 sensible approach. And the problem really comes in not
21 with the disposable generator which we have here which
22 you could give back to the manufacturer and be done with
23 it.

24 But rather that in the use of an artificially
25 low value you wind up having -- for some places it being

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 decommissioning carbon-14 labs and tritium labs, that
2 otherwise you would not have to have a decommissioning
3 plan for.

4 I would hope the NRC would not require --
5 demonstrate the tremendous burden for disposing of
6 germanium-68 generators when that's not really the
7 heart of the problem. The heart of the problem is we
8 shouldn't be talking about it at all. That make sense?

9 CHAIRMAN THOMADSEN: Yes.

10 MEMBER MATTMULLER: I fully agree.

11 CHAIRMAN THOMADSEN: And I would assume that
12 issue would be coming out of the subcommittee's work.

13 MEMBER COSTELLO: And that's -- I think the
14 staff is in agreement. I mean, technically in
15 agreement I would think.

16 MEMBER LANGHORST: Steve, would you read the
17 first part again?

18 MEMBER MATTMULLER: Just given Frank's
19 comments. Can I --

20 MEMBER LANGHORST: Yes.

21 MEMBER MATTMULLER: The cost of a DFP for the
22 use of germanium-68 come -- its effect on the future
23 clinical use of new gallium-68 radiopharmaceuticals and
24 how appropriate regulatory relief may be gained.

25 MEMBER LANGHORST: I know that Ms. Dudes asked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 yesterday about getting cost and so on. But it's so
2 dependent on if it's just a clinic that's only going to
3 use this generator decommissioning funding plan isn't
4 going to be that big a deal.

5 But if it is an established licensee that may
6 have 3 labs, 20 labs, 100 labs, I don't know how we can
7 figure out the cost of a decommissioning funding plan.
8 I think we can give indication of the impact it would
9 have and be unfair to some licensees unnecessarily
10 because the numbers are not in the table and should be
11 in the table.

12 MEMBER COSTELLO: I would put that on the
13 staff if they've got the Appendix B value for
14 germanium-68, the lowest possible value. Considering
15 the radiological risk -- considering everything.

16 It's just an artifact of the history of the
17 regulation. If we could change regulation legally we
18 would get the regulation out and change it by hand. But
19 unfortunately that's not the way things are done.

20 CHAIRMAN THOMADSEN: Do you know what they
21 could change in there?

22 MEMBER LANGHORST: I would say that we might
23 want to evaluate the inconsistent or the unintended --
24 and I can't say it right. The unintended unfairness to
25 different licensees that this burden adds. I can't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 write it very well for you but that's - it's not a fair
2 measure because it has different impacts for different
3 groups.

4 And I don't know how we would figure out the
5 decommissioning funding --

6 CHAIRMAN THOMADSEN: I read that first line
7 and thought it meant the cost to society in which case
8 that would be --

9 MEMBER MATTMULLER: No, that was not the
10 intention. It would be the cost to the licensee.

11 MEMBER LANGHORST: So maybe --

12 CHAIRMAN THOMADSEN: It could go both ways.

13 MEMBER LANGHORST: Maybe if we -- sense of
14 cost, the implication of decommissioning funding, the
15 need for a decommissioning funding plan at various --
16 for various licensees.

17 CHAIRMAN THOMADSEN: That sounds good.

18 MS. DUDES: I think that we have the same
19 point. And I think we asked yesterday however you want
20 to frame the question. I think we added this idea of
21 cost just because -- but not necessarily some exact
22 quantitative analysis.

23 I think Donna-Beth had suggested yesterday
24 that what we're trying to do is get a recommendation from
25 you that would actually either put us into a rulemaking,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a direct final rule, or something to address this issue.

2 And in particular if it is the table we should
3 address the underlying cause rather than a specific
4 isotope or relief on that.

5 And so I think the suggestion was -- even if
6 it's qualitative to just get us down the road for having
7 to justify why we would do such a thing. And I wouldn't
8 spend a lot of time trying to exact the cost. But maybe
9 start us on a qualitative path for that type of analysis.

10 MR. FULLER: The only thing I would add as
11 something to consider is in situations like this when
12 it's really, really hard to quantify, to bring it down,
13 you might do some sort of bounding calculation.

14 In other words, say, you know, in the best set
15 of circumstances it would be in the range of. And in
16 the worst set of circumstances it could be as high as.
17 Something like that would be very helpful.

18 CHAIRMAN THOMADSEN: Dr. Howe.

19 DR. HOWE: It appears an [inaudible] the table
20 is the problem. So if we were to change the table that
21 would go [inaudible].

22 And if we were to change the table for this
23 isotope it would be good to have a recommendation of what
24 to change into and a basis for that. And that goes into
25 the concept of what -- because the more information you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can provide us with the more sure I will be [inaudible].
2 So I would defer to your charge...

3 MEMBER COSTELLO: I can do it now sitting
4 here, okay?

5 DR. HOWE: Say that again?

6 MEMBER COSTELLO: I can do it now, okay? I
7 don't know if you have a copy of the CFR but we have them
8 here.

9 We talk about Appendix B to Part 30, right?
10 That's where you get the numbers for decommissioning.
11 And the title of that is Quantity of Licensed Material
12 Requiring Labeling.

13 Well, it so happens that in Part 20 there's a
14 table called Quantity of Licensed Material Requiring
15 Labeling. And in fact it has a value for germanium-68.
16 There's not one in Part 30, but there's one in Part 20.

17 Well, you know, the -- if you look at the Part
18 20 one for germanium-68 it's in microcuries. If you
19 look at in Part 20 in the radionuclide it's 10
20 nanocuries. It's a lot different.

21 So maybe if you just -- basically it's
22 essentially the same thing. Essentially.

23 Part 20 is more generous in indicating
24 isotopes than Part 30 is. Just saying. They're both
25 from the same intention. They're both the intention to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be a risk-based frame with the number being, you know,
2 bigger numbers are associated with less risky isotopes
3 and smaller numbers, more risky isotopes.

4 Our number is truly [inaudible]. It's just
5 going from one page in this book at 602 to page 435 and
6 you may find some useful information. Just a
7 consideration.

8 MS. DUDES: Mr. Chairman, if I may. It's your
9 meeting to run as you would.

10 I would suggest -- I mean part of this -- the
11 whole idea of having a subcommittee is so that you guys
12 can provide us something in writing so that we can get
13 off a dime on this. And so we have a very important
14 topic coming up to do it.

15 And we will be able to act if you can develop
16 that and provide it to us in writing.

17 CHAIRMAN THOMADSEN: Yes. But we've learned
18 you need to have these charges written carefully and
19 covering what's supposed to be in here.

20 Can you read us back the charge as you have it
21 right now?

22 MEMBER MATTMULLER: Well, I haven't changed
23 it too much. But just to clarify, because what I'm
24 hearing is we have our charge but the conversation we've
25 had now are aspects of the information we need to include

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in our report which I've got half a dozen different items
2 here. So I don't know if that's -- if we need to put
3 all that detail into that. No.

4 So, okay, the charge as I have it now.
5 Evaluate the cost of a decommissioning funding plan for
6 the use of germanium-68, its effect on the future
7 clinical use of new gallium-68 radiopharmaceuticals and
8 how appropriate regulatory relief may be gained.

9 CHAIRMAN THOMADSEN: Sounds fine to me. Any
10 further comments?

11 MS. HOLIDAY: Dr. Thomadsen?

12 CHAIRMAN THOMADSEN: Yes.

13 MS. HOLIDAY: Just for the record I'm going to
14 repeat what we have from yesterday to today. So I have
15 on May 8 Dr. Thomadsen formed a subcommittee to provide
16 staff with background information to justify the
17 recommendation for the decommissioning funding plan
18 regulatory relief.

19 The subcommittee is specifically charged with
20 evaluating the cost of a DFP for the use of germanium-68,
21 its effect on the future clinical use of new gallium-68
22 for radiopharmaceuticals and how appropriate
23 regulatory relief may be gained.

24 Subcommittee members include Dr. Susan
25 Langhorst, Mr. Frank Costello, Dr. Palestro, Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Zanzonico and Mr. Steve Mattmuller as the chair. Is
2 that correct?

3 CHAIRMAN THOMADSEN: I think so. Does that
4 charge sound like what you just said? That sounds like
5 it to me.

6 MS. HOLIDAY: Thank you.

7 CHAIRMAN THOMADSEN: I think we stand. With
8 that we'll launch into this afternoon's agenda.

9 And we have with us Mr. Saba to tell us about
10 the status of the patient release study.

11 MR. SABA: Thank you. I'm the project
12 manager for the patient release study and it's my
13 pleasure to give you an update on this subject for the
14 next 15-20 minutes.

15 First, I would like to give you a short
16 background on the subject and then I think an update just
17 to refresh your memory.

18 According to the old rule the measure
19 illustrate dose from the patient on the human subject
20 is less than 5 millirems per hour at a distance of 1
21 meter. All the activity of the returning the patient
22 or human research subject is less than 30 millicuries.

23 This rule was changed in 1997. According to
24 the current rule, the licensees should make sure that
25 the total effective dose to any member of the public is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not likely to exceed 5 millisieverts as a result of the
2 [inaudible].

3 Of course, this rule was different. People
4 had different opinions on this. That's why the
5 Commission directed us to review publicly available
6 data on doses being received by members of the public,
7 the results of the application of 10 C.F.R. part 35.75
8 release criteria and also perform some collection of
9 data in the area where data is missing or is not enough.

10 Of course, an assessment of this rule is not
11 part of this project.

12 But basically the objective is to how well
13 these patient release practices are working and to what
14 extent that 500 millirem dose to the public is being met.

15 In this slide I give you the current status of
16 work. We have completed review of the technical
17 literature. We have completed dose calculations of
18 some situations not found in the literature that I show
19 you later. And also we have completed a contract to do
20 the field work to -- and I will talk to you about this
21 later. This work takes about 3 years after awarding the
22 contract.

23 Research staff has conducted an extensive
24 review they have done on the domestic and international
25 journals like Health Physics, Medical Physics,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Radiation Dosimetry and so on. And for medicine,
2 radiology and so on.

3 And also we have the new NCRP publications
4 related to patient release. We have reviewed ICRP,
5 IAEA and we looked at Commission's judgments that they
6 are related to patient release criteria.

7 Our review was focused more on internal and --
8 internal dose, external dose, effective dose, effective
9 half-life and dose calculation. And dose calculations
10 in Regulatory Guide 8.39.

11 NRC also has contacted [inaudible]
12 computational * with the new ICRP biokinetic model and
13 Monte Carlo calculation to reach a larger patient and
14 the target and extrapolate doses in greater situations
15 such as transportation, hotels, and nursing homes.

16 I would like to say more about the slide, the
17 phantom that was used known as PMO. This phantom was
18 developed at NRC last year but it's not public yet.

19 It contains all the relevant organs and
20 tissues with dimensions and densities that conformed
21 with the recommendations in ICRP 89.

22 The phantom has capability of bending the arms
23 and legs. This permits us to model the realistic
24 situations. And also it was necessary for us to know
25 the distribution of iodine in the body as a function of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 time following administration of the therapeutic doses.

2 That's why we use the new ICRP biokinetic
3 model. This model was produced later in the Oak Ridge
4 lab for ICRP. And doing a study using phantom and
5 biokinetic model showed that dominance [inaudible] was
6 some exposure from the patient, the thyroid and the
7 bladder.

8 So, we allowed the calculation to be performed
9 using PMO with iodine distributed in three different
10 organs, in thyroid and -- in thyroid, in the bladder and
11 the rest of the remaining tissue.

12 Two thyroid combinations were examined,
13 thyroid cancer patients and thyroid toxicosis patients.
14 Next slide.

15 I just show you the different scenarios that
16 they are missing in the literature and we did the
17 calculations by using ICM v6 [inaudible] and our
18 phantom.

19 These are the situations in transportation.
20 The first slide shows a patient standing next to a member
21 of the public. I won't go through the whole thing.

22 This is also transportation. This is
23 transportation, sitting patient behind a member of the
24 public. This is next sitting beside the patient, a
25 member of the public. And also this is another

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 situation in a transportation case, another
2 transportation case.

3 But also this is one can happen in hotel or
4 nursing home. This is a situation in nursing home and
5 a hotel where a patient is staying in one room and
6 another patient is in the other room adjacent to the
7 patient's room.

8 There is another case that we studied or we
9 calculated dose for. Okay, the last one is -- the last
10 one is also nursing home.

11 I just wanted to show you that we have done our
12 literature review and we have found what was missing.
13 And we tried to calculate what was missing in the
14 situation.

15 The field work opportunity, I can tell you that
16 these are just -- although I can give you the following
17 general information about the contract because it's not
18 public yet. The contract -- actually notice will be
19 posted in the Federal Business Opportunities website
20 within 2 weeks.

21 Basically in the first part of the contract we
22 want to know how many percentages of people went to a
23 location out of their homes or their relatives' homes,
24 i.e., like going to a hotel or a nursing home.

25 And also identify possible sites that we can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 go and collect that data. If it is possible to go to
2 any site and collect data under [inaudible] we can go
3 and collect data on doses received by the workers and
4 visitors.

5 And if it doesn't work then we have to perform
6 time and motion study to document and replicate patient
7 and member of the public exposure scenarios and
8 activities. And then combine this information with
9 what the -- replicate the calculation that we did in Oak
10 Ridge lab and come up and actually reconstruct doses for
11 members of the public. We might say members of the
12 public, the workers, you know.

13 This slide basically is a summary of the
14 project. We are looking for public exposure. Public
15 exposure can be internal, external.

16 For residents, they tell me we reviewed the
17 literature and we have an update on the patient
18 relatives. We are ready to give our recommendation to
19 the condition on that part.

20 But for hotel and nursing home as I said before
21 we don't have anything. Either we will be able to get
22 the information from the field work or a combination of
23 field work and our calculations.

24 And the general public exposures like
25 transportation, again, there was nothing in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 literature. And we calculated all the possible
2 scenarios that as mentioned we could.

3 The next -- this slide is basically our last
4 stage of our project. After we are done with the
5 literature review and calculations we inputted all of
6 finding into our Regulatory Guide 8.39.

7 What we do review equation use review
8 assumptions, these two plots. We have all the
9 [inaudible] and also interact with medical center. We
10 know that it's very important to, as you recommended
11 before, it was very important to us. And we get more
12 influence on the subject. Hopefully we will have a much
13 better Reg Guide this time.

14 If I'm [inaudible] NRC submit the results of
15 its review on calculation in the detailed report. The
16 draft report is under review. It's titled "A Review of
17 Technical Literature Dose Calculations and
18 Recommendations.

19 And once we receive the comments from the
20 offices we incorporate them and send it to -- submit it
21 to the Commission.

22 What's our next step? We have to wait for
23 direction from the Commission.

24 Thank you so much and I'm open to questions.

25 CHAIRMAN THOMADSEN: Thank you. Comments

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and questions from the Committee?

2 I just have sort of a business-related
3 question. You do if I understood correctly a research
4 contract or a contract presumably for some entity to
5 perform field maintenance. Is that correct?

6 MR. SABA: Yes. There are two tasks. I
7 can't tell the details, but there are two tasks. The
8 first task, we find out if there is a way that we can
9 go in one of these facilities and collect data.

10 If we can do it, as I said, we have to do it
11 within days.

12 CHAIRMAN THOMADSEN: Well, the reason I ask is
13 it just seems that if this -- is this going to be a
14 typical sort of like NIH research contract type peer
15 reviewed selection process?

16 MR. SABA: This is contract that are happening
17 with the offices that are responsible for contract.
18 They [inaudible] because it's small business, so only
19 small business companies can respond to this
20 solicitation.

21 CHAIRMAN THOMADSEN: So, universities and
22 other research institutions would not be allowed?

23 MR. SABA: I don't think universities are
24 considered small businesses.

25 CHAIRMAN THOMADSEN: It strikes me as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 suboptimal way. Because I think the most credible
2 entities in terms of scientific credibility would be --

3 MR. SABA: As far as businesses, they can use
4 universities. If they are affiliated with
5 universities then they can use universities.

6 MS. TADESSE: Hi. This is Rebecca Tadesse.
7 I'm the branch chief for the research group.

8 What we're doing is that the contract would be
9 coming in with the small business and we'll have a number
10 of panels that would look at it, some of them being from
11 FSME. And once that they're evaluated, if it's not the
12 correct mechanism, we'll go to --

13 MS. HOLIDAY: Sorry to interrupt you real
14 quick. Can you please identify yourself for the court
15 reporter?

16 MS. TADESSE: Hi, this is Rebecca Tadesse.
17 I'm the branch chief for the Research Division of
18 Radiation Protection.

19 So, we will look at it. If it's not the right
20 contract then we'll go to the next step. But we have
21 a panel that's going to be looking at it that are, you
22 know, Donna-Beth and others that will see whether or not
23 they're capable of doing such work.

24 CHAIRMAN THOMADSEN: Not to label [inaudible]
25 it just seems that especially sort of doing it in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 holistic guidance [inaudible] politically sensitive
2 nature of this it just seems that expanded research has
3 a contract including initially university-based labs or
4 research organizations rather than commercial entity
5 will give the result, will give the greatest
6 credibility.

7 MR. SABA: It's commercial - it's commercial.
8 Only small businesses can respond.

9 CHAIRMAN THOMADSEN: Why is that?

10 MR. SABA: That's the rule in the statute.

11 CHAIRMAN THOMADSEN: Oh, okay. So it's
12 legally required. I think that's the answer.

13 MS. TADESSE: And also, we will look at what
14 their capabilities are. So it's not that just because
15 it's a small business, if they're not capable of doing
16 it, they don't have the right makeup of people, we won't
17 go to that next step of vetting. First we have to go
18 through the steps to see whether or not.

19 CHAIRMAN THOMADSEN: Thanks. Dr. Welsh.

20 MEMBER WELSH: Thank you. I think my
21 question might have been answered, but first I want to
22 commend you for taking this important step. A number
23 of years back when this issue first reared its head, I
24 suggested that we could do all the calculations in the
25 world and be 100 confident in our calculations but until

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it's corroborated by some type of actual data there are
2 still going to be some naysayers out there.

3 And at that time I think I volunteered to
4 design a study. And so I hope that the study that you
5 are working on is very cost-efficient because this
6 shouldn't cost more than a few thousand dollars.

7 And I hope that you have consulted with members
8 of the ACMUI and medical communities to ensure that it
9 does have the scientific rigor that Dr. Zanzonico
10 alluded to and that the design will satisfy each and
11 every person in the end. Because that is our goal, to
12 make sure that we have an answer that is irrefutable in
13 the end. And I hope that --

14 MR. SABA: As far as I know we can't share the
15 statement of work or anything related to the contract
16 with ACMUI. It's our limitation and they're out of our
17 control.

18 MEMBER WELSH: It just seems -- I get it, but.

19 MS. TADESSE: Once again, we're going to get
20 the data and after that we will go through the scientific
21 process to evaluate it. We have a contract with Oak
22 Ridge which is -- they are our technical dosimetry
23 experts and will have people within NRC who probably
24 will come back to ACMUI with the results to look at.

25 But right now we're just trying to see whether

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or not it could be done and if the data could be
2 collected.

3 MEMBER WELSH: I guess if I could follow up.
4 I think that is my subtle point, that this should be
5 easy. And with all due respect to them as a DOE national
6 laboratory it probably isn't doing as much radioiodine
7 thyroid therapy as people in this room are.

8 And therefore there's tremendous expertise
9 available to the NRC for designing a study that would
10 answer the question effectively and definitively.

11 And I -- you have availed yourself of the
12 appropriate resources rather than relying on a
13 Department of Energy national laboratory which does not
14 do medical therapy.

15 CHAIRMAN THOMADSEN: Dr. Suleiman.

16 MEMBER SULEIMAN: I guess, I don't think
17 analyzing the data is going to be a problem. I think
18 the only problem will be where's the data coming from.

19 I mean, these are all licensed facilities so
20 I would assume, but I'm not sure, that all the licensed
21 facilities do all of this.

22 MR. SABA: We will go somewhere and collect
23 data. But if it is not possible we can't do anything.

24 I mean, the more I read papers the more hopeful
25 that we can get -- we can collect data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: Ms. Weil.

2 MEMBER WEIL: So I'm concerned about a
3 selection bias in -- with respect to the sites that would
4 be amenable to the collection of their data. It's
5 likely to be the sites with best practices rather than
6 sites that are less concerned with following the
7 regulations and the professional best practice
8 guidelines.

9 And I don't know that you will be able to
10 collect a balanced group of data to --

11 MR. SABA: So what do you suggest?

12 MEMBER WEIL: I guess I would suggest that in
13 your queries to sites that you make sure that you have
14 a very wide range of practice standards. Universities,
15 crowded offices, Medicaid clinics. All kinds of things
16 that might be producing different kinds of data rather
17 than just best practice data.

18 CHAIRMAN THOMADSEN: Dr. Suleiman.

19 MEMBER SULEIMAN: The only suggestion I make
20 is the confidence of radiation control program
21 directors. FDA has worked with them historically to do
22 samples of X-rays across the country. The States have
23 information on their sites. A similar process could be
24 where they will give you -- you could use that to collect
25 these sites that do this sort of thing and then you can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 select to your heart's content.

2 I'm not really sure that you're not missing
3 large sites, or all sites, or whatever. That's the
4 approach I would take.

5 MR. SABA: We will talk to CRCPD next two
6 weeks. So we will get inputs from them.

7 MEMBER SULEIMAN: I would -- short of using
8 your own database which apparently you seem
9 constrained, I think the other thing would be the one.
10 Because they provide this kind of information annually
11 for doing what's known as the NEXT, or Nationwide
12 Evaluation of X-ray Trends.

13 And they provided the sites to FDA. FDA
14 randomly selects them and reassigns these sites around
15 the country. And the States - it's a voluntary program
16 but they go and conduct the surveys at each and every
17 site.

18 And it's a random selection. And our
19 experience, my experience in my other life was when we
20 had data on a much larger scale -- statistics is
21 wonderful if it's a random sample.

22 So I don't think you'd need a lot. I just see
23 this as an extremely simple study. The execution may
24 be complicated. I would use them if you can.

25 MR. SABA: We have to have a reasonable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 distribution for field size and also for the site size
2 and also for [inaudible].

3 MEMBER SULEIMAN: Yes, it's doable. It's
4 done every year with another program.

5 MR. SABA: I can't talk about the contract.
6 That's why I'm tight. I can't talk about it.

7 MEMBER SULEIMAN: Well, that's why I'm just
8 suggesting. Maybe you're already doing this so that's
9 perfectly fine.

10 MEMBER ZANZONICO: It just strikes me that the
11 details of the contract are not disclosable. I think
12 there's a little debate about the calculation of
13 results. Whether by Monte Carlo or analytically the
14 results seem to converge. And the heart of this effort
15 and what's going to be the sites is the field data
16 collection. And it would seem the input of the
17 [inaudible] in the prime of the tests, in the prime of
18 the charges of this contract [inaudible] invaluable.

19 Because I, you know, with all due respect I
20 could conceive this in another scenario where the charge
21 is such that insufficient or inadequate data to finally
22 address the questions on the table might help.

23 MR. SABA: First, after we are done with the
24 comments it's going to be discussed in the next ACMUI
25 meeting. So our report includes researcher reviews and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 calculations. And you can go into details about it.

2 MEMBER ZANZONICO: Right, but I'm focusing
3 specifically this contract.

4 MS. TADESSE: Basically once the solicitation
5 is out it's in the federal website where we could share
6 that information with you and maybe [inaudible] that we
7 would evaluate what your inputs are. We could look at
8 that.

9 But right now the solicitation is not out so
10 it's difficult to discuss it because just the procedure
11 doesn't allow us to.

12 MEMBER ZANZONICO: And so there will be an
13 opportunity to modify it at that point?

14 MS. TADESSE: We could get feedback from you
15 guys at that point.

16 MEMBER ZANZONICO: Could that result in
17 modification of the contract proposal?

18 MS. TADESSE: I would expect. Yes.

19 MR. SABA: We might be able to modify, yes,
20 later.

21 MS. TADESSE: We might.

22 CHAIRMAN THOMADSEN: Dr. Welsh.

23 MEMBER WELSH: I don't mean to belabor the
24 same point over and over again, but this does strike me
25 as possibly being at odds with what I heard this morning

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about effective communication and utilization of
2 medical expertise on the ACMUI and our connections.

3 I think each one of us in this room, maybe the
4 majority, have a great deal of experience in designing
5 clinical trials and in essence this is just a clinical
6 trial.

7 It's a field study. We'll want to - I'm not
8 talking about the calculations. That's all been done
9 by the subcommittee and we hope that you come up with
10 the same results that will be addressed in the CEMA
11 [inaudible] what that amounts to.

12 But the field study is basically a clinical
13 study in essence with slight variation of that.

14 And we do have a lot of expertise in this room.
15 And it strikes me as a little bit surprising that we will
16 be reviewing this at the next ACMUI and provide our
17 comments and hope that if our comments are that we should
18 really revise this that we'll be able to heed that
19 advice.

20 It just seems a little bit unusual or
21 surprising that that expertise hasn't borne
22 [inaudible]. Particularly since it's been volunteered
23 two years ago or three years ago that at least a couple
24 of people in this room could easily design this for you.

25 CHAIRMAN THOMADSEN: You're members of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 general public. Right. You have to keep secret things
2 secret from. Everyone in this room is not an NRC
3 employee. This is open session -- well, that can be
4 changed.

5 But the point is that in closed session, in
6 closed session, right, in closed session we'd all be NRC
7 employees like you and Rebecca and. But you get my
8 point though.

9 While there are members of the general public
10 here, though not many, you're addressing helps other NRC
11 employees whose tasks, what we are doing here is the same
12 as yours.

13 So you know, we have security training. This
14 is a measure of security information we're talking
15 about. I don't see any reason, and maybe someone does,
16 why this information should be kept. It certainly
17 isn't need to know I would suggest.

18 We all have our little devices, you know. But
19 we could do this in closed session. What do you think?
20 I mean, Dr. Welsh, can we do it that way? And if we had
21 a closed session while we're here, any reason why we
22 couldn't be hearing this stuff?

23 MEMBER WELSH: I don't know the legal answer
24 to your question but I would welcome it if it were
25 technically legally possible.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. DUDES: So it strikes me in the same way
2 that I think it strikes Dr. Welsh that we are not
3 actually living to what I think we want to live to which
4 is really an engaged advisory board.

5 And I'm looking at Sophie and OGC over there.
6 I think all -- the action that we need to take as the
7 staff coming out of this is make sure that we're within
8 the FACA rules, right. And make sure that we're
9 following those rules and still achieving the results
10 that we want to achieve which is the only engagement.

11 I mean, I agree, I'm new here, but I'm sort of
12 looking at this and saying, well, we want early
13 engagement. We want early input. I think in my
14 opening remarks I said something about I don't want --
15 it would be really helpful with this body to have you
16 engaging when we're developing products as opposed to
17 reviewing and dispositioning the products.

18 And so -- but as I'm sitting here I'm also
19 thinking that there's some FACA rules that -- not that
20 they're insurmountable. You cannot say that we're
21 going to have some rules that are going to prevent us
22 from doing things as effectively as we can. But we need
23 to just take the action to work within the system that
24 we have.

25 And for us if it's making more documents public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 earlier, or you know, trying to get them out earlier so
2 that it is a collaborative effort as opposed to a review
3 and dispose and comment. Because that doesn't seem to
4 be the most effective use of people's time or money.

5 So I think there's an action to take here. I
6 know Rebecca wants to say something. And we're
7 probably not going to solve it. This is a process issue
8 and there's a technical issue that we need to discuss.

9 But I heard from Ms. Weil and Dr. Welsh and
10 after sitting through the morning's meetings I mean I
11 ask you for, well hey, what's an example of this. And
12 I think this is one of those -- and it's not necessarily
13 what technical expertise we have on our staff but the
14 most effective in our action as a committee.

15 MS. TADESSE: I just want to make a point that
16 this is a procurement requirement that we have to
17 follow. As the solicitation comes out we could offer
18 to the ACMUI or part of the ACMUI to be part of our panel
19 to review the solicitation. But it's -- we have to
20 follow certain rules that are put in place. So we
21 cannot share.

22 It's not a matter of security, or national
23 security or anything like that. It's a procurement
24 requirement. We can't share information before it goes
25 through the [proper channels] out to the public.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER COSTELLO: I assume if this
2 information is developed by other NRC employees. I
3 mean, it didn't just appear. And those NRC employees
4 were aware of what was in the solicitation, right?

5 MS. TADESSE: Yes.

6 MEMBER COSTELLO: So couldn't we be given
7 access to this as well? Because we're NRC employees
8 too.

9 MS. TADESSE: I have to go back to the OGC to
10 find out what the answer might be.

11 MEMBER COSTELLO: This is incredibly valuable
12 knowledge here. Arguably very expensive knowledge if
13 you had to go pay for it in the open market and have them
14 reviewing this problem for Gazillion [inaudible].
15 Even if my job for them to go out to do it it would be
16 a lot.

17 CHAIRMAN THOMADSEN: And depending on what
18 Bruce has said we could probably by engaging this body
19 sooner save resources on the part of the NRC going back
20 and making changes after they've made a determination
21 and then we've looked at it and it goes back. Dr.
22 Suleiman?

23 MEMBER SULEIMAN: First off, I think for
24 everybody else this process may be far enough along, but
25 we may not have much input. I mean, I think you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to appreciate they have a procurement process.

2 I think some of the issues that I'm concerned
3 about, I mean honestly, is whether as a group or
4 individually there's a lot of expertise here in the
5 whole variety of areas.

6 I know this has been discussed before. I
7 forget how many meetings ago. So for you guys to go
8 away, stay away and then sort of come in and say here,
9 the cake's in the oven, you'll get to taste it when it
10 comes out.

11 And I don't think we can micromanage it. I
12 think with due respect at this point it looks like the
13 ship has sailed. I think we're just going to have to
14 wait until it comes in.

15 I don't know all the details but I wouldn't
16 want us to micromanage your contract. I think you heard
17 what we wanted.

18 But I think it would have been really valuable
19 to sort of bounce some ideas off us and then take those
20 ideas and go back and bake your cake.

21 But I think I would hate for this thing to come
22 and we spend another exercise critiquing it. I mean,
23 this patient exposure thing I think goes back to when
24 I got on the committee. I mean, I guess you can drag
25 this out into the 22nd century. I mean, this thing is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just, it's never, never ending.

2 And I think -- I mean I have my opinions on this
3 thing but this is the sort of thing I think could it won't
4 bring a definitive end to it but it will keep it quiet
5 for maybe a couple of years until the next completely
6 new committee gets involved.

7 MS. TADESSE: We are in the earliest process
8 right now. So any input that we could get from you guys,
9 it would be helpful. And we're just at the solicitation
10 to get contract. We can change some of the statement.
11 It hasn't been let out yet. So that's what I'm
12 offering.

13 Let the solicitation go out and at that point
14 we'll go through FSME to get some input.

15 MEMBER SULEIMAN: But you've written your
16 scope of work. You've written the objectives of the --
17 right? That's way beyond.

18 MS. TADESSE: That would be my statement.
19 And we could work with you, you know, with FSME.

20 MS. DUDES: Again - I'm sorry.

21 VICE CHAIRMAN GUIBERTEAU: Again, I think the
22 point has been made by almost everybody here that we have
23 the need for information to try to determine whether or
24 not any rulemaking or any change in guidance needs to
25 be made.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 What I heard with the Commissioners this
2 morning, particularly from the Chairman is that she is
3 not willing to tolerate information that we collect that
4 is not considered valid, that is, the methodology in
5 which it was obtained. Those are the results.

6 Once we have the data it can be interpreted in
7 numerous ways once we translate data to information.

8 But I find it incredibly untenable that we
9 should have to sit here and go through this year after
10 year after year.

11 And if we really care about the people that
12 we're trying to protect we would want the best
13 information now and not in the 22nd century.

14 So, I mean I think this process is flawed. And
15 I realize we may have -- the train may have left the
16 station, but it may not be too late for us to hop on the
17 tail end of it.

18 Whatever we can do to get this going. Because
19 the results are going to come back to haunt everybody
20 including those who are collecting the information if
21 we don't do it right.

22 CHAIRMAN THOMADSEN: Any last comments on
23 this? You've heard our comments.

24 MS. DUDES: Yes and we will take that as an
25 action. And we have to, again, I think we're stuck in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a bit of a process but I don't think it's at all
2 insurmountable.

3 And I do want to reiterate what Rebecca was
4 saying, that although they have developed the
5 solicitation. Once that goes out we'll make sure that
6 that's accessible.

7 And if we need to make changes we'll make
8 changes. And we'll look for ways in the future to get
9 over this hurdle for early engagement.

10 MR. SABA: Also on the draft report with each
11 stage will be gone through a review.

12 MS. DUDES: That's in the literature.
13 Certainly.

14 MR. SABA: I'm sorry? That's -- no. Other
15 than this report that we have the other reports that
16 comes from the contractors. Anything -- we are
17 supposed to have a [inaudible]. A * should go to FSME
18 and all the FSME *.

19 MS. DUDES: Well, yes, and I agree. And I
20 their point is that even in designing they approach,
21 again, the early engagement. That is moving in the
22 draft report is really -- if you didn't agree with the
23 approach in the beginning then that's not going to be
24 very helpful. But we'll get through this, I agree with
25 you all very much on this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: But thank you very much,
2 Mr. Saba. And Dr. Zanzonico.

3 MEMBER ZANZONICO: I think -- well, I don't
4 think there's consensus on the research contract so I
5 don't think there's any point even there.

6 But my reading of the current draft report on
7 the dose calculations and on the review of the
8 literature I think is very consistent with the
9 prevailing scientific consensus.

10 For example, in NCRP Report No. 155 and in
11 various papers that in fact the internal contamination
12 dose does appear to be minimal to the point of being
13 negligible. And that the doses to individuals measured
14 in a home environment with dosimeters would find uptake
15 measurements.

16 And I emphasize a [inaudible] thyroid
17 individual has radioiodine uptakes on the order of 25-40
18 percent. And those uptakes, the activities can be
19 measured extraordinarily sensitively, the thyroid
20 uptake, probes and [inaudible] methods.

21 And the lack of thyroid uptake that's been
22 shown in the literature studies among family members,
23 where there were a range of radiation precautions
24 recommended and observed I think are very compelling
25 data in terms of the lack of internal dose from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 contamination.

2 Again, I think it won't be settled until
3 there's a systematic field study such as the one that's
4 being planned. But I think the data on that point, the
5 peer reviewed scientific literature are already fairly
6 compelling.

7 Likewise the estimation of external dose by
8 patient and family members wearing dosimeters, by
9 calculational methods, whether analytic or Monte Carlo,
10 also seem to converge since it's a good point where the
11 total doses are really under the 500 mg limit and often
12 on the order of 100 mg or less.

13 So beyond reiterating those points I don't
14 think there's anything new that I can contribute on this
15 issue.

16 But I think the collection of field data, of
17 properly designed, properly vetted data hopefully will
18 be decisive in convincing in a robust way the current
19 release criteria are or are not adequate.

20 MEMBER WEIL: Just a quick question about the
21 phantoms. You don't have a child phantom or an infant.

22 MR. SABA: No.

23 MEMBER WEIL: And it's my understanding that
24 the thyroid uptake in children is different than adults?

25 MR. SABA: No, for child we are not using --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this is for external dose.

2 MEMBER WEIL: External.

3 MR. SABA: Not internal. And for external,
4 for child dose is much better than adult.

5 MEMBER WEIL: It's lower? Is that what you're
6 saying?

7 MR. SABA: It's lower. Because the height is
8 --

9 MEMBER WEIL: Yes, children held in arms are
10 the same height as adults.

11 MR. SABA: Yes for child. But --

12 MEMBER WEIL: That's how children came to be
13 carried and standing. We have been in a New York City
14 subway lately.

15 DR. HOLAHAN: I'm Dr. Vince Holahan.
16 Previously I've been a senior-level advisor for health
17 effects research in the Office of Nuclear Regulatory
18 Research.

19 In the last 3 years I've been senior advisor
20 for FSME. Now, just a couple of points we'd like to
21 clarify when we're dealing with Mohammad's study here.

22 First of all, we're about to go into federal
23 acquisition space. And if you've ever seen any
24 requests for proposals it's a 30-page document. Most
25 of it's boilerplate except for about one page which is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the statement of task.

2 And the statement of task has some very broad,
3 general requests that we'll make from a contract offer.

4 What happens then is the potential offeror
5 will spend approximately 30 days putting together
6 proposals that would address our statement of task.

7 When we receive all of those proposals we'll
8 actually convene a board if you will to review those
9 contract proposals.

10 And it's at this point we could possibly put
11 a member of your committee on that review panel to take
12 part and look at the actual designs that come in.
13 Because quite frankly we have no idea what the designs
14 are going to be.

15 So if that sounds like it would be a good idea,
16 whether it be Dr. Welsh, Dr. Zanzonico, or some other
17 member it's very possible to have them on this.

18 Now, keep in mind because it's in federal
19 acquisition space they cannot then discuss those
20 contract proposals with this committee. There's
21 basically, you know, it's gotten very silent and there's
22 very much concerns about conflict of interest. And any
23 information given out to a proposed contractor will get
24 some sort of damage.

25 And that's why in this space we really can't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 go into the details about that statement to ask because
2 it could give some contractor an advantage and we can't
3 have that. Otherwise the whole process could be
4 challenged.

5 CHAIRMAN THOMADSEN: Can I ask you, when
6 you're writing that one page describing what you want,
7 do you feel that that gives you some control over what
8 you would be getting back as far as the proposals?

9 DR. HOLAHAN: Yes, very much so. Whether it
10 be a contract proposal for this or going to the National
11 Academies you've got to be very explicit in what you're
12 looking for in that statement of task.

13 CHAIRMAN THOMADSEN: And I think that that's
14 the point that this committee was making. That our
15 input would be most efficacious if it were in doing the
16 design of that one page as opposed to reviewing the
17 proposals that come back.

18 Dr. Welsh.

19 MEMBER WELSH: Going back to what Dr.
20 Zanzonico has said recently regarding potential input
21 that we could be invaluable for, I think most of us in
22 this room are either journal editors, or editorial board
23 journals, or at least peer review.

24 And there's an advantage regarding approval
25 studies and field studies. A journal can junk out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And I think that as peer reviewers and journal editors
2 we feel very strongly about that.

3 There's probably been many times when I and
4 many of you in the room have read papers and said this
5 shouldn't even be published. It's certainly not going
6 to be published in my journal.

7 And I would hope that when the study is
8 finished it's not going to be of that caliber. It's
9 going to be of the utmost caliber and it would be
10 something that will definitively answer the challenges,
11 questions that Dr. Macfarlane posed this morning in
12 system-wide data but good data.

13 Definitively and to the best -- given that we
14 can answer the important questions raised by Mr. Crane
15 over the past seven years.

16 This is an opportunity that should not be lost
17 that we should take very seriously and provide the best
18 possible data to provide the answer whether it
19 corroborates or refutes our calculations.

20 And as a constructive criticism if what I just
21 heard, that the field study might exclusively measure
22 external but not internal radiation, there's a flaw
23 there. Because Dr. Zanzonico has pointed out --

24 MR. SABA: -- to the calculation.

25 MEMBER WELSH: Well, I'm talking about field

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 studies now. So, there's input that could be done that
2 and we're happy to provide that to you.

3 CHAIRMAN THOMADSEN: Thank you. I think the
4 last comment. We've made pretty much this point.

5 MEMBER ALDERSON: All right. I haven't
6 commented before. It'll be sort of in a different
7 direction.

8 So as the administrator here I think I
9 appreciated very much, and sorry, I didn't get your
10 name, but what you just had to say.

11 So yes, it would be wonderful to have our input
12 at all points, at all times in all these projects. But
13 the government is going to issue an RFP and as a conflict
14 of interest issue, we can't do that.

15 So if any one of us happens to have stock in
16 a company that does a study a certain way and we say hey,
17 that's the way you've got to do this thing because that's
18 the right way, I mean we can't do that.

19 So in fact, there is an administrative reason
20 why we can't have all the access that we want to have.
21 I just think we have to understand that and we have to
22 know when to back off.

23 I don't think we've backed off quite far enough
24 on this one. I think we've been a little too
25 aggressive. That will be my final comment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: Okay. I think that --
2 and I'm sorry to cut you off, but we've had the science
3 discussion.

4 VICE CHAIRMAN GUIBERTEAU: I just want to
5 point out in our bylaws that you were all commenting on
6 there is an opportunity for each of us to declare, either
7 self-declare or it can be declared for us recusing
8 ourselves because of conflicts of interest or bias of
9 any sort.

10 So, I mean I'm not sure that what you're saying
11 would be absolutely true in this case if we all admit
12 what our biases are.

13 CHAIRMAN THOMADSEN: Right. We just have to
14 control our conflicts.

15 I believe we have on the line a member of the
16 public who would like to make a statement. Are you
17 there? Dr. Crane? Or Mr. Crane?

18 MR. CRANE: Yes, please.

19 CHAIRMAN THOMADSEN: Mr. Crane, welcome. We
20 have a statement that you have given to us. It's been
21 distributed to the Committee and it's available here for
22 the members or the general public.

23 Would you like to make a statement?

24 MR. CRANE: Thank you very much. I don't want
25 to read off what I've already submitted to you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: No, I don't think --

2 MR. CRANE: -- on my computer because I'm
3 getting duplicate noise.

4 CHAIRMAN THOMADSEN: Yes, Mr. Crane, if you
5 can - I'm getting some feedback now. If you can hear
6 and make the statement you have five minutes.

7 MR. CRANE: Well, thank you very much. I'd
8 like to respond to a couple of things that have been said
9 today.

10 I think that I agree with Dr. Zanzonico that's
11 important to collect field data. I think I agree with
12 Dr. Welsh that this is the best way to assure that the
13 concerns that are felt by members of the patient's
14 community and others are satisfied.

15 I agree with Laura Weil that it's important
16 that we not look only at the best institutions. You
17 don't judge high school education in this country by
18 looking only at Boston Latin and Bronx Science, and you
19 can't judge simply by Sloan Kettering and Mass General.
20 You do need the range.

21 I also agree with Dr. Welsh that you have to
22 look at internal dose. Given what ICRP 94 says about
23 internal dose, it just can't be explained away.

24 I have said in the past that I think that as
25 far as patient instructions are concerned, NCRP 155 is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a great place to start. I've praised it in the past and
2 Dr. Zanzonico for his role as co-author.

3 But I will note a few things about that report
4 that I think are significant. That the instructions
5 include saying that the bed linens of the I-131 patient
6 ought to be laundered separately and put through the
7 rinse cycle twice which to me seems to let out sending
8 patients to hotels.

9 There's an instruction that patients should
10 flush the toilet twice after using it, rinse the shower
11 stall, tub, et cetera. Wipe up spills of urine, saliva
12 and/or mucus with tissues and flush it down the toilet.
13 All of that tells us that bathrooms are a source of
14 contamination that can be harmful to others and that's
15 why I think that you can't dismiss internal
16 contamination as negligible and you can't do a study of
17 hotel rooms that doesn't look at the bathroom.

18 I think it's also significant that NCRP says
19 that release limits are on an annual basis, not a
20 per-release basis. And I quote, "The foregoing limits
21 are annual totals and therefore do not apply to
22 individual treatments but collectively to all
23 treatments a patient may receive in a given year."

24 And that's consistent with the ICRP,
25 consistent with the NCRP that these are on an annual

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 basis, not per-release.

2 The report also says that the maximum
3 allowable radiation dose to members of the public, and
4 that's people defined as those who have no familial
5 connections to the patients and to whom there's no
6 emotional benefit, had a limit of 100 millirems per
7 year.

8 Given that the NRC rule is five times that, I
9 see the report as calling for changing the rule to
10 conform to international and national standards maybe
11 in the direction of something like Part 20 which are the
12 split 500/100 standard.

13 And finally, the report makes clear that
14 through the wall exposures are problematic and has to
15 be taken into account. It says, "Other patients
16 confined in the medical facility may be unintentionally
17 exposed to patients receiving radionuclide therapy.
18 The usual source of this exposure is occupancy of the
19 room immediately adjacent to a patient receiving
20 therapy."

21 And if that's true in a hospital, it's
22 certainly true in hotels. I'm interested to see that
23 the -- Dr. Saba's presentation, that one of the
24 scenarios he takes into account is beds in adjoining
25 rooms that are head to head. And if that's the case,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you've got a thyroid to thyroid distance that is a lot
2 closer than the 2.2 meters estimated by Dr. Zanzonico
3 in the 2010 report.

4 So on all of those points I think that NCRP 155
5 is on the right track and I hope that that right track
6 will also be adopted by the Committee. And having said
7 that I think I'm done unless anybody's got a question
8 for me.

9 CHAIRMAN THOMADSEN: Thank you very much for
10 your comments. Are there any questions for Mr. Crane
11 amongst the Committee?

12 We have a comment from a member of the general
13 public, if you could identify yourself.

14 MS. BUNNING: Sue Bunning with SNMMI. And I
15 wanted to just share that at lunch today after listening
16 to all the discussion this morning about instructions,
17 as many of you probably know, we have extensive
18 information on the SNMMI website.

19 We also have a brochure, that our conversation
20 at lunch today with AAPM, ACR, ASTRO, we all were
21 together and discussing ways in which to push the
22 information out.

23 But we would welcome the opportunity to work
24 with this group on reviewing the instructions that are
25 already out there which, you know, a lot of those of you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in the room have been part of creating those and working
2 with those going forward on that.

3 And take it upon ourselves to work
4 collectively at the medical societies on reviewing
5 those instructions and how we do a better job of pushing
6 them out.

7 CHAIRMAN THOMADSEN: Thank you very much.
8 And seeing one more comment. We do have one comment.
9 Pat Zanzonico.

10 MEMBER ZANZONICO: It's Pat Zanzonico. It's
11 always a pleasure hearing from you and you're popular
12 with comments about NCRP 155.

13 I'd just like to clarify some points and
14 whether your citations to 155 are correct.

15 A number of those in terms of washing bed
16 linens twice, et cetera, et cetera, are really ALARA,
17 as low as reasonably achievable. And I put the emphasis
18 on reasonable.

19 For example, one could reduce public doses
20 further, for example, by somehow confining diagnostic
21 nuclear medicine patients from leaving the hospital.
22 They contain activity; they irradiate individuals
23 around, but at very low doses, but non-zero doses. But
24 that would be completely impractical. The number of
25 patients on a daily basis undergoing diagnostic nuclear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 medicine studies would make those sorts of measures
2 impractical.

3 And what one can and perhaps should do in their
4 own home in an environment under their own control like
5 flushing the toilet twice, so forth and so on is
6 different than what one could and should expect in a less
7 controlled environment.

8 It doesn't meant that not performing those
9 measures is significantly hazardous, it's just an
10 overabundance of caution in an environment in which it's
11 very easy to do so and doesn't otherwise impede the
12 optimum ability of healthcare.

13 The other issue I'd like to emphasize, that you
14 do allude to the 100 millirem limit. And as I said when
15 I was on the NCRP scientific committee that wrote that
16 report, I do not endorse that limit. The committee was
17 bound to adhere to that limit or recommended dose
18 because that was the one promulgated by the NCRP.

19 I do not personally endorse it at all. I would
20 have opted for a 500 millirem limit. So that's neither
21 here nor there because that's what's in the report.

22 The -- and just one final item about the
23 flushing twice. That has nothing to do with
24 contamination. Many toilets in non-public buildings,
25 in homes have traps beneath the bowl where the activity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 remains until the next flush. Often that's not the case
2 in public buildings and hotels and so forth which have
3 different kinds of plumbing. So I just wanted to make
4 that point.

5 But again, some of the precautions on the NCRP
6 155 were in the spirit of ALARA and those precautions
7 can in fact should be done at home in that spirit. That
8 does not mean they can or should be translated to other
9 environments.

10 MR. CRANE: I appreciate that. Could I say
11 just one thing more?

12 CHAIRMAN THOMADSEN: One thing.

13 MR. CRANE: That in the spirit of ALARA I think
14 that one of the productive areas for thought is are there
15 things we can do short of hospitalization that could
16 reduce dose such as keeping people in a safe room for
17 a few hours until they've had their first urination; for
18 example, something to get past the area in which
19 vomiting is most likely.

20 And I hope that we don't think solely in
21 all-or-nothing terms and can think creatively about --
22 or facilities short of a hospital that could serve as
23 a safe place. I hope we think about some of these
24 intermediate ideas.

25 CHAIRMAN THOMADSEN: Thank you very much for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that final comment. I think thinking outside the box
2 is possibly a good approach in this case.

3 With that I think we're closing this topic.
4 Thank you very much, Mr. Saba.

5 We have Dr. Staples and Ms. Hamilton. Please,
6 we will now have a presentation on NNSA's Efforts for
7 Reducing Highly Enriched Uranium in Molybdenum-99
8 Production.

9 DR. STAPLES: I would like to -- so we've had
10 a change in staff that's come along with me. Dr. Sarah
11 Bender from my staff is accompanying me today instead
12 of Ms. Hamilton. She also -- Sarah also works on the
13 NNSA program.

14 And you have our slide set that we're going to
15 go through today. And I was asked to make it different
16 from the previous presentations because I have been here
17 in front of this board before. And thank you very much
18 for bringing us back again so we can present the status
19 updates on our program.

20 I will give you a few slides that are somewhat
21 redundant from previous presentations. I don't want to
22 insult your intelligence in that respect. I do want to
23 make sure that any new entities in the room do have a
24 reasonable baseline for how we go through some of the
25 major issues that we are facing in the future

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 molybdenum-99 supply.

2 And to preface the discussion it is primarily
3 on the economic and the commercial side of the industry
4 where the major issues are now facing us, let's say, a
5 collective group to ensure a reliable supply for patient
6 needs in the future.

7 But we also achieve other international
8 commitments regarding threat reduction activities
9 which we also manage in this program.

10 So first and foremost I am the director of the
11 European and African Threat Reduction Office, who also
12 has a functional responsibility for the conversion of
13 civilian research reactors and medical isotope
14 production processes from the use of highly enriched
15 uranium to low enriched uranium to accomplish an
16 international threat reduction objective.

17 This slide indicates what the mission for the
18 Global Threat Reduction Initiative programs are which
19 is to reduce and protect the vulnerable nuclear and
20 radiological materials that are located at civilian
21 sites worldwide.

22 The leftmost box under the Convert function
23 defines the HEU minimization aspect of our program.
24 Complementing that are two other offices with the
25 functional responsibility to remove and dispose of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 those excess nuclear radiological materials once they
2 have become available for disposition through
3 conversion activities or when they are no longer used.

4 And in the interim and while such materials are
5 being used, there are complementary physical protection
6 activities that are also implemented.

7 All of these efforts are accomplished both
8 internationally and domestically. These are
9 collectively items that we have identified as a
10 community as being at-risk materials.

11 And in the United States, we feel it's very
12 important to do what we are asking others to do. And
13 also we have identified that these materials can be
14 stolen and used for illicit purposes in the United
15 States where they're co-located with population centers
16 and/or national interest objectives.

17 The best overview of the current situation and
18 our strategy for the moly-99 program. And I should
19 point out that it is a two-phased effort that we have.

20 First and foremost was our longstanding goal
21 of reaching minimization.

22 Secondly, based upon supply shortages
23 primarily that took place in the 2009 time frame of the
24 simultaneous shutdown of several major producers we
25 were tasked with the objective to develop a long-term

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reliable supply of moly-99 for patient needs.

2 This slide shows the current status of the
3 major producers that supply the U.S. market as well as
4 actually the global market. Red indicates the use of
5 HEU, blue indicates the use of non-HEU production
6 methodologies.

7 The top-most bar which shows Australia, South
8 Africa, the Netherlands, Belgium and Canada is the
9 current status for moly-99 production of the global
10 major producers.

11 Australia is fully and has always been an
12 LEU-based supply. South Africa through NTP
13 Radioisotopes is transitioning. In fact, we
14 understand they are now approaching 50 percent of their
15 production capacity as LEU-based moly-99.

16 Mallinckrodt and IRE in the Netherlands and
17 Belgium respectively have both made commitments at
18 nuclear security summits with President Obama and
19 roughly 50 global leaders in both 2012 and 2014 to
20 accomplish HEU minimization objectives.

21 Most important is the 2012 commitment from
22 both of those entities, France as well as the United
23 States, to work towards the conversion of their
24 facilities from HEU to LEU by the 2015 time frame.

25 To date, IRE is on schedule to meet that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 commitment. Mallinckrodt has experienced some
2 technical difficulties, not surprising given the
3 complexity of the process, and they probably won't make
4 their 2015 time frame. Regardless, they are a very
5 strong partner and making tremendous efforts in that
6 path towards conversion to LEU.

7 The very important component on this slide is
8 the Canadian production which is the only bar that is
9 shown respectively larger than the others for a reason
10 in that the global supply from Canada is roughly 40
11 percent of the global supply, roughly 50 percent of the
12 U.S. domestic supply.

13 What's very important and happening in 2016,
14 they've clearly and repeatedly stated that they will
15 cease isotope production at their facility in Canada in
16 October of 2016.

17 There's going to be a significant gap in the
18 supply chain at that point in time. Our strategy that
19 we have addressed here is in that time frame we would
20 expect that Mallinckrodt and IRE could and/or should be
21 converted to LEU.

22 NTP Radioisotopes will fully be converted and
23 that conversion process is wholly dependent upon the
24 drug regulatory approval process in several of their
25 major markets, primarily in Europe.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 To fill that gap we have a domestic program.
2 We're supporting a number of cooperative agreement
3 partners to help fill the need. Plus there is the
4 reality that the market share of the other existing
5 producers will change to address that demand need from
6 the patient side.

7 Our interest and involvement in this is not to
8 define who has what market share in the future which is
9 why we tried to indicate that all of the scale of each
10 one of these respective industries is uniform.

11 It's their commercial obligation to attract
12 whatever market share and adjust to whatever market
13 share they can capture. That is their commercial and
14 economic obligation. The same is true for the
15 cooperative agreement partners we're working with.

16 And then beyond the U.S. domestic cooperative
17 agreement partners, there are other entities not
18 associated with government funding that are also
19 working towards producing new supplies of moly-99.

20 Most importantly, or not most importantly,
21 just very timely is actually a press release that came
22 out late yesterday from Northwest Medical Isotopes is
23 a new U.S. entity that was very quiet in their activities
24 but has been making significant progress in developing
25 their program to develop supplies of moly-99 in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 future.

2 I understand that they're having significant
3 reactions with the NRC these days regarding the process
4 and procedures that they go through for their production
5 capacity.

6 So, this slide highlights what our global
7 objective and strategy is. To be very clear it is to
8 accelerate the establishment of reliable supplies of
9 the medical isotope moly-99 produced without highly
10 enriched uranium.

11 A very important word in that statement is to
12 accelerate the establishment of reliable supplies.
13 And this is done in cooperation with commercial partners
14 both domestically as well as internationally.

15 Our strategy that we developed in the 2009 time
16 frame in particular with the entire U.S. Interagency
17 including NRC involvement, Health and Human Services
18 involvement from both Centers for Medicare and Medicaid
19 Services as well as the FDA were to address a number of
20 weaknesses in the current moly-99 supply chain.

21 The Global Threat Reduction Initiative had the
22 primary obligation and responsibility to lead this
23 simply due to our longstanding cooperation with both the
24 foreign and domestic entities that were utilizing the
25 highly enriched uranium or developing processes for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 production of the moly-99.

2 But the major weakness, one of the major
3 weaknesses, is that the current supply chain uses HEU
4 to produce moly-99. There have been a number of very
5 high-level wide commitments from governments and
6 leaders over the past several years especially to reduce
7 if not eliminate the use of highly enriched uranium in
8 civilian applications.

9 The second bullet is also an extremely
10 important weakness in the current supply chain that by
11 all identifications including by the Organization of
12 Economic Cooperation Development, the OECD, have
13 identified that subsidies by foreign governments has
14 undermined the ability for industry to reinvest in
15 itself to support current and/or ongoing production.

16 And this -- to be very clear, the subsidization
17 wasn't done in a malicious manner. It's simply how the
18 industry evolved from a boutique industry decades ago
19 and grew into a very important component of the medical
20 community's tools that they use to diagnose and treat
21 patients.

22 Unfortunately, the subsidies continued and in
23 many cases weren't identified that they were even taking
24 place until recently, or was not acknowledged, or the
25 governments were not cognizant that they were taking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 place until recently. So all governments have also
2 pledged to remove those subsidies from this commercial
3 activity.

4 In everyone's best interests, the subsidies
5 are not immediately being removed. We are trying to
6 develop a transition strategy with governments and
7 industry through the next few years to remove the
8 subsidies, remove the use of HEU to transition to a
9 long-term reliable supply to ensure that patient needs
10 are met in the future.

11 In addition, the third bullet highlights
12 events that we've seen take place numerous times, once
13 again over the past several years. But to the
14 commercial industry's credit, they've learned from
15 past mistakes or just the past situation and they have
16 been able to coordinate and prepare such that patient
17 needs are met while facilities go down.

18 And I'm specifically referring to the fact
19 that both the Canadian, the Dutch and also the South
20 African facilities were down for long periods of time
21 over the past year.

22 In the past year there were some supply
23 shortages it appears, but nothing so dramatic as
24 happened in the 2009 time frame during the first outage
25 of both the Canadian and the Dutch facilities for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 approximately a year time frame.

2 But by building enough reserve capacity into
3 the system we can assure that patient needs will be met
4 into the future as different facilities go on and
5 offline as these facilities are wont to do.

6 And the next bullet, the fourth one about the
7 current supply chain is primarily dependent on the aging
8 facilities. Also refers back to the inability of the
9 industry to reinvest in itself just simply due to the
10 economic and market structure that the current industry
11 was operating under.

12 We are also working towards trying to
13 diversify the technology that the industry works on to
14 ensure that there are no single points of failure in this
15 industry so that we can be sure to achieve our long-term
16 objective of a reliable supply of moly-99 patients.

17 But this does require that the global
18 production of moly-99 transition to a full cost recovery
19 is some other verbiage that we use to define the lack
20 of subsidies in the industry, non-HEU based supply
21 chain.

22 I think there's some bullets missing. Let's
23 turn to the next page and see how your slides came out.

24 In the June 2012 time frame there was a U.S.
25 government Interagency group that is working on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reliable supplies of moly-99. Led by the Office of
2 Science and Technology Policy the White House released
3 six statements to encourage reliable supplies of
4 moly-99 produced without highly enriched uranium.

5 A large driver in this was the suspension of
6 a cooperative agreement by -- we were partnered with
7 General Electric-Hitachi due to their assessment of the
8 business and economic situations which we were aware of
9 but not directly addressing.

10 This public statement works to address many of
11 the issues that they identified and that we identified
12 actually as the international community facing the
13 industry.

14 First and foremost was that a unique product
15 code or identifier be associated with the use of non-HEU
16 based moly-99. This actually is a proxy for full-cost
17 recovery. Because we were making the assumption in
18 this labeling that anything that is produced without HEU
19 is also produced according to full-cost recovery or
20 non-subsidies.

21 And as the medical community works and I'm sure
22 you're aware, it's very appropriate and a standard
23 operating procedure that any pharmaceutical product is
24 going to be traced from cradle to grave. It's very
25 difficult to trace the financial aspect of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 radiopharmaceuticals and how they're produced, but it
2 is very easy to identify the genesis of the material that
3 is used. So that is a reason that labeling is
4 associated with a non-HEU based moly-99.

5 But this is simply an action so that the other
6 statements could actually be effected.

7 Second, again following through the statement
8 that it is very important -- that actions speak louder
9 than words, is that U.S. government entities that do
10 procure moly-99 based products would preferentially
11 procure those products under the obligations that we
12 have with international trade agreements.

13 And the status is that the Veterans Affairs had
14 issued a policy statement recently calling for the
15 Veterans Health Administration facilities to begin
16 preferentially procuring non-HEU based moly-99 as they
17 become commercially available.

18 It's not a very large segment of the industry,
19 but it's an important segment that speaks very loudly
20 about the actions that the government will support as
21 these new products become available.

22 Third is that we will examine potential health
23 insurance payment options that might promote a
24 sustainable non-HEU supply of moly-99. In January 1 of
25 2013 Centers for Medicare and Medicaid Services issued

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a new rule that offers a \$10 premium payment to any
2 medical procedure that uses moly-99 based
3 radiopharmaceutical products that are produced without
4 HEU.

5 This is now in its second year of
6 implementation and in a few of the other slides we'll
7 come back to address this specific aspect of the U.S.
8 government's public statement.

9 Next is that we will take steps as appropriate
10 to further reduce exports of HEU that will be used for
11 medical isotope production as sufficient supplies of
12 non-HEU produced moly-99 are available to the global
13 marketplace.

14 And these exports are made on an annual basis
15 and it allows us to determine what the current non-HEU
16 based production quantity is and how we can transition
17 -- help transition the industry over to non-HEU based
18 moly-99 as the other material becomes available.

19 The last few bullets I'm going to go over
20 extremely quickly. They're just simply a
21 reaffirmation of continuing our efforts to work with
22 both the domestic partners in the United States as well
23 as the international partners to support the conversion
24 of their activities from HEU to LEU.

25 This is a slide that we used in some recent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 meetings with radiopharmacies of trying to educate them
2 of the process that we're working through also.

3 First, that line is very important and it
4 restates what we have already discussed about the
5 subsidies have undermined the investment in the
6 infrastructure which led to reliance on aging
7 facilities, jeopardizing supply.

8 And some of the asks that we had of that segment
9 of the community to help have that segment of the
10 commercial industry also work with us towards a
11 transition to a long-term reliable supply for patient
12 needs.

13 The first to follow the lead that we have done
14 with the Veterans Administration to ask for the non-HEU
15 based moly-99 that is available today. That we
16 encourage private payers to adopt the \$10 add-on
17 payment. Surprisingly enough, they're not necessarily
18 so enthusiastic to move in that direction. That is
19 their own business decision as we best understand it.

20 We do want to ask everyone to educate customers
21 that non-HEU based moly-99 does equal long-term
22 reliable supply for their patients. It is the
23 direction we're moving in, but we do acknowledge that
24 the transition over the next several years is going to
25 be extremely difficult.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Where we're going is the last bullet, and we
2 can come back to that again in a little bit is to report
3 the cost of non-HEU based LEU moly-99 to CMS.

4 There's been some contention that the \$10 is
5 not sufficient to pay for the cost of the non-HEU
6 non-subsidized moly-99. However, no one is providing
7 information contrary to that \$10. So quite honestly
8 we're somewhat confused by the criticism in that
9 respect.

10 But we are always open to input to CMS. And
11 in fact we congratulate CMS that in very few
12 circumstances can they be proactive, but in this
13 circumstance they actually were proactive that they put
14 the \$10 payment on the table based upon their projection
15 of what the cost would be for using that non-HEU based
16 moly-99.

17 So, the next set of slides are some of the more
18 interesting ones. Because as you can imagine there is
19 a tremendous transition in the commercial industry and
20 many different entities with their specific commercial
21 interests at risk and/or potential for adjustments in
22 market share. So there is some misinformation
23 propagated throughout the industry supporting
24 different positions and objectives.

25 So we're working to try to dispel as best we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can with the facts that we're aware of and/or we take
2 from the industry to offset the myths that we perceive
3 are propagating through the industry.

4 First and foremost is that patients are paying
5 for the non-proliferation effort on the conversion from
6 HEU to LEU, and that this conversion to LEU is
7 jeopardizing efforts to provide reliable supplies of
8 moly-99.

9 The fact is that the U.S. objective has and
10 will remain consistent that we are working and always
11 say first and foremost; in fact, these three sub-bullets
12 are the order in which the White House refers to the
13 objectives for this program.

14 First and foremost is to ensure the reliable
15 supply of moly-99 for patients worldwide.

16 The second is to eliminate the use of HEU in
17 moly-99 production.

18 And the third is to help transition the global
19 moly-99 production to a full cost recovery to establish
20 an economically sound industry for the long term.

21 Patients are not paying for the conversion of
22 the process. The real issue here is long-term
23 reliability of moly-99 supply.

24 As conversion to LEU is considered an
25 externality on the isotope production facility

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 governments as I mentioned before about the nuclear
2 security summit objective in 2012 between Belgium, the
3 Dutch, France and the United States, we have as
4 governments pledged to commit money to support those
5 conversion efforts and in fact have provided funding
6 necessary for those conversion efforts as much as
7 commercial industry is willing to accept.

8 And under the CMS \$10 add-on reimbursement,
9 moly-99 as I stated, is a proxy for both non-HEU and most
10 importantly full cost recovery sources of moly-99.

11 The next myth that we're working to try to
12 expel is that hospitals must -- let me say it this way.
13 I'll just read it, actually. I don't mean to insult
14 your intelligence, I was trying not to do that, but it's
15 probably best and most appropriate if I do this.

16 In order to supply hospitals with LEU doses to
17 receive the CMS \$10 add-on reimbursement
18 radiopharmacies need to segregate the LEU generators,
19 thereby increasing costs.

20 The easiest way to address that is it actually
21 is a business decision of how they manage their
22 functionality. And that the overhead cost that is
23 shared by both HEU and LEU is part of their business
24 decisions.

25 And there are numerous ways to overcome this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In fact, we have examples from radiopharmacies that have
2 made different business models that are being
3 effective, and they are in fact able to also utilize the
4 \$10 reimbursement.

5 The second is that this is a temporary
6 situation regardless. This is going to be a fact only
7 while there are parallel lines in place. At some point
8 in time there will no longer be any HEU-based moly-99.

9 But if they do make the decision to segregate
10 the dispensing lines and incur these additional costs
11 these are obviously the operating costs that are passed
12 onto the customer and reimbursed by standard payments.
13 And this information is reportable to CMS.

14 The next is somewhat associated with how the
15 facilities hospitals industry decides to operate. To
16 receive the \$10 CMS add-on reimbursement hospitals need
17 to segregate CMS patients thereby increasing costs.

18 Hospitals don't need to segregate patients.
19 It's simply a matter of tracing the material through the
20 system. And from one nuclear pharmacy we heard a very
21 interesting statement that they have these magic boxes
22 in their facility that allows them to do this. And they
23 call these magic boxes computers.

24 And I loved that analogy when they stated that,
25 that utilizing this modern technology they were able to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 track the materials through the systems and obtain the
2 reimbursements.

3 The \$10 add-on reimbursement is a
4 reimbursement for those added costs that are
5 attributable only to Medicare beneficiaries when they
6 receive the non-HEU based technetium-99 dose.

7 We are asking private payers to adopt this same
8 \$10 add-on payment which typically is the process that
9 takes place. And that is, as I understand, the normal
10 process that private payers do adopt. There has not
11 again been a significant take-on from private payers to
12 move in that direction.

13 The \$10 add-on reimbursement has not had an
14 effect on the uptake of LEU moly-99. There was a
15 previous Society of Nuclear Medicine medical imaging
16 and CMS data that was aligned very well with levels of
17 LEU moly-99. We understand that there's some updated
18 data that does show that the uptake is somewhat smaller
19 than the amount of LEU moly-99 that's available.
20 Regardless, they are definitely in the same range.

21 But what we are observing is that the end users
22 are utilizing the \$10 add-on reimbursement at levels
23 that is consistent with the projections that we have for
24 2013-14 time frame and is consistent with current
25 availability of LEU-based moly-99 and the market.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ALDERSON: What is that level now? Is
2 it 50 percent? Five percent?

3 DR. STAPLES: It's roughly 30 percent.

4 MEMBER ALDERSON: Thirty percent.

5 DR. STAPLES: Yes. And this actually goes
6 back to a few of the previous myths. Actually, this
7 might be a question that will come up later. It usually
8 does so I can address it now.

9 Part of the issue with segregating lines also
10 is in some cases some parts of the industry have decided
11 to blend the LEU and the HEU moly-99. That's not
12 something that is reimbursable through the CMS system.

13 There have been asks to incorporate that.
14 That gets extremely complicated in terms of how the
15 tracking and the financials work.

16 And my personal perception in that is it's
17 asking way too much of the CMS. They've already been
18 very proactive in putting \$10 on the table for the direct
19 full LEU reimbursement.

20 To move in that direction for temporary
21 payment for a few years is probably too onerous and only
22 that much more complicated in how the system works. But
23 roughly 30 percent of the moly-99 available today is LEU
24 moly-99.

25 Roughly have of that is pure LEU moly-99. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 other half of that is blended as we understand it.

2 And this actually is aligned exactly with the
3 question we asked here in the myth is how much LEU
4 moly-99 is available to take full advantage of it.

5 As I mentioned, there are two large-scale
6 producers that use LEU, both Australia and South Africa.
7 There's actually been a lot of discussion about the
8 distance factor associated into supply of moly-99, and
9 that material coming from Australia and South Africa is
10 going to have a significant decay take place.

11 In fact, the industry uses a unit called the
12 six-day curie. And the six-day curie takes into
13 account the difference in shipping from facilities at
14 different locations. It's how the industry has always
15 functioned.

16 The six-day curie means that you will buy what
17 is going to be on your -- six days after they ship it.
18 In no case does the shipping of any one of these
19 facilities take six days. So in many cases the
20 radiopharmacies are receiving more moly-99 in their
21 generators than what is actually labeled on the
22 generator. Just how the decay laws work out.

23 I also understand that from some of the -- for
24 some of the facilities, I'm not going to name any which
25 ones take longer, but that from some of the other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 facilities Australia who is geographically the most
2 distant, they can actually get material to U.S.
3 pharmacies faster than some of the other producers can.
4 So, there's again no real validity in terms of the
5 distance being a direct correlation to decrease in
6 supply.

7 The significant one here is it's been
8 propagated that the \$10 add-on reimbursement is
9 actually only \$8. It is \$8 from CMS and a \$2 copay.
10 What's important is in the second bullet is that's very
11 consistent with how Medicare benefit pays across the
12 board. It's always 80 percent of the outpatient
13 procedures and 20 percent is the patient's
14 responsibility.

15 By law hospitals should be collecting that \$2
16 copay from the patient unless copays are waived for
17 indigent patients based on need. What's important -
18 \$10 goes into the system for the reimbursement of the
19 medical isotope.

20 This is quite important and we've been very
21 transparent about the \$10 being available exactly to
22 allow industry to manage this into their contract
23 negotiations.

24 Is it the hospitals receive the \$10 add-on
25 payment, not the rest of the moly-99 supply chain. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 best analogy I heard in this case is when you go to buy
2 a car you don't pay for the windshield, you don't pay
3 for the tires, you don't pay for all of the nuts and bolts
4 that are associated with it. You pay a dealership for
5 the car and all of those costs that you pay the
6 dealership propagate down through the supply chain.
7 That's exactly what we are expecting to take place in
8 this industry.

9 I don't need to go through the facts because
10 it basically gives a very similar analogy. We're
11 transparent about the \$10 being available to pay for the
12 costs of the full cost recovery non-HEU based moly-99
13 at the beginning of the supply chain and to allow the
14 market dynamics and contract negotiations between
15 commercial entities take place to properly pay for their
16 costs associated with producing the material.

17 We've been asked that we should provide more
18 funding from our program to the domestic projects to
19 avoid a shortage.

20 Two points here. First and foremost, both
21 through the OECD and our own independent assessment
22 while the transition over the next several years is
23 going to be tight in terms of supply dynamics and
24 emergencies or unplanned outages can always take place
25 we do project that there will be sufficient supplies for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patient needs in that time frame barring any unforeseen
2 outages and/or other dramatic emergencies that take
3 place in that supply chain. But that will cause a
4 shortage more likely than not regardless of how this
5 industry is going to be transitioning.

6 What's associated with that is that according
7 to OECD guidelines and on this myth here is that the \$25
8 million that we are providing to each one of the
9 commercial products to accelerate their production does
10 not cross the identified threshold by the World Trade
11 Organization and utilized by the OECD in terms of what
12 defines a subsidy.

13 They specifically state that around the 15
14 percent level is when a subsidy is taking place from
15 government activities. Our rough figure of merit for
16 all of the different commercial projects is roughly that
17 they are \$200 million total cost. In that respect we're
18 a minor funding partner and nearer the threshold of the
19 World Trade Organization's 50 percent subsidy
20 threshold.

21 And I think for use our list of acronyms that
22 we've used in the slide set. Hopefully I didn't use any
23 that are not defined here. So with that we're available
24 for any questions that you might have, please.

25 CHAIRMAN THOMADSEN: Thank you very much.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dr. Alderson?

2 MEMBER ALDERSON: I'd like to follow up on
3 some of the new sources of moly-99. Because it turns
4 out if I've been reading the things that I've come across
5 correctly that a couple of them are right in the area
6 in which I live and in which Susan lives.

7 Out in the University of Missouri, one company
8 I believe is looking at using their big reactor to
9 produce moly-99.

10 Then there's another company that's set up
11 shop over in southern Illinois and that actually just
12 created a corporate office in St. Louis. Its name is
13 very much like a chemotherapy so I may be missing it.
14 But the word Zebulon comes into my mind. I don't know.

15 MS. BUNNING: It's not that, but yes, it
16 begins with a Z.

17 MEMBER ALDERSON: Yes, it begins with a Z.
18 Okay. So there are two of these groups that are right
19 in our home territory. And I don't really know what
20 their technologies are, whether they're high-HEU or
21 LEU. But they are claiming that they are going to be
22 the answer to this whole problem and it's going to be
23 made right here in the United States. Can you elaborate
24 on that at all?

25 DR. STAPLES: I'm happy to as much as possible

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in that the entity -- the second entity you're referring
2 to doesn't actually ring a bell.

3 But I have to admit there are many that are not
4 associated with government activities. And for
5 business proprietary reasons they are maintaining a low
6 profile as Northwest Medical Isotopes was up until a few
7 days ago. We had some discussion with them but they
8 wanted to remain off the radar until they decided it was
9 appropriate to move forward.

10 All of the technologies in the U.S. for medical
11 isotope production are planning to use LEU or non-HEU
12 based production methodologies.

13 I do want to differentiate because there's
14 always a question that comes up regarding Missouri
15 University Research Reactor which is an HEU-fueled
16 research reactor.

17 In their station, the American Medical Isotope
18 Production Act, as well as others, it does allow the use
19 of HEU-fueled facilities for medical isotope
20 production. In the U.S. the target for production
21 methodology again is non-HEU. It's important to
22 differentiate between the reactor fuel and the targets
23 and/or processes used for production.

24 So at Missouri they have an agreement in place
25 with us and are working strongly towards converting the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fuel of that research reactor to LEU as a completely
2 separate program and process. Just to be very clear in
3 the distinction between those elements.

4 But at Missouri University Research Reactor
5 they have a number of activities and commercial programs
6 in place. And since this is definitely an open meeting
7 and we don't have non-disclosure agreements in place,
8 I want to be as generic as possible.

9 What I will say is that the basic methodologies
10 that we are supporting are fission-based, which there
11 is either HEU fission which is the current production
12 methodology. We're working simply to convert the HEU
13 targets that are used over to LEU. That has certain
14 technical constraints as well as other implications in
15 terms of how that production takes place.

16 One part of our program under the GTRI effort
17 has been to increase the target density such that the
18 waste volumes are minimized when you transition from HEU
19 at 93 percent to LEU at 20 percent. Very simplistically
20 you can imagine that you would have roughly a 5 time
21 increase in waste volume.

22 That has caused us issues within other
23 implications. We're trying to minimize through
24 increasing the target density.

25 But then there also is other LEU-type

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 production methodologies. There's Morgridge Shine is
2 one of our cooperative agreement partners as well as
3 B&W. Babcock & Wilcox had a program where they were
4 using a solution, either reactors and/or targets of LEU
5 material to produce the moly-99.

6 The simplest analogy is that they would then
7 have similar to a swimming pool filter skimming off the
8 moly-99 out of this large solution.

9 Extremely efficient because they're able to
10 utilize all the fission taking place in their system,
11 not just in the targets versus as you would have in a
12 normal reactor where you can't access the medical
13 isotopes that are being produced in the fuel. You can
14 only use that material coming out of the targets.

15 There is another entity, NorthStar, you might
16 hear some releases about. They are promoting two
17 different technologies. One was a gamma-N process
18 where moly-100 is a stable isotope. They have a
19 high-energy photon to get the moly-100 target, knock the
20 neutron out and it becomes moly-99.

21 They're also working, as are some other
22 entities, on a neutron capture process which is actually
23 how GE used to make moly-99 for the medical community.
24 Moly-98, also a stable isotope. They added a neutron
25 to that material and it becomes moly-99.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The difference between the neutron capture or
2 the neutron knockout process is that those are low
3 specific activity, moly-99s, and they require a
4 different generator technology than what the industry
5 currently utilizes.

6 So that is actually the one advantage that
7 NorthStar has been working through FDA approval is a
8 generator that will allow the radiopharmaceutical
9 industry to utilize the low specific activity as they
10 currently utilize it with what originally was a low
11 specific activity, moly-99, coming through a stable
12 isotope production process.

13 There is also a direct technetium production
14 that is being produced in Canada just as a reference
15 point. And that's where they will take as the PET
16 industry currently utilizes cyclotrons and take targets
17 and they will directly produce tech.

18 The difficulty there is that it is a much
19 shorter half-life material and it's not easily
20 transportable.

21 However, our position on that methodology is
22 that if it is commercially viable and usable for certain
23 segments of the international production of moly-99 be
24 it in the U.S. or in any other facility internationally
25 the commercial industry will utilize what is most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 effective and commercially viable for their interests.

2 It might not be useful for rural farmland, but
3 in terms of large city center populations direct tech
4 production might well be an effective production
5 methodology to meet patient needs. And that's how
6 commercial industry will and should transition over the
7 next several years.

8 CHAIRMAN THOMADSEN: Good. Thank you, Dr.
9 Welsh.

10 MEMBER WELSH: This is a question for the
11 Chair. As you and the staff know, I am directly
12 involved in the radioisotope production. And through
13 an entity that has not been named here yet. I know Eric
14 [inaudible] is quite familiar with this.

15 Is it appropriate for me to engage in
16 conversation and ask questions, or should I recuse
17 myself from any active involvement?

18 CHAIRMAN THOMADSEN: I would think that
19 discussion is okay. Can I get a ruling from somebody
20 in the NRC? I don't see a problem with discussion.

21 MR. FULLER: I don't see an attorney in the
22 room at this point so we probably need to -- I don't know
23 how we would advise at this point in time on a legal issue
24 without a lawyer.

25 CHAIRMAN THOMADSEN: Maybe just discretion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would be the appropriate call at the moment.

2 Any other --

3 MEMBER ZANZONICO: I have a technical
4 question. So, it's funny, you make this point that any
5 new production of moly sounds like it would less
6 efficient overall. Does that translate at some point
7 into increased costs of moly and then technetium-99m?
8 Or that has been projected far out enough to make a
9 usable estimate of cost?

10 DR. STAPLES: Yes, actually that's an
11 excellent question. And what I'll refer to is
12 information from two previous studies that were done,
13 one by the National Academy of Sciences and a more recent
14 one by the OECD reflecting to the cost of conversion
15 activities from HEU to LEU and impact on the industry.

16 And then what the OECD study got into is
17 reflecting the cost of transitioning from subsidy to
18 non-subsidy.

19 The HEU to LEU transition cost is estimated to
20 be roughly or less than 1 percent of the total cost of
21 the cost to a patient. This is -- and putting figures
22 on a table, roughly the reimbursement is about \$1,500
23 or the cost is averaged to be \$1,500 for a myocardial
24 perfusion imaging study.

25 The cost of the radiopharmaceutical I believe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is roughly \$30. And that's the total
2 radiopharmaceutical.

3 The cost of the isotope is estimated to be
4 maybe in the \$10 total cost range, or less than that,
5 which is again reflective on the \$10 cost of the CMS
6 reimbursement for that material.

7 The cost -- the current cost of the LEU is hard
8 to project exactly because it is mixed up in the subsidy
9 issue. The cost of the subsidies taking place, there
10 is estimated to be as much as a factor of 2 to 5 increase
11 in that.

12 And that data again is also extremely
13 difficult to come by. It's more a figure of merit and
14 word of mouth because it's proprietary sensitive from
15 all of the industry.

16 CHAIRMAN THOMADSEN: Thank you. Dr.
17 Suleiman?

18 MEMBER SULEIMAN: The LEU has -- the moly from
19 LEU has been being produced for a couple of years now,
20 so it's slowly been ramping up in composition.

21 And if you go to the government schedule and
22 look at what the price of a 10- or a 12-curie generator
23 is, it's only a couple of thousand dollars.

24 So, depending on the yield because you can
25 yield efficiently or you can yield less efficiently, my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 calculations show that the entire cost, the entire cost
2 of the nuclide is on the order of \$10, let alone the
3 differential between HEU and LEU.

4 And right now, except for labeling where they
5 try to differentiate in order to get the CMS
6 reimbursement, the manufacturers really haven't
7 differentiated in terms of cost. They're pretty much
8 nominally setting about the same price. But that's
9 dynamically changing -- and the other thing [inaudible]
10 legitimate.

11 The CMS average price, \$1,200 to \$1,500 for a
12 SPECT. The radionuclidic component is just as you
13 said, a couple of dollars. So even if it were to double
14 or triple it really doesn't have that much of an impact
15 on the overall cost.

16 But, that's okay if you're up the line, but the
17 people down at the bottom end, you double their cost or
18 triple it, it has an impact.

19 DR. STAPLES: And what we actually have
20 observed again more just figure of merit is that as
21 different entities have supply availability and
22 depending upon long-term contracts in place or not the
23 cost of generators fluctuates tremendously, sometimes
24 by factors of 4 or 5 at the generator level dependent
25 upon how the supply chain is currently functioning,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 where the material is coming from and total magnitude
2 of supply dependent upon facility outages.

3 So it's really a tremendously large dynamic in
4 terms of supply-demand and how that actually is
5 functioning in the industry. Much larger than any cost
6 associated to the HEU/LEU supply issue.

7 MEMBER COSTELLO: I wonder if -- my local
8 nuclear pharmacy and ask them do they have HEU or LEU.
9 Are they likely to know?

10 DR. STAPLES: We're hoping that they would
11 more so today than they would have yesterday. It's a
12 transition.

13 In all due respects what we've always heard
14 from the medical industry is they didn't care if it was
15 HEU or LEU. They wanted to know that they have it
16 available to meet patient needs.

17 And that actually reflects back to the whole
18 cost issue. When we first started in this business
19 there was actually testimony that Congress provided.
20 And it referenced basically that the cost of the isotope
21 is negligible in the process, that it really was a supply
22 reliability.

23 And this is a very important tool to the
24 medical community. And for the few dollar differential
25 they wanted the supply available. That was really the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 basic theme of the response coming from the medical
2 community. And that really did propagate down through.

3 To make these actions effective and to really
4 develop long-term reliable supply we do need to educate
5 the entire community so they do ask those informed
6 questions in terms of making a really difficult choice.

7 Because it exactly relates to the economics.
8 These are commercial entities. They have to answer to
9 their shareholders in three months, not in three years.
10 And the activities we're asking them to implement affect
11 their industry in three years and it costs them in three
12 months. So it's against their short-term best interest
13 and the viability of how they function as a commercial
14 entity.

15 MEMBER COSTELLO: If you talk with them
16 they're very squeezed right now in their performance in
17 general.

18 DR. STAPLES: We recognize that. And we
19 realize that this is an incredibly difficult transition
20 period that we're working through, that we are asking
21 a lot of the entire community. It's really through
22 education.

23 In fact, being able to be in front of this group
24 and the voice and understanding that you have going out
25 through the community also just to help us address this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as a group to ensure this important radioisotope is
2 available for patient needs throughout the future.

3 CHAIRMAN THOMADSEN: Thank you very much.
4 Last question I think, Mr. Mattmuller.

5 MEMBER MATTMULLER: If I could go to your
6 slide 3, please. Now that Northwest has announced do
7 you have a time line as to when you think their
8 production facility will be ready and will be able to
9 supply moly-99 to the market?

10 DR. STAPLES: One way -- when we reference
11 U.S. domestic projects we're referencing here on this
12 slide those with which we have a cooperative agreement,
13 commercial legal agreement with. We do not with
14 Northwest.

15 Reading their press release I do not recollect
16 a date associated with their press release.

17 MEMBER MATTMULLER: I don't either.

18 DR. STAPLES: Yes. And it's not appropriate
19 for me to project on their behalf.

20 MEMBER MATTMULLER: I didn't know if you had
21 other information.

22 I guess my only quibble with this slide is that
23 we know that a number of these projects are in essence
24 shut down and that they're really not going to
25 contribute anything to the market.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And it's my understanding Babcock & Wilcox has
2 ceased. GE-Hitachi has ceased. Morgridge has -- last
3 I heard they had achieved some additional money but it
4 was for a different project not related to moly
5 production. And NorthStar is still a working project.
6 To my knowledge I have not heard or seen an announcement
7 that they have even started to dig to build their new
8 production facility in Wisconsin.

9 DR. STAPLES: Let me go through a very quick
10 assessment. I'll start with NorthStar. They're in an
11 FDA approval process for their TechneGen™. And they
12 have a projected production in the near future with the
13 neutron capture project with Missouri.

14 So that's not at the 3,000 6 to 8 curie level
15 is all I think it's appropriate for me to say, but it
16 is well before the 2016 time frame. And it does depend
17 upon a number of factors of their commercial
18 availability.

19 I don't want to say more on their behalf in that
20 respect because it is commercial proprietary.

21 Morgridge Shine actually just signed an
22 agreement with GE-Hitachi in terms of additional
23 commercial activity in the area for this medical isotope
24 production.

25 We are -- also have a program under evaluation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for additional support through our cooperative
2 agreement partnership. So they actually are a strong
3 program moving forward.

4 B&W, you're absolutely correct. They have
5 ceased their program. They lost their commercial
6 partner several years ago. And knowing what their
7 projected time line was they are not viable, no longer
8 viable in the 2016 time frame.

9 Our cooperative agreement with General
10 Electric, which spurred the June 2012 Interagency
11 public statement or White House public statement, our
12 assessment and understanding and agreement with them is
13 that they were actually pausing that program due to
14 commercial status and that it was roughly on a 2-year
15 rolling window once they would resume activities.

16 So if they made the business decision that the
17 market economics are viable for resumption of their
18 activities we have the understanding that they would be
19 able to resume their program and achieve production
20 within approximately a 2-year time frame.

21 So not exactly failed and/or it is paused is
22 a very important clarification.

23 MEMBER MATTMULLER: And while I was familiar
24 with the announcement between Morgridge and GE, but it's
25 somewhat perplexing because it was to -- there wasn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 -- if we're reading the same announcement GE has agreed
2 to buy any amount they might produce.

3 Which is somewhat perplexing because GE does
4 not produce generators in the U.S. So I'm not quite
5 sure what they would do with moly-99 here in the U.S.

6 MEMBER SULEIMAN: They do make a generator in
7 the UK.

8 MEMBER MATTMULLER: In the UK. The UK
9 generator?

10 MEMBER SULEIMAN: It's just a --

11 DR. STAPLES: Well, I realize you advocate for
12 it. It's very important happening in that direction,
13 in that specific circumstance.

14 What we've been advocating for is the
15 commercial industry needs to invest in its own future.

16 Now, governments can spur [inaudible] these
17 activities. These are inherently commercial
18 activities. There is money to be made. Commercial
19 entities need to invest in their future. For how they
20 perceive the supply-demand scenario proceeding given
21 the market conditions. So I think that is a very
22 positive indicator that commercial entities are seeing
23 widely supported in terms of investing appropriately in
24 their supply future. And that's simply the way the
25 commercial activities should take place.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER MATTMULLER: As was mentioned before,
2 we're dying for a steady supply. And we really don't
3 care how or where it comes from.

4 I guess I'm just trying to get a handle of how
5 much hope I can put on this one, this one, or that one
6 as to whether or not our desires are going to be realized
7 in a few years.

8 DR. STAPLES: It would be inappropriate for me
9 to -- like children you cannot have a favorite child.
10 At least you can't say that you have a favorite child.

11 (Laughter)

12 DR. STAPLES: To be really honest. But let's
13 say in this case the commercial activities that are
14 associated with us, we're supportive of them. In fact,
15 the activities that we're putting in place for the U.S.
16 Interagency are supportive of all entities that are
17 trying to produce moly-99.

18 Those that are in the U.S. domestic
19 cooperative agreements, those we're working with
20 internationally, those that are current producers and
21 those that are intended future producers. We try to
22 work as diligently as possible to be as fair and
23 equitable as possible for all entities coming forward.

24 We remove all possible obstacles. I think the
25 complement of both the FDA and the NRC from a regulatory

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 perspective, they obviously do not bypass any of the
2 regulatory process. But they certainly make resources
3 available that these are high-priority projects and try
4 to work them through the system as rapidly as possible
5 to support the process and procedures of their
6 respective regulatory organizations.

7 CHAIRMAN THOMADSEN: Thank you very much, Mr.
8 Staples and Ms. Bender.

9 MEMBER MATTMULLER: I'm sorry, can I ask a few
10 more?

11 CHAIRMAN THOMADSEN: One minute.

12 MEMBER MATTMULLER: One minute? Okay. You
13 mentioned that private payers should match Medicare
14 payments.

15 DR. STAPLES: Yes.

16 MEMBER MATTMULLER: In our experience in the
17 clinic, private payers are the most uncharitable
18 companies we've ever dealt with. We have trouble
19 getting them to pay for FDA-approved products for
20 patients who have had pre-certification taken care of.

21 And we can only surmise that they hire a lot
22 of creative writers because of the excuses they come up
23 as to why they don't want to pay for legitimate expenses
24 and procedures, is very, very frustrating on our part.

25 So, in a perfect world, yes, they probably have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a policy statement they do that but the reality is not
2 even close.

3 DR. STAPLES: Being an insured person I
4 commiserate with you in that respect.

5 CHAIRMAN THOMADSEN: Thank you, again. And
6 that brings us to the next topic, administrative
7 closing, and Ms. Holiday.

8 MS. HOLIDAY: Good afternoon. This is our
9 administrative closing part of the meeting where I go
10 over the recommendations and actions that were put forth
11 during our two-day meeting, that we are getting ready
12 to wrap up. And then lastly I propose our dates for the
13 fall 2014 meeting.

14 So, for item 1 this was where we talked about
15 the subcommittee for medical policy statement. And
16 item 2 was where Dr. Thomadsen had added Dr. Alderson
17 to that policy statement subcommittee.

18 I was saying that we are closing these two
19 items because the subcommittee has presented their
20 report to the Committee which the Committee then
21 endorsed.

22 Are there any objections to closing items 1 and
23 2? Okay.

24 Item 3 was where the ACMUI recommended to
25 endorse this report which includes the recommendation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to make no changes to the current medical policy
2 statement. That was presented on yesterday. Are
3 there any objections to that? Seeing none I go onto
4 item 4.

5 Item 4 is where Dr. Thomadsen formed a
6 subcommittee to review the medical event reporting
7 criteria of the yttrium-90 microspheres 35.1000
8 guidance. Subcommittee members include Dr. Guiberteau
9 as the chair, Mr. Frank Costello, Dr. Susan Langhorst,
10 Dr. Christopher Palestro, Dr. Bruce Thomadsen and Dr.
11 James Welsh.

12 The subcommittee will present their
13 recommendations at the fall 2014 meeting. The NRC
14 staff resource person is Dr. Donna-Beth Howe. Are
15 there any objections to that?

16 Moving onto item 5. This is just to say that
17 Dr. Thomadsen added Mr. Frank Costello to the medical
18 event subcommittee.

19 Item 6. Dr. Thomadsen formed a subcommittee
20 on May 8, 2014 to provide staff with the background
21 information to justify the recommendation for the
22 regulatory relief from the decommissioning funding plan
23 of germanium-68.

24 The subcommittee is specifically charged with
25 evaluating the cost of the decommissioning funding plan

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for the use of germanium-68, its effect on the future
2 clinical use of new gallium-68 radiopharmaceuticals and
3 how appropriate regulatory relief may be gained.

4 Subcommittee members include Mr. Steve
5 Mattmuller as the chair, Dr. Susan Langhorst, Mr. Frank
6 Costello, Dr. Christopher Palestro and Dr. Zanzonico.
7 Are there any objections to that?

8 All right. Moving onto item 7. I put this in
9 here as a staff action as Dr. Donna-Beth Howe mentioned
10 yesterday. Staff should provide the ACMUI
11 subcommittee with NRC guidelines for developing a
12 regulatory basis.

13 If the recommendation that eventually comes
14 from the subcommittee report is that NRC revises
15 regulations, then we will have to provide a regulatory
16 basis.

17 I would provide this to the committee as a
18 whole either tonight or next week.

19 And item 8. This is where we are going to
20 propose our dates for the fall 2014 meeting. The last
21 page of your packet.

22 As we've said in the past, I've sent out the
23 meeting wizard to the committee in advance so that you
24 can indicate your availability so that this process
25 could be a little bit smoother.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 If I am capturing it correctly I believe that
2 all committee members are available on September 29 and
3 30. Has that changed for anyone?

4 CHAIRMAN THOMADSEN: Do we have any
5 conflicts?

6 MEMBER DILSIZIAN: I was informed that - I'm
7 on the board of directors of SNMMI. And I was informed
8 that the meeting is on the 29th.

9 I would think that if everyone can make it I
10 will attend.

11 MS. HOLIDAY: Okay. The meetings in October,
12 the dates I have highlighted, though a little bit
13 difficult to see, in green are the dates that I thought
14 were going to be our first and second choices.

15 So, the other date that we had produced was
16 October 20-21. I know that Dr. Guiberteau had
17 indicated that he has a conflict with that date.

18 MEMBER WEIL: So do I.

19 MS. HOLIDAY: So does Ms. Weil. Okay, does
20 anybody else have a conflict with those dates? Okay.

21 How about October 27 and 28? I believe there
22 are a few people that have conflicts.

23 MEMBER WEIL: I have a conflict.

24 VICE CHAIRMAN GUIBERTEAU: I have a conflict.

25 MS. HOLIDAY: Two conflicts. Are there any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 other conflicts for October 27 and 28?

2 Okay. October 30 and 31. Do we have any
3 other conflicts? Same two.

4 Okay, so it's looking like our proposed dates
5 there will be at least one person or two persons who are
6 unavailable. So I guess I would leave it up to the
7 discretion of the Chair to choose the dates that you
8 would like to propose as your first choice.

9 So, September 29 and 30, 12 of the 13 members
10 are available with the exception of Dr. Dilsizian.
11 October 20 and 21 Dr. Guiberteau and Ms. Weil are
12 unavailable and they are also unavailable for the other
13 two dates.

14 CHAIRMAN THOMADSEN: Well, no offense to the
15 one, but it sounds like the 29th and 30th would be best.

16 MS. HOLIDAY: Okay.

17 CHAIRMAN THOMADSEN: Can you attend on the
18 30th? Are they meeting here?

19 MEMBER DILSIZIAN: Yes. I will try to
20 accommodate obviously to come to this meeting.

21 CHAIRMAN THOMADSEN: Dr. Welsh.

22 MEMBER WELSH: This is [inaudible] on the 29th
23 and 30th?

24 MS. DUDES: It's Sunday and Monday of the --
25 ending our day around 2.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. HOLIDAY: Okay, so it sounds like we're
2 going to have the 29th and the 30th as our first choice.
3 So, it looks like we need a date out of one of those three
4 dates as your second choice. Either way Ms. Weil and
5 Dr. Guiberteau will be unable to attend. So whichever
6 date that you would like to choose.

7 CHAIRMAN THOMADSEN: I'm not sure that it
8 makes too much difference. If the 20th and 21st sounds
9 as bad as any other date?

10 MS. HOLIDAY: Okay. So for the record we are
11 choosing September 29 and 30 for the fall 2014 ACMUI
12 meeting as our first choice. Our backup date will be
13 October 20 and 21.

14 At this time, Dr. Thomadsen, that concludes my
15 portion of the meeting. Please remove your badges.

16 MR. FULLER: I just have one point [inaudible]
17 I just want to give you a heads up for something to think
18 about. I've looked historically at the times that
19 we've scheduled these meetings. The idea is to have two
20 per year approximately six months apart.

21 For the last few years it has went to less April
22 dates and more May dates, and less October dates and more
23 September dates. So we now have three or four month
24 between one and seven to eight, maybe nine months
25 between the next one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I have not been able to find any reason why it
2 couldn't be March and September. So again, when we get
3 here in September something to be thinking about between
4 now and September is we would like to move towards moving
5 the meeting subsequent to the next one sometime around
6 March time frame.

7 So just be thinking about that when Sophie
8 sends out the wizards after the next meeting. We may
9 be asking for some folks to be looking at their calendars
10 around the March time frame. That way we get more of
11 a six-month separation between these meetings and it
12 helps the staff.

13 And again, it's not the most important thing
14 in the world but it would help the staff to better
15 prepare and plan for all of these meetings.

16 MS. HOLIDAY: So for clarification for the
17 spring we usually say let's look at our April-May
18 calendars. Instead we'll say let's look at our March
19 and April calendars.

20 MR. FULLER: Yes. Try to get a six-months
21 separate. Okay, thank you.

22 MS. DUDES: And just as a point of process I
23 just wanted to say thank you. It was nice to meet all
24 of you. I really benefitted from the discussion.

25 I look forward to trying to find ways within

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the FACA process to continue benefit earlier and that
2 we can be contributors rather than review and
3 dispositioners.

4 And I thought the Commission meeting today was
5 very engaging. And there was some good dialogue on some
6 of the key issues. And we will continue to do that. So
7 thank you all for coming. Travel safe.

8 CHAIRMAN THOMADSEN: And thank you all for a
9 very good meeting and the support as always. Thanks to
10 the committee. Mr. Costello, are you making a comment?

11 MEMBER COSTELLO: More a question. We're
12 staffing two in-person meetings a year, but I understand
13 we have conference calls once in a while.

14 Can somebody tell me when and why and what the
15 topics are? When the next conference call will be?

16 CHAIRMAN THOMADSEN: They aren't set. They
17 always have been to address a particular issue that has
18 come up.

19 MR. FULLER: And the next one will be on the
20 bylaws it looks like.

21 MEMBER COSTELLO: So these are sort of ad hoc.

22 CHAIRMAN THOMADSEN: Yes.

23 MEMBER COSTELLO: Single issue.

24 MR. FULLER: Yes.

25 CHAIRMAN THOMADSEN: Yes. A very narrow

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 agenda.

2 MEMBER COSTELLO: But with some advance
3 warning.

4 CHAIRMAN THOMADSEN: Oh, definitely.

5 MR. FULLER: They have to be public and they
6 have to be publicly noticed and the whole thing.

7 CHAIRMAN THOMADSEN: We can't surprise
8 anything.

9 MS. HOLIDAY: That's right.

10 CHAIRMAN THOMADSEN: Any other final comments
11 from the committee? In that case thank you to everybody
12 and have a safe trip home.

13

14 (Whereupon, the foregoing matter went off
15 the record at 3:18 p.m.)

16

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701