

Official Transcript of Proceedings
NUCLEAR REGULATORY COMMISSION

Title: Meeting of the Advisory Committee
on the Medical Uses of Isotopes

Docket Number: (n/a)

Location: teleconference

Date: Wednesday, December 10, 2014

Work Order No.: NRC-1268

Pages 1-45

NEAL R. GROSS AND CO., INC.
Court Reporters and Transcribers
1323 Rhode Island Avenue, N.W.
Washington, D.C. 20005
(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

UNITED STATES OF AMERICA

NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

+ + + + +

TELECONFERENCE

+ + + + +

WEDNESDAY, DECEMBER 10, 2014

The meeting was convened by teleconference, at 2:00 p.m. Eastern Standard Time, Bruce R. Thomadsen, Ph.D., ACMUI Chairman, presiding.

MEMBERS PRESENT:

- BRUCE R. THOMADSEN, Ph.D., Chairman
- MILTON J. GUIBERTEAU, M.D., Vice Chairman
- PHILIP O. ALDERSON, M.D., Health Care Administrator
- FRANCIS M. COSTELLO, Agreement State Representative
- VASKEN DILSIZIAN, M.D., Nuclear Cardiologist
- SUSAN M. LANGHORST, Ph.D., Radiation Safety Officer
- STEVEN R. MATTMULLER, Nuclear Pharmacist
- MICHAEL H. O'HARA, Ph.D., FDA Representative
- CHRISTOPHER J. PALESTRO, M.D., Nuclear Medicine Physician

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 JOHN J. SUH, M.D., Radiation Oncologist
2 LAURA M. WEIL, Patients' Rights Advocate
3 JAMES S. WELSH, M.D., Radiation Oncologist
4 PAT B. ZANZONICO, Ph.D., Nuclear Medicine
5 Physicist
6

7 NRC STAFF PRESENT:

8 CATHERINE HANEY, Director, Office of Nuclear
9 Material Safety and Safeguards
10 PAMELA HENDERSON, Deputy Director, Division of
11 Materials Safety, State, Tribal and Rulemaking
12 Programs
13 CHRISTIAN EINBERG, Special Assistant, Division
14 of Materials Safety, State, Tribal and Rulemaking
15 Programs
16 MICHAEL FULLER, Designated Federal Officer
17 SOPHIE HOLIDAY, Alternate Designated Federal
18 Officer, ACMUI Coordinator
19 MARYANN ABOGUNDE, NMSS/MSTR/MSEB
20 ANDREW CARRERA, NMSS/MSTR/RPMB
21 SUSAN CHIDAKEL, OGC/GCLR/RMR
22 ASHLEY COCKERHAM, NMSS/MSTR/MSEB
23 JACQUELINE COOK, R-IV/DNMS/NMSB-B
24 SAID DAIBES, NMSS/MSTR/MSEB
25 SARA FORSTER, R-III/DNMS/MLB

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 SANDRA GABRIEL, Ph.D. NMSS/MSTR/MSEB

2 LATISCHA HANSON, R-IV/DNMS/NMSB-B

3 VINCE HOLAHAN, Ph.D., NMSS/MSTR

4 CARDELIA MAUPIN, NMSS/MSTR/RPMB

5 PATTY PELKE, R-III/DNMS/MLB

6 ANDREW PESSIN, OGC/GCLR/RMR

7 GRETCHEN RIVERA-CAPELLA, NMSS/MSTR/MSEB

8

9 ALSO PRESENT:

10 WILLIAM DAVIDSON, University of Pennsylvania

11 GLORIA ROMANELLI, American College of Radiology

12 CINDY TOMLINSON, American Society for

13 Radiation Oncology

14

P R O C E E D I N G S

2:00 p.m.

1
2
3 MR. FULLER: As the designated federal
4 officer for this meeting, I am pleased to welcome you
5 to this Public Meeting of the Advisory Committee on the
6 Medical Uses of Isotopes. My name is Mike Fuller, and
7 I am the Team Leader of the Medical Radiation Safety Team
8 in the Medical Safety and Event Assessment Branch, and
9 I have been designated as the federal officer for the
10 advisory committee in accordance with 10 CFR Part 7.11.

11 Present today as the alternate designated
12 federal officer is Sophie Holiday, the ACMUI
13 coordinator. This is an announced meeting of the
14 Committee. It is being held in accordance with the
15 rules and regulations of the Federal Advisory Committee
16 Act and the Nuclear Regulatory Commission.

17 This meeting is being transcribed by the
18 NRC, and it may also be transcribed or recorded by
19 others. The meeting was announced in the October 30th
20 2014 edition of the Federal Register, and that is in
21 Volume 79 at page 64631.

22 The function of the Committee is to advise
23 the staff on issues and questions that arise on the
24 medical use of byproduct material. The Committee
25 provides counsel to the staff but does not determine or

NEAL R. GROSSCOURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 direct the actual decisions of the staff or the
2 Commission. The NRC solicits the views of the
3 Committee and values their opinions.

4 I request that whenever possible, we try to
5 reach a consensus on the procedural issue that we will
6 discuss today, but I also recognize that there may be
7 minority or dissenting opinions. If you have such
8 opinions, please allow them to be read into the record.

9 At this point, I would like to perform a
10 roll call of the ACMUI members participating today.
11 Dr. Bruce Thomadsen?

12 CHAIRMAN THOMADSEN: Present.

13 MR. FULLER: Dr. Milton Guiberteau?

14 VICE CHAIRMAN GUIBERTEAU: Present.

15 MR. FULLER: Dr. Philip Alderson?

16 MEMBER ALDERSON: Present.

17 MR. FULLER: Mr. Frank Costello?

18 MEMBER COSTELLO: Present.

19 MR. FULLER: Dr. Vasken Dilsizian?

20 MEMBER DILSIZIAN: Present.

21 MR. FULLER: Dr. Sue Langhorst?

22 MEMBER LANGHORST: Present.

23 MR. FULLER: Mr. Steve Mattmuller?

24 MEMBER MATTMULLER: Present.

25 MR. FULLER: Dr. Michael O'Hara?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER O'HARA: Present.

2 MR. MACLEAN: Dr. Christopher Palestro?

3 MEMBER PALESTRO: Present.

4 MR. FULLER: Dr. John Suh?

5 MEMBER SUH: Present.

6 MR. FULLER: Ms. Laura Weil?

7 MEMBER WEIL: Present.

8 MR. FULLER: Dr. James Welsh?

9 MEMBER WELSH: Present.

10 MR. FULLER: And Dr. Pat Zanzonico?

11 MEMBER ZANZONICO: Present.

12 MR. FULLER: Okay. I would note that a
13 quorum has been met because we have at least seven
14 members. In fact, we have all of the members of the
15 Committee present.

16 I now ask NRC staff members who are present
17 to identify themselves. I will start with individuals
18 in the room here, and I will go ahead and name them.
19 Again, my name is Mike Fuller.

20 We have Ms. Cathy Haney, Dr. Vince Holahan,
21 Ms. Gretchen Rivera-Capella, Maryann Abogunde, Andy
22 Carrera, and Sophie Holiday. Oh, and Mr. Chris Einberg
23 is also here.

24 Okay, I'll now go to NRC Headquarters,
25 employees who are on the phone. If you are an NRC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 employee on the phone, please identify yourself.

2 MS. HENDERSON: Pam Henderson.

3 MS. MAUPIN: Cardelia Maupin.

4 MS. GABRIEL: Sandy Gabriel.

5 MR. PESSIN: Andrew Pessin.

6 MS. COCKERHAM: Ashley Cockerham.

7 MR. FULLER: Okay. Now we will go to the
8 regions. Who do we have on the call from Region I?

9 Okay, Region III?

10 MS. PELKE: Patty Pelke.

11 MS. FORSTER: Sara Forster.

12 MR. FULLER: Okay, Region IV?

13 MS. COOK: Jackie Cook.

14 MS. HANSON: Latischa Hanson.

15 MR. FULLER: Okay. At this point, I will
16 identify members of the public who notified us that they
17 would be participating today. When I call your name,
18 please answer.

19 Maxwell Amurao from Columbia University?

20 (No audible response.)

21 MR. FULLER: William Davidson, University
22 of Pennsylvania?

23 MR. DAVIDSON: Present.

24 MR. FULLER: Michael Peters, ACR?

25 (No audible response.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. FULLER: Gloria Romanelli, ACR?

2 MS. ROMANELLI: Here.

3 MR. FULLER: Mario Sanchez, CSHS?

4 (No audible response.)

5 MR. FULLER: Gary Williams, Veterans
6 Health Administration?

7 (No audible response.)

8 MR. FULLER: And Cindy Tomlinson of
9 American Society for Radiation Oncology.

10 MS. TOMLINSON: I am here, thank you.

11 MR. FULLER: I'll also note that Susan
12 Chidakel from our Office of the General Counsel at
13 Headquarters has joined us.

14 Okay, is there anyone else here on the call
15 that I did not recognize or that we were not aware of
16 until now?

17 Okay. Hearing none, I have a -- we have a
18 bridge line available, and that phone number is (888)
19 864-0940. The passcode to access the bridge line is
20 34188#.

21 This meeting is also utilizing the
22 GoToMeeting application to view presentation handouts
23 in real time. You can access this by going to
24 www.gotomeeting.com, and searching for
25 the meeting ID 939-952-657.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The purpose of this meeting is to discuss
2 the Committee's comments on the NRC's Advanced Notice
3 of Proposed Rulemaking for Title 10 of the Code of
4 Federal Regulations Part 20, Standards for Protection
5 Against Radiation.

6 Individuals who would like to ask a
7 question or make a comment regarding specific issues the
8 Committee has discussed should request permission to be
9 recognized by the ACMUI chairperson, Dr. Bruce
10 Thomadsen. Dr. Thomadsen at his option may entertain
11 comments or questions from members of the public who are
12 participating with us today.

13 Comments and questions are usually
14 addressed by the Committee near the end of the meeting,
15 after the Committee has fully discussed the topic. I
16 would also like to add that the handouts and agenda for
17 this meeting are available on the NRC's public website.

18 At this time, I would like to ask everyone
19 on the call who is not speaking to place their phones
20 on mute. If you do not have the capability to mute your
21 phone, please press *6 to utilize the conference line
22 mute and un-mute functions.

23 I would also ask everyone to exercise
24 extreme care to ensure that the background noise is kept
25 at a minimum, as any stray background sounds can be very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 disruptive on a conference call this large.

2 At this point, I would like to turn the
3 meeting over to Catherine Haney, Director of the Office
4 of Nuclear Material Safety and Safeguards, for some
5 opening remarks.

6 MS. HANEY: Thanks, Mike. Good
7 afternoon, everyone. As Mike said, my name is Cathy
8 Haney. I am the new Office Director in the Office of
9 Nuclear Material Safety and Safeguards and the Advisory
10 Committee on Medical Uses of Isotopes does report up
11 through my organization, so I look very much forward to
12 working with the Committee on this topic of Part 20 as
13 well as it moving forward to other projects.

14 I do have a past history of having worked
15 with the ACMUI. In fact, I was in Mike's position as
16 the designated federal official back several years ago
17 when Dr. Barry Siegel was Chair of the Committee, so I
18 am very familiar with the charter and the roles and the
19 responsibilities of ACMUI and the value that they add
20 to our regulatory processes.

21 So with that, I will meet you all in person
22 when you're in for the next meeting, but I did want to
23 again take advantage of this opportunity to at least
24 introduce myself. So with that, I'll turn it to you Dr.
25 Thomadsen to go forward with the meeting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: Thank you, Cathy.
2 Thank you very much.

3 One additional caution as far as phone
4 handling: If you are going to be leaving the call,
5 please do not put your phone on hold if your institution
6 plays music during that; mute your phone, please.

7 And thank you all for attending. Right
8 now, I am going to turn the proceedings to Dr. Langhorst,
9 who chaired the report, to walk through the report and
10 get her comments. During that, I am going to ask not
11 to go through all of the detail of the report. We have
12 had that to look at. But to hit the highlights, and
13 we'll cover the recommendations and have discussions as
14 necessary as it comes up with those.

15 With that, Dr. Langhorst.

16 MEMBER LANGHORST: Dr. Thomadsen, thank
17 you very much.

18 And first off, I want to let everyone know
19 that Sophie Holiday will be taking care of the
20 GoToMeeting. We have our draft report up there, and
21 forgive me, I am still in the mindset of only a vocal
22 teleconference, so didn't even think about potential
23 slides, so I apologize for not having that in mine.

24 As shown there on the report, there's a few
25 people who may have not muted their phone. That would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be very helpful if you could mute your phone, thank you.

2 Mr. Costello, Dr. Dilsizian, myself, Mr.
3 Mattmuller, and Dr. Zanzonico are the subcommittee
4 folks, and our charge was to provide specific questions
5 and recommendations in regard to the NRC's advance
6 notice of proposed rulemaking for the Part 20.

7 This was given to us in September, and I so appreciate
8 our subcommittee's time to get this report put together.

9 The NRC presented -- there is someone who
10 still hasn't muted their phone, and it would be very
11 helpful if you could mute your phone. Thank you.

12 The NRC presented their information on this
13 proposed rulemaking -- this advanced notice of proposed
14 rulemaking, in six different issues, each having an
15 issue paper, and then in the Federal Register, there
16 were a series of specific questions that they had in
17 regard to each.

18 We assigned various individuals to each of
19 these topics, each of these issue papers, and drafted
20 our specific recommendations and answers to the
21 questions.

22 So first of all, let me go through what our
23 general recommendations were on each of these issue
24 papers, and then I will ask each of our subcommittee
25 members to go through their portion of the report and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 give some highlights and lead discussion of questions
2 that the Committee may have in regard to each of these
3 topics.

4 So first of all, Issue Paper 1, we recommend
5 that ACMUI supports the update of Part 20 to align with
6 International Commission on Radiological Protection
7 Publication, ICRP 103, Methodology and Terminology.

8 Issue Paper 2, ACMUI supports the change of
9 the occupational dose limit for the lens of the eye to
10 50 millisieverts, or 5 rem.

11 Issue Paper 3, the ACMUI does not support
12 the change of the dose limit for the embryo/fetus of a
13 declared pregnant occupational worker from 5
14 millisieverts or 500 millirem over the gestation
15 period.

16 Issue Paper 4, the ACMUI does not support
17 revising or adding regulatory requirements regarding a
18 licensee's ALARA program.

19 Issue Paper 5, the ACMUI supports the
20 change to use International System of Units, the SI
21 Units, in radiation protection regulation, but it
22 recognizes the need by some licensees to have a
23 transition period to move from the use of conventional
24 units.

25 And Issue Paper 6, the ACMUI does not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 support expansion of additional categories of licensees
2 that should be required to submit annual occupational
3 exposure reports under 10 CFR 20.2206(a).

4 Please forgive my editing mistake here.
5 We did talk about this additional phrase that I had here
6 and decided not to move forward with. I'll talk about
7 that more but forgot to delete it here.

8 As far as cumulative effects of regulation
9 goes, I would like to speak of that at the end of our
10 presentation.

11 So at this point, I would like to have Dr.
12 Zanzonico lead -- give a short summary of the Issue Paper
13 1 recommendations and answers and discuss from there.
14 So Dr. Zanzonico, I'll turn it over to you.

15 MEMBER ZANZONICO: Okay, thank you Dr.
16 Langhorst, and hello everyone. This is Pat Zanzonico
17 from New York.

18 And the issue I dealt with, Issue Paper 1,
19 was the update of 10 CFR Part 20 to align with ICRP
20 Publication 103, Methodology and Terminology. And as
21 Dr. Langhorst stated, our subcommittee and the ACMUI as
22 a whole support this alignment, and I'd just like to
23 highlight some of the sub-issues, so to speak, that
24 comprise this issue.

25 The first of these is replacing the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 regulatory quantity total effective dose equivalent
2 with the quantity effective dose, and as many of you
3 know, of course, the effective dose is now the standard
4 quantity used internationally and in the U.S. to express
5 overall stochastic risk, and really the total effective
6 dose equivalent or TEDE is only accounted nowadays in
7 the NRC regulatory literature, so it's both
8 scientifically and logistically important, we think, to
9 switch to this more general, more universally
10 recognized, and more current metric of overall
11 radiation risk, namely the effective dose. So we
12 certainly support that alignment.

13 As part of that, the ACMUI also endorses the
14 use of the latest tabulation of tissue weighting
15 factors, or W_T quantities, and radiation weighting
16 factors, W_R quantities that are used to encapsulate
17 effective dose. These latest values have been
18 tabulated in ICRP 103, and along with transitioning from
19 the total effective dose equivalent as the regulatory
20 dose limit quantity, we of course recommend adoption of
21 these newer weighting factor values tabulated in ICRP
22 103 as well.

23 Also included in ICRP Publication 103 are
24 updated isotope-specific biokinetic, obviously for
25 different isotopes, and the associated dose conversion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 factors or DCFs. And the DCFs, of course, are basically
2 the absorbed dose per unit activity internalized,
3 inhaled, ingested, administered, however internalized,
4 to each organ.

5 And these models may impact annual limits
6 on intakes or ALIs and derived air concentration limits,
7 or DACs, to some extent, so these may have both a
8 financial and logistical impact on licensees, but
9 again, we think it's important that the NRC regulations
10 be based on the latest, the most scientifically current
11 and credible models, which are those in ICRP Publication
12 103.

13 I should, just for a moment, return to the
14 issue of transitioning from the total effective dose
15 equivalent to effective dose. Although these are
16 technically different, we really don't anticipate that
17 there would be a significant impact other than
18 logistical, administrative, so forth, on licensees, as
19 numerically there probably will not be a significant
20 difference in the values of TEDs versus EDs, total
21 effective dose equivalents versus effective doses.

22 The other issue was whether with the
23 availability now of increasingly realistic
24 mathematical anthropomorphic models, anatomic models,
25 now including one year-old, five year-old, ten year-old

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 children, fifteen year-old males and females, and adult
2 males, whether the age and gender averaged, those
3 conversion factors as derived from the different
4 models, should be used as the basis for regulatory dose
5 limits. And we certainly endorse that as well.

6 Obviously, any exposed or potentially
7 exposed population for whom dose limits are being
8 established, at least among the public, will consist of
9 a combination of different-aged individuals and both
10 males and females, so it makes sense of course to reflect
11 that in dose limits, which will be accomplished by
12 adopting age and gender average dose conversion factors
13 based on the latest ICRP models or phantoms rather.

14 So those summarize our -- the recommendations of
15 the Committee with respect to Issue 1. Dr. Langhorst,
16 I don't know if we are going to take questions or
17 comments at this point or after all of the issues have
18 been reviewed, but I will defer to you on that point.

19 MEMBER LANGHORST: This is Sue Langhorst.
20 I would open it up for our Committee to ask questions.

21 MEMBER ZANZONICO: Understood. I am
22 happy to entertain any questions, comments, et cetera.

23 CHAIRMAN THOMADSEN: This is Bruce
24 Thomadsen. I don't have a question. I think it's a
25 good analysis and good recommendations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In the report, I would suggest writing out
2 effective dose rather than using the abbreviation ED
3 only so it doesn't get mistaken by a casual reader for
4 equivalent dose.

5 MEMBER ZANZONICO: Understood. Perhaps a
6 more general suggestion might be to include a glossary
7 or appendix of abbreviations to our reports, but that
8 aside, certainly we can -- that can be, ED can be written
9 out as effective dose.

10 MEMBER WELSH: Pat, this is Jim Welsh.

11 MEMBER ZANZONICO: Yes.

12 MEMBER WELSH: I agree that the analysis is
13 sound and the conclusions are logical. However, I have
14 a more fundamental question, or not really a question,
15 but maybe a simple comment, that although the TEDE might
16 be an outdated construct and concept, replacing it with
17 the more modern and more popular ED may or may not truly
18 be a step in the correct direction.

19 It may be more in line with the rest of the
20 world, but the fundamental question remains regarding
21 the validity of the whole concept of effective dose, and
22 many of us still are highly skeptical of that validity
23 and when it comes to low radiation doses, we still are
24 extrapolating based on a linear non-threshold
25 hypothesis to come up with appropriate weighting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 factors when using the effective dose concept.

2 And I just would throw that out there as a
3 reminder that although this is logically consistent, it
4 may not be scientifically real.

5 MEMBER ZANZONICO: This is Pat Zanzonico
6 again. I will offer my opinion, and obviously that
7 opens a large and continually controversial issue,
8 namely the validity and applicability of the linear
9 non-threshold hypothesis and so forth.

10 My understanding, however, is that both the
11 total effective dose equivalent, as well as the
12 effective dose, suffer from that deficiency, so to
13 speak, and that the really -- the real difference, and
14 perhaps the only difference between the effective dose
15 and the total effective dose equivalent is not one of
16 conceptual meaning, or the underlying radiological
17 bases, but rather the tissue weighting factors and
18 radiation weighting factors that are used.

19 And I believe that also in ICRP Publication
20 103, more specific normal tissues are identified, and
21 fewer are summed into the remainder of body, and those
22 weighting factors, tissue weighting factors,
23 presumably reflect the latest epidemiological,
24 radiation epidemiological data.

25 Now, again, to the extent that the linear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 non-threshold model may not be valid down at below dose
2 range, those values may be questionable as well. But
3 I think the tissue weighting factors used to calculate
4 the total effective dose equivalent suffer from the same
5 deficiency.

6 So I don't think either one or the other
7 quantity is superior to the other on the basis of its
8 conceptual meaning, but the effective dose, besides
9 being more widely used nowadays, at least reflects the
10 latest radiation epidemiology data, so on that basis I
11 would still recommend its adoption.

12 MEMBER WELSH: Yes, I -- this is Jim Welsh
13 again. Yes, I would agree with you on your points that
14 you've raised, yet it remains that if LNT is a fallacy,
15 effective dose and TEDE is a fallacy as well, and since
16 that possibility exists, it raises the specter of should
17 there be different weighting factors at different
18 doses?

19 I suppose for simplicity, if you assume
20 LNT, well one weighting factor for one particular organ,
21 is going to work fine. You don't have to change the W
22 value for each different organ and for each different
23 dose, which would make it a very complicated and
24 cumbersome mathematical problem.

25 But we're -- from my perspective,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 simplicity of the math should not be the driving factor,
2 it should be the scientific accuracy and validity of the
3 conclusions. And therefore, since I am questioning the
4 validity of LNT, I have to question the validity of TEDE
5 or ED.

6 And I just raise it as a commentary because
7 I know we need to use something and we're not going to
8 get into great depth on whether we can change this
9 potential fallacy today, but I just remind folks of the
10 potential shortcomings of using LNT and the
11 implications.

12 MEMBER ZANZONICO: Understood. This is
13 Pat Zanzonico again. I mean, your point is very well
14 taken. Needless to say, I would agree that it is
15 probably beyond the scope of what we can accomplish
16 today and well beyond today.

17 My only suggestion is perhaps we could
18 include simply a comment, add a comment to our report
19 just briefly raising that point. But beyond that, I
20 just think it's beyond the scope of our mandate and what
21 we can hope to accomplish.

22 MEMBER WELSH: Agreed.

23 CHAIRMAN THOMADSEN: And this is Bruce
24 Thomadsen. And I think the point is extremely well
25 taken, but since I haven't seen a good table of dose

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dependence tissue weighting factors, probably the
2 better way to put it is that the use of the effective
3 dose to predict hazard is probably inappropriate at low
4 doses.

5 So rather than saying effective dose has to
6 be thrown out at this case because we need to have
7 something, just rather qualify how useful it might be
8 in predicting health hazards at low doses.

9 And there are -- there is documentation
10 that we could put on that, particularly the statement
11 from the Health Physics Society.

12 MEMBER ZANZONICO: Yes, yes.

13 MEMBER LANGHORST: This is Sue Langhorst.
14 Also, I know that the BEIR Committee is starting to get
15 constituted to look at a new BEIR report, so that may
16 be additional information that comes in the future.

17 MEMBER ZANZONICO: Yeah, and I know Dr.
18 Boyce from the NCRP is among the leaders of a million
19 man follow-up study that will take some years to
20 complete, needless to say. But that should also
21 provide some quantitative insights into low dose
22 effects and low dose weighting factors, but again, that
23 is some years down the line.

24 MEMBER LANGHORST: This is Sue Langhorst.
25 Are there any other questions for Dr. Zanzonico?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Okay. This is Sue Langhorst again.
2 Hearing none, Dr. Zanzonico, thank you so very much.

3 MEMBER ZANZONICO: Thank you.

4 MEMBER LANGHORST: Now we will move on to
5 Issue Paper 2 on Occupational Dose Limit for the Lens
6 of the Eye. And Dr. Dilsizian was the one who led this
7 effort, and Vasken, I'd like to ask you to summarize this
8 and lead the discussion.

9 MEMBER DILSIZIAN: Thank you Dr. Langhorst
10 and the subcommittee members for their valuable input
11 in preparing this document.

12 The ACMUI subcommittee does support the
13 change of the occupational dose limit of the lens from
14 current 15 rems to 5 rems, which is in close alignment
15 with the recent ICRP Publication 118 (2012)
16 recommendations. And this is based on the recent human
17 epidemiological studies which suggest that the
18 radiation cataract may actually occur with
19 significantly lower doses of ionizing radiation than
20 was previously estimated, and this is based on studies
21 that included Chernobyl nuclear reactor accident
22 cleanup workers as well as radiologic technologists,
23 interventional radiologists, and cardiologists.

24 Unlike other potential radiation effects,
25 however, a cataract can be effectively treated by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 surgery. However, prevention rather than treatment
2 should be the goal.

3 And so the recommendation would be to focus
4 on the personnel that are exposed to these byproduct
5 materials and x-ray sources, and those would be the
6 interventional radiologists performing yttrium-90
7 microsphere therapies as well as perhaps some
8 cardiologists who are still performing intravascular
9 brachytherapy and all of the personnel that are affected
10 by being in the interventional suite.

11 And the current approach, therefore, would
12 be three approaches of shielding: one, the
13 portable/moveable transparent scatter-shielding on
14 leaded glass screen; second would be an eyewear such as
15 leaded glasses for personal use; and the third would be
16 the overall personal protection suit that is one of
17 these lead equivalent thickness so-called zero gravity
18 type suit that protects the eye, the brain, as well as
19 the rest of the body.

20 And so for physicians and trainees who are
21 directly at the table involved with an interventional
22 radioembolization procedure, we feel that the use of eye
23 protection should be mandatory. Regarding the
24 ancillary staff that is in the room, that are three feet
25 away from the table, we felt that the eye protection

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 should be recommended.

2 As to the -- how do we measure the exposure
3 to the lens? The current most widely used method is
4 measuring or assessing the dose to the lens from the body
5 dosimeter, which is one at the point of highest
6 exposure. However, if there would be circumstances
7 where the radiation field is non-uniform, that is, the
8 eye would be receiving a higher dose than the body, then
9 there would be eye-specific dosimeters that are
10 currently available which could be worn with a head
11 strap above the eyebrows and near the eyes, and perhaps
12 those can provide a better measure directly of the lens
13 dose.

14 What is the overall estimated dose to the
15 lens? A current busy interventional suite at a major
16 inner city academic institution, it is estimated that
17 the eye exposure would be between 4 to 8 rems. Using
18 both eyeglasses, as well as a shield simultaneously, may
19 reduce the dose by a factor of 25 or more. The entire
20 personal protection suit does a much better job,
21 although it comes at much more expense.

22 The whole body suit is estimated to cost about
23 \$70,000 per suit, and that would be required for two in
24 one room. The typical shield is about \$10,000 and the
25 personal leaded glasses would be approximately \$400 per

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 person.

2 And so how would this be enforced?
3 Obviously, it should be implemented through the
4 institutional Radiation Safety Committee and Human Use
5 Subcommittee, enforced by the Environmental Health
6 Services, and also perhaps annual inspections and Q&A
7 programs such as The Joint Commission and CMS.

8 That will be the conclusion of my
9 presentation. Any questions?

10 CHAIRMAN THOMADSEN: This is Bruce
11 Thomadsen. The recommendations that are stated here
12 seem to all be targeted towards interventional
13 radiology. Do you have -- does the subcommittee have
14 recommendations dealing with brachytherapy?

15 MEMBER DILSIZIAN: I thought that the
16 brachytherapy is a very local exposure, and we really
17 did not feel that that would be exposing the lens
18 significantly [enough] to alter the current radiation
19 safety approaches.

20 MEMBER LANGHORST: This is Sue Langhorst.
21 Dr. Thomadsen, we did not, from our experience, feel
22 that there were very high doses of any merit as far as
23 reaching a level of 5 millisieverts, 5 rem in a year,
24 or -- excuse me, 5 millisieverts, 5 rem in a year to
25 brachytherapy personnel. Do you disagree with that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: I do not disagree. It
2 may be a good idea to include some statement to that
3 effect in the report.

4 MEMBER LANGHORST: Okay, that sounds like a
5 good idea.

6 MEMBER DILSIZIAN: Sure, we'll do that.

7 MEMBER LANGHORST: Are there any other
8 questions or comments? Sorry, this is Sue Langhorst.

9 Okay, hearing none, thank you so very much.

10 Okay, so we will move now to Issue Paper 3,
11 which is Dose Limit for the Embryo/Fetus of a Declared
12 Pregnant Occupational Worker. And I was the one
13 assigned this task, so I will go through it.

14 We evaluated scientific basis of this risk,
15 and this is still a very controversial subject, and in
16 my write-up of this and sharing this information with
17 our subcommittee, I relied heavily on Dr. Robert Brent's
18 work, who is one of the world's experts in exposure to
19 the embryo/fetus, and we do not recommend that this dose
20 limit be lowered from what it is at this point in time.

21 It is particularly problematic to have a dose
22 limit at a level if we went to the 100 millirem
23 regulatory dose limit, that is equivalent to one of my
24 workers moving from St. Louis to Denver and getting 100
25 millirem more than they would here in a year.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In regard to the current recommendations, the
2 NRC Issue Paper 3 did a very good job of going through
3 what were the current recommendations. However, I did
4 want to point out one error in their conclusions. In
5 the NCRP report, and let me get that number, the NCRP
6 Report No. 174, which was, that writing committee was
7 chaired by Dr. Brent, the NCRP did not change their
8 recommendation for the fetal dose limit.

9 NCRP made recommendation in their 1993 report
10 which is No. 54, and they continue to recommend a dose
11 limit of 50 millirem per month over the pregnancy, and
12 so that's roughly equivalent to 500 millirem in a -- over
13 the gestation period.

14 Also, we discussed the application of using
15 a public dose limit for this type of individual dose
16 limit. And typically, public dose limits do not have
17 an identified individual. It is more of a design
18 criterion, and was set low at 100 millirem so that
19 licensees did not have to consider other licensee doses
20 to members of the public.

21 And so in the case of a declared pregnant
22 worker, this is a radiation worker who is occupationally
23 exposed, who has training in radiation safety, and is
24 most likely assigned a personnel dosimeter into their
25 normal radioactive work or radiation work, and so they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are a known entity. When we have one here at Washington
2 University, we do issue a fetal dosimeter that they wear
3 at their waist. And so we feel like it is very
4 acceptable to maintain that limit, the current limit of
5 500 millirem, rather than going to 100 millirem.

6 And I know in our instance, pretty much, they
7 are very much lower than 100 millirem anyway, but it is
8 problematic when you have a limit, a dose limit, at that
9 low level, and it's even difficult to measure sometimes
10 a monthly level at that low accumulating dose of 10
11 millirem, roughly, a month.

12 I did want to ask the Committee -- hopefully
13 you had a chance to read all of our specific answers,
14 but on the last question of the section it talks about
15 are there data on actual dose distributions to the
16 embryo/fetus of a declared public worker, and what are
17 the trends of these data?

18 I don't know of any specific report in that
19 regard and certainly would be -- would ask if you all
20 have anything that you can point us to, we could include
21 something.

22 If you can mute your phone, that would be
23 great. If you have anything specific in regard to that,
24 we would certainly be open to a reference to help the
25 NRC in this regard.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So with that, I will ask if there's any
2 questions or comments from the Committee.

3 MEMBER ALDERSON: This is Dr. Alderson. I'd
4 like to make a comment.

5 The potential, if the -- I support the
6 Committee's position. If the threshold was to be
7 lowered to 100, the potential for mathematical
8 overexposures is much higher, and we all know that
9 unfortunately in the best of circumstances there are
10 problems with pregnancies and fetuses. So I think that
11 the potential for a mathematically created liability
12 for many organizations is fairly high. So I think
13 that's another reason to keep the level where it is.

14 MEMBER LANGHORST: Thank you very much -- oh,
15 this is Sue Langhorst. Thank you very much for that
16 comment.

17 Are there any other comments?

18 Okay. Thank you very much. Moving on,
19 Issue Paper Number 4 is Individual Protection -- ALARA
20 Planning, and Mr. Costello was assigned this, and so
21 Frank, I will ask you to summarize and lead that
22 discussion.

23 MR. COSTELLO: Thank you, Dr. Langhorst.
24 Basically, the current Part 20 that we have has a
25 requirement for ALARA for occupational doses and public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doses, but it doesn't provide any more restrictive
2 requirements than that.

3 And I think that -- and it's our position that
4 we want to keep the regulation the way it is. And the
5 heart of our argument is the phrase "reasonably
6 achievable." It would be very hard, I think, to have
7 more specific requirements, which apply to all types of
8 licensees from those with gas chromatographs to those
9 who operate nuclear power plants, and have it applied
10 that that be reasonably achievable so they'd be the
11 same.

12 In going through this, just looking at it from
13 the medical licensee's point of view, there are very few
14 cases where employees of medical licensees receive
15 doses anything like the regulatory limits, and in fact,
16 across the industry, not that many people do that. I
17 think that the safety culture of each type of licensee
18 differs so much, it would be hard to have more
19 prescriptive requirements than that.

20 In some ways, the answers to the questions
21 follow the same theme. The ALARA programs, by their
22 very nature, have to be tailored to the particular
23 licensee, and so making more restrictive requirements
24 than that is not a good idea; it's a bad idea. And in
25 question four, they had "Should licensees be allowed to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 establish different ACLs?" And I would say that is
2 certainly the case, and they do.

3 For those who have medical licensees, they
4 know, for the most part, they have a lot of levels --
5 level one, level two, based on the experience they have
6 with the doses that they receive.

7 The -- we do not recommend any new methodology
8 to make the ALARA requirements more prescriptive.
9 Let's see.

10 The question of what are the potential
11 impacts to licensees and such that require a licensee
12 to account for exposure, the ALARA requirement that the
13 licensee is responsible for making sure that the workers
14 don't go over the limit from all sources, it's a hard
15 thing sometimes to enforce that because people work a
16 lot of places, but I don't think that a regulatory change
17 is necessary to address this, there is a requirement
18 already there.

19 With that, it's a pretty short summary.
20 Someone on the Committee suggested that I just say "no".
21 But the bottom line is, I think that the current ALARA
22 requirement is adequate and making it more prescriptive
23 is not recommended at all. Thank you.

24 MEMBER LANGHORST: Hi, this is Sue
25 Langhorst. Are there any questions or comments for Mr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Costello?

2 MEMBER ZANZONICO: This is Pat Zanzonico.
3 Just a comment, and I think this is to reinforce what
4 Mr. Costello said.

5 A, to me, a prescriptive ALARA is the
6 equivalent of a regulation. So you either have ALARA,
7 leaving it to the licensees to take action to keep doses
8 as low as reasonably achievable, or you introduce new
9 regulations. And so I think there's a logical
10 inconsistency that ultimately dictates that
11 non-prescriptive ALARA recommendations are what should
12 be on the books, and that's exactly as Mr. Costello has
13 said.

14 MR. COSTELLO: I totally agree. I mean,
15 prescriptive ALARA is almost oxymoronic. It is almost
16 a contradiction in terms.

17 CHAIRMAN THOMADSEN: This is Bruce
18 Thomadsen. Just another readability issue -- in the
19 answer to question seven. Following the answer, there
20 are some references in the CFR, and all of that is put
21 in italics, which is what designates the questions in
22 this document. I'd recommend doing something
23 different with those citations so that they don't look
24 like another question that's following.

25 MR. COSTELLO: I agree, that was my fault.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: And we're a non-blame
2 culture here.

3 MEMBER LANGHORST: This is Sue Langhorst.
4 We will make that change Dr. Thomadsen, thank you very
5 much for that comment.

6 Are there any other comments or questions?

7 Great. Hearing none, let us move on then to
8 Issue Paper 5. This is Metrication -- Units of
9 Radiation Exposure and Dose. And Mr. Mattmuller led
10 this effort, so if Steve, if you would summarize that
11 and lead that discussion. Thank you so much.

12 MR. MATTMULLER: Hi. This is Steve
13 Mattmuller. And of all the issues, this one was
14 probably the least controversial and the one which I
15 think, despite me wanting to take credit for brilliant
16 arguments, everyone was pretty much in agreement with
17 before I even got started.

18 And really, to be brief, to summarize, I think
19 it could best be summarized by the Health Physics
20 Society's position statement of "Nearly all the
21 countries in the world, many with well-established
22 nuclear industries, have effected this transition
23 successfully, without compromising health and safety,
24 and have demonstrated that complete conversion to
25 current international units is certainly practical and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doable."

2 So perhaps we have an opportunity to get some
3 branding with the Nike corporation and we should just
4 adopt their logo of "Just do it." So the Committee's
5 recommendation is to adopt the SI Units, essentially as
6 soon as possible. And that's the end of my summary.
7 Open for questions.

8 MEMBER LANGHORST: This is Sue Langhorst.
9 Steve, thank you so much. That -- I agree, especially
10 in the medical arena, we're just so used to -- some of
11 us more than others -- used to using the SI Units that
12 it would be very helpful to have that ability to switch
13 to those when we can. Any other questions or comments
14 from the Committee?

15 MEMBER ZANZONICO: This is Pat Zanzonico,
16 just a comment. As we know, radiation, radioactivity,
17 doesn't recognize national borders. And certainly if
18 there are incidents or events where there's radiation
19 exposures that may involve more than one country or even
20 a single country but individuals from multiple
21 countries, as I think we saw in the Fukushima event, it
22 really can complicate addressing these issues when
23 different groups are using different systems of units,
24 so I think it's long overdue and really makes sense to
25 comply with sort of the international standard in using

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the SI system of units. Thank you.

2 MEMBER LANGHORST: Any other questions or
3 comments from the Committee? This is Sue Langhorst.

4 Okay. Hearing none, we will move on to Issue
5 Paper 6, Reporting of Occupational Exposure. And that
6 was -- I guess, being chair, I get two of these sections,
7 so that's my punishment.

8 So in regard to 10 CFR 20.2206(a), the NRC
9 requires certain types of licensees to provide them with
10 annual occupational exposure information, and the NRC
11 is asking in this section, should that be increased?
12 Should that be expanded to more types of licensees?

13 And I looked at the NRC's latest Occupational
14 Radiation Exposure Report, which is referenced here,
15 and in regard to reactor licensees, this makes a lot of
16 sense because the NRC is the sole regulator in regard
17 to radiation exposure in those types of licensees, and
18 in the fuel cycle licensees also.

19 In regard to material licensees, we all know
20 that's a little bit different of a picture. The NRC
21 does recognize that this requirement is not necessarily
22 imposed by Agreement States, although I think there are
23 Agreement States that do provide that information to the
24 NRC.

25 And so in this discussion, the NRC was asking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the questions of whether this should be expanded to get
2 more occupational exposure for various reasons. And if
3 you go to the answer we put together on question three,
4 Sophie if you can move down to that table, I tried to
5 put together a little bit of an understanding of who all
6 is exposed to radiation and not necessarily just
7 radioactive material, and who was regulatory authority
8 over this.

9 So this was my attempt of trying to get a rough
10 estimate, and I think it's consistent with how the NRC
11 has listed numbers of licensees in their Occupational
12 Dose Report in 2012.

13 As you all know, some of the -- a lot of our
14 radiation exposure in the medical community comes from
15 radiation-producing machines rather than radioactive
16 materials covered by the NRC, and so those are regulated
17 by the States and to some extent to OSHA, Occupational
18 Safety and Health Administration, and so basically, we
19 recommend that there not be an expansion of licensees
20 for this because it would not meet a need for a national
21 data gathering of occupational radiation exposures, and
22 that probably -- if such a data gathering was deemed
23 helpful, then the question of who should be doing that
24 and how it's done needs to be expanded much more than
25 NRC's regulatory purview.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In, as I mentioned, in the beginning that I
2 had inadvertently left a phrase in on the general
3 recommendations that we have listed on the first page,
4 I should have deleted "except for considering the
5 addition of a possession category for 100 curies of
6 fluorine-18." So the reason that I wanted to raise that
7 question with the subcommittee was, you know, is there
8 need to do some dose -- occupational dose data gathering
9 for these types of licensees that is new to the NRC's
10 regulatory authority as of 2009, I believe, was when
11 this -- well, at least that's when it was implemented
12 for the State of Missouri.

13 And ultimately what we discussed was that
14 this is a relatively small number of occupational
15 workers and again, it has that same issue of Agreement
16 States needing -- or Agreement States licensing a lot
17 of these cyclotron production facilities, and so they
18 wouldn't necessarily come under this regulatory
19 authority that NRC is discussing. So ultimately we
20 decided that it just didn't need to be expanded to that
21 small set of workers.

22 And I think that concludes my summary. I am
23 glad to take any questions or comments that the
24 Committee may have. Are you all still there?

25 CHAIRMAN THOMADSEN: Yes, Bruce Thomadsen

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 here, and one -- in support of what you've recommended
2 here, the concept of trying to come up with some average
3 occupational exposure by looking at reports of exposure
4 misses a very large class of radiation workers at
5 facilities such as the universities here, which are not
6 badged because they have an extremely low likelihood of
7 every getting close to a tenth of their maximum
8 permissible dose.

9 And if it were added in to the denominator of
10 occupational workers, it would certainly change
11 markedly that average occupational dose. So going to
12 a great expense to try to expand the numerator of that
13 equation hardly seems worth the expense that it might
14 cause the people who would have to start generating
15 reports.

16 MEMBER LANGHORST: Thank you. Frank, could
17 you mute your phone please? Thank you.

18 MR. COSTELLO: Sorry, sorry sorry.

19 MEMBER LANGHORST: Thank you, Dr. Thomadsen.
20 I will add something in there to that effect. I think
21 that's a very good point. I appreciate that.

22 Are there any other comments or questions?
23 Okay. Let me go back then to the general
24 recommendations and address that.

25 One recommendation we had on the cumulative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 effects of regulations -- there were a series of
2 questions in the advanced notice for proposed
3 rulemaking in regard to this, but we really felt like
4 we only had just one response, as far as the question
5 on how implementation should be handled. And those of
6 you who have been around as long as I have remember that
7 there was a significant change in 10 CFR Part 20
8 implemented in 1991, and we felt that the NRC had a
9 really good way of making that implementation change in
10 that when that final rule was published, they allowed
11 licensees to change to the new system, and obviously it
12 was either you had -- you worked under the new Part 20
13 or you continued working under the old Part 20 -- I think
14 it wasn't as soon as 30 days after the publication of
15 the new Part 20, but definitely within a certain time
16 frame, and I think it wasn't quite two years, but close
17 to that.

18 And we recognize, while we have been
19 reviewing these questions and answers in regard to
20 medical licensees and those -- what I call a medical
21 support licensee that helps in the medical use of
22 isotopes, that there is a challenge to changing
23 equipment such as meters, there's a challenge of
24 changing computer systems, of recordkeeping, and so on.

25 And so we would recommend that the NRC again

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 implement an implementation plan much like they did last
2 time, but maybe even have at least three years to allow
3 licensees to switch over, in particular to new units and
4 new -- the new dosimetry methodology and terminology.

5 I'll ask if the Committee has any questions
6 or comments in regard to that.

7 MEMBER ZANZONICO: This is Pat. I agree, of
8 course, and I think one point worth noting is so many
9 of our records are computer-based these days and using
10 commercial as well as homemade software, and one should
11 never underestimate the time it takes to re-code and
12 debug and otherwise test computer code, even for very
13 -- seemingly very simple revisions, so I think a three
14 year time frame for implementation is certainly
15 reasonable and warranted.

16 MEMBER LANGHORST: Thank you. This is Sue
17 Langhorst again. Any other comments or questions?

18 So Dr. Thomadsen, this concludes our
19 presentation. I will turn it back over to you for
20 further discussion.

21 CHAIRMAN THOMADSEN: Thank you very much.
22 Are there any comments from the Committee on this
23 report?

24 MEMBER ALDERSON: Yeah, this is Dr.
25 Alderson. I'd just like to compliment Dr. Langhorst

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and her team. I think this was a terrific documentation
2 and excellent report.

3 CHAIRMAN THOMADSEN: Thank you very much,
4 and I certainly second that. Other comments?

5 In that case, I will open the floor to other
6 than the Committee who would like to comment on the --
7 or ask questions.

8 I am not hearing any. In that case, I think
9 we have two motions that we should look to right now.
10 The first is to accept [recommendations in] the report,
11 and the second is to endorse the report. So given that
12 this is a subcommittee report, we don't need a second,
13 and the subcommittee, I assume, is making the motion to
14 approve its own report. And Dr. Langhorst, is that the
15 case?

16 MEMBER LANGHORST: Yes, this is Sue
17 Langhorst. I would say with some of the minor
18 adjustments that we said we would be making like the edit
19 on the first page and adding a few extra comments that
20 we have discussed here in the meeting.

21 CHAIRMAN THOMADSEN: Very good. So we will
22 take a vote on accepting the [recommendations in the]
23 report. And we can probably do it by voice. All in
24 favor, say aye.

25 (Chorus of ayes.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: Are there any opposed?

2 (No audible response.)

3 CHAIRMAN THOMADSEN: Are there any
4 abstentions?

5 (No audible response.)

6 CHAIRMAN THOMADSEN: Then I would say that
7 the ACMUI has accepted the [recommendations in the]
8 report, and we do certainly give a lot of credit to the
9 Committee for doing a great job on that.

10 The next would be to endorse the report as the
11 recommendation from the full Committee. And Dr.
12 Langhorst, again, would you be making that motion, of
13 course with the edits that you mentioned?

14 MEMBER LANGHORST: Yes, this is Sue
15 Langhorst, and yes, I make that motion.

16 CHAIRMAN THOMADSEN: Very good. Are there
17 any -- is there any discussion on that motion?

18 Hearing none, all in favor, please say aye.

19 (Chorus of ayes.)

20 CHAIRMAN THOMADSEN: Are there any opposed?

21 (No audible response.)

22 CHAIRMAN THOMADSEN: Are there any
23 abstentions?

24 (No audible response.)

25 CHAIRMAN THOMADSEN: In that case, we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 approved the recommendations of this report as our own.

2 Is there any other business Sophie that we
3 have to take care of before we go? I don't think we can.

4 MS. HOLIDAY: No, I don't believe that there
5 are any further actions. I did want to take the
6 opportunity, because I don't think -- it was a little
7 bit of an oversight on our part, but you guys may have
8 heard Mike mention Dr. Michael O'Hara during the roll
9 call. Dr. Orhan Suleiman was the [previous] ACMUI FDA
10 representative, and he retired from federal service in
11 October. So the FDA has appointed Dr. Michael O'Hara as
12 the new ACMUI FDA representative.

13 MEMBER O'HARA: It's nice to be working with
14 all of you.

15 CHAIRMAN THOMADSEN: And congratulations
16 and welcome.

17 MEMBER O'HARA: Thank you.

18 CHAIRMAN THOMADSEN: With that --

19 MEMBER LANGHORST: Dr. Thomadsen, this is
20 Sue Langhorst.

21 CHAIRMAN THOMADSEN: Dr. Langhorst?

22 MEMBER LANGHORST: Yes, I would just like to
23 thank the subcommittee members. They did an awesome
24 job, and I really appreciate the Committee coming to
25 this meeting having reviewed our draft report. I know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it was some pages long and really appreciate all your
2 comments and suggestions.

3 CHAIRMAN THOMADSEN: And thank you for that
4 comment. With no other comments waiting, I'll stand in
5 silence. We are adjourned. Thank you all for
6 attending.

7 (Whereupon, the meeting went off the record
8 at 3:15 p.m.)