

Official Transcript of Proceedings
NUCLEAR REGULATORY COMMISSION

Title: Meeting of the Advisory Committee
on the Medical Uses of Isotopes

Docket Number: (n/a)

Location: teleconference

Date: Wednesday, December 10, 2014

Work Order No.: NRC-1268

Pages 1-44

NEAL R. GROSS AND CO., INC.
Court Reporters and Transcribers
1323 Rhode Island Avenue, N.W.
Washington, D.C. 20005
(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

UNITED STATES OF AMERICA

NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

+ + + + +

TELECONFERENCE

+ + + + +

WEDNESDAY, DECEMBER 10, 2014

The meeting was convened by teleconference, at 2:00 p.m. Eastern Standard Time, Bruce R. Thomadsen, Ph.D., ACMUI Chairman, presiding.

MEMBERS PRESENT:

- BRUCE R. THOMADSEN, Ph.D., Chairman
- MILTON J. GUIBERTEAU, M.D., Vice Chairman
- PHILIP O. ALDERSON, M.D., Health Care Administrator
- FRANCIS M. COSTELLO, Agreement State Representative
- VASKEN DILSIZIAN, M.D., Nuclear Cardiologist
- SUSAN M. LANGHORST, Ph.D., Radiation Safety Officer
- STEVEN R. MATTMULLER, Nuclear Pharmacist
- MICHAEL H. O'HARA, Ph.D., FDA Representative
- CHRISTOPHER J. PALESTRO, M.D., Nuclear Medicine Physician

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 JOHN J. SUH, M.D., Radiation Oncologist
2 LAURA M. WEIL, Patients' Rights Advocate
3 JAMES S. WELSH, M.D., Radiation Oncologist
4 PAT B. ZANZONICO, Ph.D., Nuclear Medicine
5 Physicist

6

7 NRC STAFF PRESENT:

8 CATHERINE HANEY, Director, Office of Nuclear
9 Material Safety and Safeguards
10 PAMELA HENDERSON, Deputy Director, Division of
11 Material Safety, State, Tribal and Rulemaking
12 Programs
13 CHRISTIAN EINBERG, Special Assistant, Division
14 of Materials Safety, State, Tribal and Rulemaking
15 Programs
16 MICHAEL FULLER, Designated Federal Officer
17 SOPHIE HOLIDAY, Alternate Designated Federal
18 Officer, ACMUI Coordinator
19 MARYANN ABOGUNDE, NMSS/MSTR/MSEB
20 ANDREW CARRERA, NMSS/MSTR/RPMB
21 SUSAN CHIDAKEL, OGC/GCLR/RMR
22 ASHLEY COCKERHAM, NMSS/MSTR/MSEB
23 JACQUELINE COOK, R-IV/DNMS/NMSB-B
24 SAID DAIBES, NMSS/MSTR/MSEB
25 SARA FORSTER, R-III/DNMS/MLB

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 SANDRA GABRIEL, Ph.D. NMSS/MSTR/MSEB

2 LATISCHA HANSON, R-IV/DNMS/NMSB-B

3 VINCE HOLAHAN, Ph.D., NMSS/MSTR

4 CARDELIA MAUPIN, NMSS/MSTR/RPMB

5 PATTY PELKE, R-III/DNMS/MLB

6 ANDREW PESSIN, OGC/GCLR/RMR

7 GRETCHEN RIVERA-CAPELLA, NMSS/MSTR/MSEB

8

9 ALSO PRESENT:

10 WILLIAM DAVIDSON, University of Pennsylvania

11 GLORIA ROMANELLI, American College of Radiology

12 CINDY TOMLINSON, American Society for

13 Radiation Oncology

14

P R O C E E D I N G S

2:00 p.m.

1
2
3 MR. FULLER: As the designated federal
4 officer for this meeting, I am pleased to welcome you
5 to this Public Meeting of the Advisory Committee on the
6 Medical Uses of Isotopes. My name is Mike Fuller, and
7 I am the Team Leader of the Medical Radiation Safety Team
8 in the Medical Safety and Event Assessment Branch, and
9 I have been designated as the federal officer for the
10 advisory committee in accordance with 10 CFR Part 7.11.

11 Present today as the alternate designated
12 federal officer is Sophie Holiday, the ACMUI
13 coordinator. This is an announced meeting of the
14 Committee. It is being held in accordance with the rules
15 and regulations of the Federal Advisory Committee Act
16 and the Nuclear Regulatory Commission.

17 This meeting is being transcribed by the
18 NRC, and it may also be transcribed or recorded by
19 others. The meeting was announced in the October 30th
20 2014 edition of the Federal Register, and that is in
21 Volume 79 at page 64631.

22 The function of the Committee is to advise
23 the staff on issues and questions that arise on the
24 medical use of byproduct material. The Committee
25 provides counsel to the staff but does not determine or

NEAL R. GROSSCOURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 direct the actual decisions of the staff or the
2 Commission. The NRC solicits the views of the Committee
3 and values their opinions.

4 I request that whenever possible, we try to
5 reach a consensus on the procedural issue that we will
6 discuss today, but I also recognize that there may be
7 minority or dissenting opinions. If you have such
8 opinions, please allow them to be read into the record.

9 At this point, I would like to perform a roll
10 call of the ACMUI members participating today. Dr.
11 Bruce Thomadsen?

12 CHAIRMAN THOMADSEN: Present.

13 MR. FULLER: Dr. Milton Guiberteau?

14 VICE CHAIRMAN GUIBERTEAU: Present.

15 MR. FULLER: Dr. Philip Alderson?

16 MEMBER ALDERSON: Present.

17 MR. FULLER: Mr. Frank Costello?

18 MEMBER COSTELLO: Present.

19 MR. FULLER: Dr. Vasken Dilsizian?

20 MEMBER DILSIZIAN: Present.

21 MR. FULLER: Dr. Sue Langhorst?

22 MEMBER LANGHORST: Present.

23 MR. FULLER: Mr. Steve Mattmuller?

24 MEMBER MATTMULLER: Present.

25 MR. FULLER: Dr. Michael O'Hara?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER O'HARA: Present.

2 MR. MACLEAN: Dr. Christopher Palestro?

3 MEMBER PALESTRO: Present.

4 MR. FULLER: Dr. John Suh?

5 MEMBER SUH: Present.

6 MR. FULLER: Ms. Laura Weil?

7 MEMBER WEIL: Present.

8 MR. FULLER: Dr. James Welsh?

9 MEMBER WELSH: Present.

10 MR. FULLER: And Dr. Pat Zanzonico?

11 MEMBER ZANZONICO: Present.

12 MR. FULLER: Okay. I would note that a
13 quorum has been met because we have at least seven
14 members. In fact, we have all of the members of the
15 Committee present.

16 I now ask NRC staff members who are present
17 to identify themselves. I will start with individuals
18 in the room here, and I will go ahead and name them.
19 Again, my name is Mike Fuller.

20 We have Ms. Cathy Haney, Dr. Vince Holahan,
21 Ms. Gretchen Rivera-Capella, Maryann Abogunde, Andy
22 Carrera, and Sophie Holiday. Oh, and Mr. Chris Einberg
23 is also here.

24 Okay, I'll now go to NRC Headquarters,
25 employees who are on the phone. If you are an NRC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 employee on the phone, please identify yourself.

2 MS. HENDERSON: Pam Henderson.

3 MS. MAUPIN: Cardelia Maupin.

4 MS. GABRIEL: Sandy Gabriel.

5 MR. PESSIN: Andrew Pessin.

6 MS. COCKERHAM: Ashley Cockerham.

7 MR. FULLER: Okay. Now we will go to the
8 regions. Who do we have on the call from Region I?

9 Okay, Region III?

10 MS. PELKE: Patty Pelke.

11 MS. FORSTER: Sara Forster.

12 MR. FULLER: Okay, Region IV?

13 MS. COOK: Jackie Cook.

14 MS. HANSON: Latischa Hanson.

15 MR. FULLER: Okay. At this point, I will
16 identify members of the public who notified us that they
17 would be participating today. When I call your name,
18 please answer.

19 Maxwell Amurao from Columbia University?

20 (No audible response.)

21 MR. FULLER: William Davidson, University
22 of Pennsylvania?

23 MR. DAVIDSON: Present.

24 MR. FULLER: Michael Peters, ACR?

25 (No audible response.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. FULLER: Gloria Romanelli, ACR?

2 MS. ROMANELLI: Here.

3 MR. FULLER: Mario Sanchez, CSHS?

4 (No audible response.)

5 MR. FULLER: Gary Williams, Veterans
6 Health Administration?

7 (No audible response.)

8 MR. FULLER: And Cindy Tomlinson of
9 American Society for Radiation Oncology.

10 MS. TOMLINSON: I am here, thank you.

11 MR. FULLER: I'll also note that Susan
12 Chidakel from our Office of the General Counsel at
13 Headquarters has joined us.

14 Okay, is there anyone else here on the call
15 that I did not recognize or that we were not aware of
16 until now?

17 Okay. Hearing none, I have a -- we have a
18 bridge line available, and that phone number is (888)
19 864-0940. The passcode to access the bridge line is
20 34188#.

21 This meeting is also utilizing the
22 GoToMeeting application to view presentation handouts
23 in real time. You can access this by going to
24 www.gotomeeting.com, all one word, .com, and searching for
25 the meeting ID 939-952-657.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The purpose of this meeting is to discuss
2 the Committee's comments on the NRC's Advanced Notice
3 of Proposed Rulemaking for Title 10 of the Code of
4 Federal Regulations Part 20, Standards for Protection
5 Against Radiation.

6 Individuals who would like to ask a question
7 or make a comment regarding specific issues the
8 Committee has discussed should request permission to be
9 recognized by the ACMUI chairperson, Dr. Bruce
10 Thomadsen. Dr. Thomadsen at his option may entertain
11 comments or questions from members of the public who are
12 participating with us today.

13 Comments and questions are usually
14 addressed by the Committee near the end of the meeting,
15 after the Committee has fully discussed the topic. I
16 would also like to add that the handouts and agenda for
17 this meeting are available on the NRC's public website.

18 At this time, I would like to ask everyone
19 on the call who is not speaking to place their phones
20 on mute. If you do not have the capability to mute your
21 phone, please press *6 to utilize the conference line
22 mute and un-mute functions.

23 I would also ask everyone to exercise
24 extreme care to ensure that the background noise is kept
25 at a minimum, as any stray background sounds can be very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 disruptive on a conference call this large.

2 At this point, I would like to turn the
3 meeting over to Catherine Haney, Director of the Office
4 of Nuclear Material Safety and Safeguards, for some
5 opening remarks.

6 MS. HANEY: Thanks, Mike. Good afternoon,
7 everyone. As Mike said, my name is Cathy Haney. I am
8 the new Office Director in the Office of Nuclear Material
9 Safety and Safeguards and the Advisory Committee on
10 Medical Uses of Isotopes does report up through my
11 organization, so I look very much forward to working with
12 the Committee on this topic of Part 20 as well as it moving
13 forward to other projects.

14 I do have a past history of having worked
15 with the ACMUI. In fact, I was in Mike's position as
16 the designated federal official back several years ago
17 when Dr. Barry Siegel was Chair of the Committee, so I
18 am very familiar with the charter and the roles and the
19 responsibilities of ACMUI and the value that they add
20 to our regulatory processes.

21 So with that, I will meet you all in person
22 when you're in for the next meeting, but I did want to
23 again take advantage of this opportunity to at least
24 introduce myself. So with that, I'll turn it to you Dr.
25 Thomadsen to go forward with the meeting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: Thank you, Cathy.
2 Thank you very much.

3 One additional caution as far as phone
4 handling: If you are going to be leaving the call,
5 please do not put your phone on hold if your institution
6 plays music during that; mute your phone, please.

7 And thank you all for attending. Right
8 now, I am going to turn the proceedings to Dr. Langhorst,
9 who chaired the report, to walk through the report and
10 get her comments. During that, I am going to ask not
11 to go through all of the detail of the report. We have
12 had that to look at. But to hit the highlights, and we'll
13 cover the recommendations and have discussions as
14 necessary as it comes up with those.

15 With that, Dr. Langhorst.

16 MEMBER LANGHORST: Dr. Thomadsen, thank
17 you very much.

18 And first off, I want to let everyone know
19 that Sophie Holiday will be taking care of the
20 GoToMeeting. We have our draft report up there, and
21 forgive me, I am still in the mindset of only a vocal
22 teleconference, so didn't even think about potential
23 slides, so I apologize for not having that in mine.

24 As shown there on the report, there's a few
25 people who may have not muted their phone. That would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be very helpful if you could mute your phone, thank you.

2 Mr. Costello, Dr. Dilsizian, myself, Mr.
3 Mattmuller, and Dr. Zanzonico are the subcommittee
4 folks, and our charge was to provide specific questions
5 and recommendations in regard to the NRC's advance
6 notice of proposed rulemaking for the Part 20.

7 This was given to us in September, and I so appreciate
8 our subcommittee's time to get this report put together.

9 The NRC presented -- there is someone who
10 still hasn't muted their phone, and it would be very
11 helpful if you could mute your phone. Thank you.

12 The NRC presented their information on this
13 proposed rulemaking -- this advanced notice of proposed
14 rulemaking, in six different issues, each having an
15 issue paper, and then in the Federal Register, there were
16 a series of specific questions that they had in regard
17 to each.

18 We assigned various individuals to each of
19 these topics, each of these issue papers, and drafted
20 our specific recommendations and answers to the
21 questions.

22 So first of all, let me go through what our
23 general recommendations were on each of these issue
24 papers, and then I will ask each of our subcommittee
25 members to go through their portion of the report and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 give some highlights and lead discussion of questions
2 that the Committee may have in regard to each of these
3 topics.

4 So first of all, Issue Paper 1, we recommend
5 that ACMUI supports the update of Part 20 to align with
6 International Commission on Radiological Protection
7 Publication, ICRP 103, Methodology and Terminology.

8 Issue Paper 2, ACMUI supports the change of
9 the occupational dose limit for the lens of the eye to
10 50 millisieverts, or 5 rem.

11 Issue Paper 3, the ACMUI does not support
12 the change of the dose limit for the embryo/fetus of a
13 declared pregnant occupational worker from 5
14 millisieverts or 500 millirem over the gestation period.

15 Issue Paper 4, the ACMUI does not support
16 revising or adding regulatory requirements regarding a
17 licensee's ALARA program.

18 Issue Paper 5, the ACMUI supports the change
19 to use International System of Units, the SI Units, in
20 radiation protection regulation, but it recognizes the
21 need by some licensees to have a transition period to
22 move from the use of conventional units.

23 And Issue Paper 6, the ACMUI does not
24 support expansion of additional categories of licensees
25 that should be required to submit annual occupational

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exposure reports under 10 CFR 20.2206(a).

2 Please forgive my editing mistake here. We
3 did talk about this additional phrase that I had here
4 and decided not to move forward with. I'll talk about
5 that more but forgot to delete it here.

6 As far as cumulative effects of regulation
7 goes, I would like to speak of that at the end of our
8 presentation.

9 So at this point, I would like to have Dr.
10 Zanzonico lead -- give a short summary of the Issue Paper
11 1 recommendations and answers and discuss from there.
12 So Dr. Zanzonico, I'll turn it over to you.

13 MEMBER ZANZONICO: Okay, thank you Dr.
14 Langhorst, and hello everyone. This is Pat Zanzonico
15 from New York.

16 And the issue I dealt with, Issue Paper 1,
17 was the update of 10 CFR Part 20 to align with ICRP
18 Publication 103, Methodology and Terminology. And as
19 Dr. Langhorst stated, our subcommittee and the ACMUI as
20 a whole support this alignment, and I'd just like to
21 highlight some of the sub-issues, so to speak, that
22 comprise this issue.

23 The first of these is replacing the
24 regulatory quantity total effective dose equivalent
25 with the quantity effective dose, and as many of you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know, of course, the effective dose is now the standard
2 quantity used internationally and in the U.S. to express
3 overall stochastic risk, and really the total effective
4 dose equivalent or TEDE is only accounted nowadays in
5 the NRC regulatory literature, so it's both
6 scientifically and logistically important, we think, to
7 switch to this more general, more universally
8 recognized, and more current metric of overall radiation
9 risk, namely the effective dose. So we certainly
10 support that alignment.

11 As part of that, the ACMUI also endorses the
12 use of the latest tabulation of tissue weighting
13 factors, or W sub T quantities, and radiation weighting
14 factors, WR quantities that are used to encapsulate
15 effective dose. These latest values have been
16 tabulated in ICRP 103, and along with transitioning from
17 the total effective dose equivalent as the regulatory
18 dose limit quantity, we of course recommend adoption of
19 these newer weighting factor values tabulated in ICRP
20 103 as well.

21 Also included in ICRP Publication 103 are
22 updated isotope-specific biokinetic, obviously for
23 different isotopes, and the associated dose conversion
24 factors or DCFs. And the DCFs, of course, are basically
25 the absorbed dose per unit activity internalized,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 inhaled, ingested, administered, however internalized,
2 to each organ.

3 And these models may impact annual limits
4 on intakes or ALIs and derived air concentration limits,
5 or DACs, to some extent, so these may have both a
6 financial and logistical impact on licensees, but again,
7 we think it's important that the NRC regulations be based
8 on the latest, the most scientifically current and
9 credible models, which are those in ICRP Publication
10 103.

11 I should, just for a moment, return to the
12 issue of transitioning from the total effective dose
13 equivalent to effective dose. Although these are
14 technically different, we really don't anticipate that
15 there would be a significant impact other than
16 logistical, administrative, so forth, on licensees, as
17 numerically there probably will not be a significant
18 difference in the values of TEDs versus EDs, total
19 effective dose equivalents versus effective doses.

20 The other issue was whether with the
21 availability now of increasingly realistic
22 mathematical anthropomorphic models, anatomic models,
23 now including one year-old, five year-old, ten year-old
24 children, fifteen year-old males and females, and adult
25 males, whether the age and gender averaged, those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 conversion factors as derived from the different models,
2 should be used as the basis for regulatory dose limits.
3 And we certainly endorse that as well.

4 Obviously, any exposed or potentially
5 exposed population for whom dose limits are being
6 established, at least among the public, will consist of
7 a combination of different-aged individuals and both
8 males and females, so it makes sense of course to reflect
9 that in dose limits, which will be accomplished by
10 adopting age and gender average dose conversion factors
11 based on the latest ICRP models or phantoms rather.

12 So those summarize our -- the recommendations of
13 the Committee with respect to Issue 1. Dr. Langhorst,
14 I don't know if we are going to take questions or comments
15 at this point or after all of the issues have been
16 reviewed, but I will defer to you on that point.

17 MEMBER LANGHORST: This is Sue Langhorst.
18 I would open it up for our Committee to ask questions.

19 MEMBER ZANZONICO: Understood. I am happy
20 to entertain any questions, comments, et cetera.

21 CHAIRMAN THOMADSEN: This is Bruce
22 Thomadsen. I don't have a question. I think it's a good
23 analysis and good recommendations.

24 In the report, I would suggest writing out
25 effective dose rather than using the abbreviation ED

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 only so it doesn't get mistaken by a casual reader for
2 equivalent dose.

3 MEMBER ZANZONICO: Understood. Perhaps a
4 more general suggestion might be to include a glossary
5 or appendix of abbreviations to our reports, but that
6 aside, certainly we can -- that can be, ED can be written
7 out as effective dose.

8 MEMBER WELSH: Pat, this is Jim Welsh.

9 MEMBER ZANZONICO: Yes.

10 MEMBER WELSH: I agree that the analysis is
11 sound and the conclusions are logical. However, I have
12 a more fundamental question, or not really a question,
13 but maybe a simple comment, that although the TEDE might
14 be an outdated construct and concept, replacing it with
15 the more modern and more popular ED may or may not truly
16 be a step in the correct direction.

17 It may be more in line with the rest of the
18 world, but the fundamental question remains regarding
19 the validity of the whole concept of effective dose, and
20 many of us still are highly skeptical of that validity
21 and when it comes to low radiation doses, we still are
22 extrapolating based on a linear non-threshold
23 hypothesis to come up with appropriate weighting factors
24 when using the effective dose concept.

25 And I just would throw that out there as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reminder that although this is logically consistent, it
2 may not be scientifically real.

3 MEMBER ZANZONICO: This is Pat Zanzonico
4 again. I will offer my opinion, and obviously that opens
5 a large and continually controversial issue, namely the
6 validity and applicability of the linear non-threshold
7 hypothesis and so forth.

8 My understanding, however, is that both the
9 total effective dose equivalent, as well as the
10 effective dose, suffer from that deficiency, so to
11 speak, and that the really -- the real difference, and
12 perhaps the only difference between the effective dose
13 and the total effective dose equivalent is not one of
14 conceptual meaning, or the underlying radiological
15 bases, but rather the tissue weighting factors and
16 radiation weighting factors that are used.

17 And I believe that also in ICRP Publication
18 103, more specific normal tissues are identified, and
19 fewer are summed into the remainder of body, and those
20 weighting factors, tissue weighting factors,
21 presumably reflect the latest epidemiological,
22 radiation epidemiological data.

23 Now, again, to the extent that the linear
24 non-threshold model may not be valid down at below dose
25 range, those values may be questionable as well. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think the tissue weighting factors used to calculate
2 the total effective dose equivalent suffer from the same
3 deficiency.

4 So I don't think either one or the other
5 quantity is superior to the other on the basis of its
6 conceptual meaning, but the effective dose, besides
7 being more widely used nowadays, at least reflects the
8 latest radiation epidemiology data, so on that basis I
9 would still recommend its adoption.

10 MEMBER WELSH: Yes, I -- this is Jim Welsh
11 again. Yes, I would agree with you on your points that
12 you've raised, yet it remains that if LNT is a fallacy,
13 effective dose and TEDE is a fallacy as well, and since
14 that possibility exists, it raises the specter of should
15 there be different weighting factors at different doses?

16 I suppose for simplicity, if you assume LNT,
17 well one weighting factor for one particular organ, is
18 going to work fine. You don't have to change the W value
19 for each different organ and for each different dose,
20 which would make it a very complicated and cumbersome
21 mathematical problem.

22 But we're -- from my perspective,
23 simplicity of the math should not be the driving factor,
24 it should be the scientific accuracy and validity of the
25 conclusions. And therefore, since I am questioning the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 validity of LNT, I have to question the validity of TEDE
2 or ED.

3 And I just raise it as a commentary because
4 I know we need to use something and we're not going to
5 get into great depth on whether we can change this
6 potential fallacy today, but I just remind folks of the
7 potential shortcomings of using LNT and the
8 implications.

9 MEMBER ZANZONICO: Understood. This is
10 Pat Zanzonico again. I mean, your point is very well
11 taken. Needless to say, I would agree that it is
12 probably beyond the scope of what we can accomplish today
13 and well beyond today.

14 My only suggestion is perhaps we could
15 include simply a comment, add a comment to our report
16 just briefly raising that point. But beyond that, I just
17 think it's beyond the scope of our mandate and what we
18 can hope to accomplish.

19 MEMBER WELSH: Agreed.

20 CHAIRMAN THOMADSEN: And this is Bruce
21 Thomadsen. And I think the point is extremely well
22 taken, but since I haven't seen a good table of dose
23 dependence tissue weighting factors, probably the
24 better way to put it is that the use of the effective
25 dose to predict hazard is probably inappropriate at low

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doses.

2 So rather than saying effective dose has to
3 be thrown out at this case because we need to have
4 something, just rather qualify how useful it might be
5 in predicting health hazards at low doses.

6 And there are -- there is documentation that
7 we could put on that, particularly the statement from
8 the Health Physics Society.

9 MEMBER ZANZONICO: Yes, yes.

10 MEMBER LANGHORST: This is Sue Langhorst.
11 Also, I know that the BEIR Committee is starting to get
12 constituted to look at a new BEIR report, so that may
13 be additional information that comes in the future.

14 MEMBER ZANZONICO: Yeah, and I know Dr.
15 Boyce from the NCRP is among the leaders of a million
16 man follow-up study that will take some years to
17 complete, needless to say. But that should also provide
18 some quantitative insights into low dose effects and low
19 dose weighting factors, but again, that is some years
20 down the line.

21 MEMBER LANGHORST: This is Sue Langhorst.
22 Are there any other questions for Dr. Zanzonico?

23 Okay. This is Sue Langhorst again.
24 Hearing none, Dr. Zanzonico, thank you so very much.

25 MEMBER ZANZONICO: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER LANGHORST: Now we will move on to
2 Issue Paper 2 on Occupational Dose Limit for the Lens
3 of the Eye. And Dr. Dilsizian was the one who led this
4 effort, and Vasken, I'd like to ask you to summarize this
5 and lead the discussion.

6 MEMBER DILSIZIAN: Thank you Dr. Langhorst
7 and the subcommittee members for their valuable input
8 in preparing this document.

9 The ACMUI subcommittee does support the
10 change of the occupational dose limit of the lens from
11 current 15 rems to 5 rems, which is in close alignment
12 with the recent ICRP Publication 118 (2012)
13 recommendations. And this is based on the recent human
14 epidemiological studies which suggest that the
15 radiation cataract may actually occur with
16 significantly lower doses of ionizing radiation than was
17 previously estimated, and this is based on studies that
18 included Chernobyl nuclear reactor accident cleanup
19 workers as well as radiologic technologists,
20 interventional radiologists, and cardiologists.

21 Unlike other potential radiation effects,
22 however, a cataract can be effectively treated by
23 surgery. However, prevention rather than treatment
24 should be the goal.

25 And so the recommendation would be to focus

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on the personnel that are exposed to these byproduct
2 materials and x-ray sources, and those would be the
3 interventional radiologists performing yttrium-90
4 microsphere therapies as well as perhaps some
5 cardiologists who are still performing intravascular
6 brachytherapy and all of the personnel that are affected
7 by being in the interventional suite.

8 And the current approach, therefore, would
9 be three approaches of shielding: one, the
10 portable/moveable transparent scatter-shielding on
11 leaded glass screen; second would be an eyewear such as
12 leaded glasses for personal use; and the third would be
13 the overall personal protection suit that is one of these
14 lead equivalent thickness so-called zero gravity type
15 suit that protects the eye, the brain, as well as the
16 rest of the body.

17 And so for physicians and trainees who are
18 directly at the table involved with an interventional
19 radioembolization procedure, we feel that the use of eye
20 protection should be mandatory. Regarding the
21 ancillary staff that is in the room, that are three feet
22 away from the table, we felt that the eye protection
23 should be recommended.

24 As to the -- how do we measure the exposure
25 to the lens? The current most widely used method is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 measuring or assessing the dose to the lens from the body
2 dosimeter, which is one at the point of highest exposure.
3 However, if there would be circumstances where the
4 radiation field is non-uniform, that is, the eye would
5 be receiving a higher dose than the body, then there
6 would be eye-specific dosimeters that are currently
7 available which could be worn with a head strap above
8 the eyebrows and near the eyes, and perhaps those can
9 provide a better measure directly of the lens dose.

10 What is the overall estimated dose to the
11 lens? A current busy interventional suite at a major
12 inner city academic institution, it is estimated that
13 the eye exposure would be between 4 to 8 rems. Using
14 both eyeglasses, as well as a shield simultaneously, may
15 reduce the dose by a factor of 25 or more. The entire
16 personal protection suit does a much better job,
17 although it comes at much more expense.

18 The whole body suit is estimated to cost about
19 \$70,000 per suit, and that would be required for two in
20 one room. The typical shield is about \$10,000 and the
21 personal leaded glasses would be approximately \$400 per
22 person.

23 And so how would this be enforced?
24 Obviously, it should be implemented through the
25 institutional Radiation Safety Committee and Human Use

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Subcommittee, enforced by the Environmental Health
2 Services, and also perhaps annual inspections and Q&A
3 programs such as The Joint Commission and CMS.

4 That will be the conclusion of my
5 presentation. Any questions?

6 CHAIRMAN THOMADSEN: This is Bruce
7 Thomadsen. The recommendations that are stated here
8 seem to all be targeted towards interventional
9 radiology. Do you have -- does the subcommittee have
10 recommendations dealing with brachytherapy?

11 MEMBER DILSIZIAN: I thought that the
12 brachytherapy is a very local exposure, and we really
13 did not feel that that would be exposing the lens
14 significantly [enough] to alter the current radiation
15 safety approaches.

16 MEMBER LANGHORST: This is Sue Langhorst.
17 Dr. Thomadsen, we did not, from our experience, feel that
18 there were very high doses of any merit as far as reaching
19 a level of 5 millisieverts, 5 rem in a year, or -- excuse
20 me, 5 millisieverts, 5 rem in a year to brachytherapy
21 personnel. Do you disagree with that?

22 CHAIRMAN THOMADSEN: I do not disagree. It
23 may be a good idea to include some statement to that
24 effect in the report.

25 MEMBER LANGHORST: Okay, that sounds like a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 good idea.

2 MEMBER DILSIZIAN: Sure, we'll do that.

3 MEMBER LANGHORST: Are there any other
4 questions or comments? Sorry, this is Sue Langhorst.

5 Okay, hearing none, thank you so very much.

6 Okay, so we will move now to Issue Paper 3,
7 which is Dose Limit for the Embryo/Fetus of a Declared
8 Pregnant Occupational Worker. And I was the one
9 assigned this task, so I will go through it.

10 We evaluated scientific basis of this risk,
11 and this is still a very controversial subject, and in
12 my write-up of this and sharing this information with
13 our subcommittee, I relied heavily on Dr. Robert Brent's
14 work, who is one of the world's experts in exposure to
15 the embryo/fetus, and we do not recommend that this dose
16 limit be lowered from what it is at this point in time.

17 It is particularly problematic to have a dose
18 limit at a level if we went to the 100 millirem regulatory
19 dose limit, that is equivalent to one of my workers
20 moving from St. Louis to Denver and getting 100 millirem
21 more than they would here in a year.

22 In regard to the current recommendations, the
23 NRC Issue Paper 3 did a very good job of going through
24 what were the current recommendations. However, I did
25 want to point out one error in their conclusions. In

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the NCRP report, and let me get that number, the NCRP
2 Report No. 174, which was, that writing committee was
3 chaired by Dr. Brent, the NCRP did not change their
4 recommendation for the fetal dose limit.

5 NCRP made recommendation in their 1993 report
6 which is No. 54, and they continue to recommend a dose
7 limit of 50 millirem per month over the pregnancy, and
8 so that's roughly equivalent to 500 millirem in a -- over
9 the gestation period.

10 Also, we discussed the application of using
11 a public dose limit for this type of individual dose
12 limit. And typically, public dose limits do not have
13 an identified individual. It is more of a design
14 criterion, and was set low at 100 millirem so that
15 licensees did not have to consider other licensee doses
16 to members of the public.

17 And so in the case of a declared pregnant
18 worker, this is a radiation worker who is occupationally
19 exposed, who has training in radiation safety, and is
20 most likely assigned a personnel dosimeter into their
21 normal radioactive work or radiation work, and so they
22 are a known entity. When we have one here at Washington
23 University, we do issue a fetal dosimeter that they wear
24 at their waist. And so we feel like it is very acceptable
25 to maintain that limit, the current limit of 500

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 millirem, rather than going to 100 millirem.

2 And I know in our instance, pretty much, they
3 are very much lower than 100 millirem anyway, but it is
4 problematic when you have a limit, a dose limit, at that
5 low level, and it's even difficult to measure sometimes
6 a monthly level at that low accumulating dose of 10
7 millirem, roughly, a month.

8 I did want to ask the Committee -- hopefully
9 you had a chance to read all of our specific answers,
10 but on the last question of the section it talks about
11 are there data on actual dose distributions to the
12 embryo/fetus of a declared public worker, and what are
13 the trends of these data?

14 I don't know of any specific report in that
15 regard and certainly would be -- would ask if you all
16 have anything that you can point us to, we could include
17 something.

18 If you can mute your phone, that would be
19 great. If you have anything specific in regard to that,
20 we would certainly be open to a reference to help the
21 NRC in this regard.

22 So with that, I will ask if there's any
23 questions or comments from the Committee.

24 MEMBER ALDERSON: This is Dr. Alderson. I'd
25 like to make a comment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The potential, if the -- I support the
2 Committee's position. If the threshold was to be
3 lowered to 100, the potential for mathematical
4 overexposures is much higher, and we all know that
5 unfortunately in the best of circumstances there are
6 problems with pregnancies and fetuses. So I think that
7 the potential for a mathematically created liability for
8 many organizations is fairly high. So I think that's
9 another reason to keep the level where it is.

10 MEMBER LANGHORST: Thank you very much -- oh,
11 this is Sue Langhorst. Thank you very much for that
12 comment.

13 Are there any other comments?

14 Okay. Thank you very much. Moving on, Issue
15 Paper Number 4 is Individual Protection -- ALARA
16 Planning, and Mr. Costello was assigned this, and so
17 Frank, I will ask you to summarize and lead that
18 discussion.

19 MR. COSTELLO: Thank you, Dr. Langhorst.
20 Basically, the current Part 20 that we have has a
21 requirement for ALARA for occupational doses and public
22 doses, but it doesn't provide any more restrictive
23 requirements than that.

24 And I think that -- and it's our position that
25 we want to keep the regulation the way it is. And the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 heart of our argument is the phrase "reasonably
2 achievable." It would be very hard, I think, to have
3 more specific requirements, which apply to all types of
4 licensees from those with gas chromatographs to those
5 who operate nuclear power plants, and have it applied
6 that that be reasonably achievable so they'd be the same.

7 In going through this, just looking at it from
8 the medical licensee's point of view, there are very few
9 cases where employees of medical licensees receive doses
10 anything like the regulatory limits, and in fact, across
11 the industry, not that many people do that. I think that
12 the safety culture of each type of licensee differs so
13 much, it would be hard to have more prescriptive
14 requirements than that.

15 In some ways, the answers to the questions
16 follow the same theme. The ALARA programs, by their very
17 nature, have to be tailored to the particular licensee,
18 and so making more restrictive requirements than that
19 is not a good idea; it's a bad idea. And in question
20 four, they had "Should licensees be allowed to establish
21 different ACLs?" And I would say that is certainly the
22 case, and they do.

23 For those who have medical licensees, they
24 know, for the most part, they have a lot of levels --
25 level one, level two, based on the experience they have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with the doses that they receive.

2 The -- we do not recommend any new methodology
3 to make the ALARA requirements more prescriptive.
4 Let's see.

5 The question of what are the potential impacts
6 to licensees and such that require a licensee to account
7 for exposure, the ALARA requirement that the licensee
8 is responsible for making sure that the workers don't
9 go over the limit from all sources, it's a hard thing
10 sometimes to enforce that because people work a lot of
11 places, but I don't think that a regulatory change is
12 necessary to address this, there is a requirement
13 already there.

14 With that, it's a pretty short summary.
15 Someone on the Committee suggested that I just say "no".
16 But the bottom line is, I think that the current ALARA
17 requirement is adequate and making it more prescriptive
18 is not recommended at all. Thank you.

19 MEMBER LANGHORST: Hi, this is Sue Langhorst.
20 Are there any questions or comments for Mr. Costello?

21 MEMBER ZANZONICO: This is Pat Zanzonico.
22 Just a comment, and I think this is to reinforce what
23 Mr. Costello said.

24 A, to me, a prescriptive ALARA is the
25 equivalent of a regulation. So you either have ALARA,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 leaving it to the licensees to take action to keep doses
2 as low as reasonably achievable, or you introduce new
3 regulations. And so I think there's a logical
4 inconsistency that ultimately dictates that
5 non-prescriptive ALARA recommendations are what should
6 be on the books, and that's exactly as Mr. Costello has
7 said.

8 MR. COSTELLO: I totally agree. I mean,
9 prescriptive ALARA is almost oxymoronic. It is almost
10 a contradiction in terms.

11 CHAIRMAN THOMADSEN: This is Bruce
12 Thomadsen. Just another readability issue -- in the
13 answer to question seven. Following the answer, there
14 are some references in the CFR, and all of that is put
15 in italics, which is what designates the questions in
16 this document. I'd recommend doing something different
17 with those citations so that they don't look like another
18 question that's following.

19 MR. COSTELLO: I agree, that was my fault.

20 CHAIRMAN THOMADSEN: And we're a non-blame
21 culture here.

22 MEMBER LANGHORST: This is Sue Langhorst. We
23 will make that change Dr. Thomadsen, thank you very much
24 for that comment.

25 Are there any other comments or questions?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Great. Hearing none, let us move on then to
2 Issue Paper 5. This is Metrication -- Units of Radiation
3 Exposure and Dose. And Mr. Mattmuller led this effort,
4 so if Steve, if you would summarize that and lead that
5 discussion. Thank you so much.

6 MR. MATTMULLER: Hi. This is Steve
7 Mattmuller. And of all the issues, this one was probably
8 the least controversial and the one which I think,
9 despite me wanting to take credit for brilliant
10 arguments, everyone was pretty much in agreement with
11 before I even got started.

12 And really, to be brief, to summarize, I think
13 it could best be summarized by the Health Physics
14 Society's position statement of "Nearly all the
15 countries in the world, many with well-established
16 nuclear industries, have effected this transition
17 successfully, without compromising health and safety,
18 and have demonstrated that complete conversion to
19 current international units is certainly practical and
20 doable."

21 So perhaps we have an opportunity to get some
22 branding with the Nike corporation and we should just
23 adopt their logo of "Just do it." So the Committee's
24 recommendation is to adopt the SI Units, essentially as
25 soon as possible. And that's the end of my summary.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Open for questions.

2 MEMBER LANGHORST: This is Sue Langhorst.
3 Steve, thank you so much. That -- I agree, especially
4 in the medical arena, we're just so used to -- some of
5 us more than others -- used to using the SI Units that
6 it would be very helpful to have that ability to switch
7 to those when we can. Any other questions or comments
8 from the Committee?

9 MEMBER ZANZONICO: This is Pat Zanzonico,
10 just a comment. As we know, radiation, radioactivity,
11 doesn't recognize national borders. And certainly if
12 there are incidents or events where there's radiation
13 exposures that may involve more than one country or even
14 a single country but individuals from multiple
15 countries, as I think we saw in the Fukushima event, it
16 really can complicate addressing these issues when
17 different groups are using different systems of units,
18 so I think it's long overdue and really makes sense to
19 comply with sort of the international standard in using
20 the SI system of units. Thank you.

21 MEMBER LANGHORST: Any other questions or
22 comments from the Committee? This is Sue Langhorst.

23 Okay. Hearing none, we will move on to Issue
24 Paper 6, Reporting of Occupational Exposure. And that
25 was -- I guess, being chair, I get two of these sections,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 so that's my punishment.

2 So in regard to 10 CFR 20.2206(a), the NRC
3 requires certain types of licensees to provide them with
4 annual occupational exposure information, and the NRC
5 is asking in this section, should that be increased?
6 Should that be expanded to more types of licensees?

7 And I looked at the NRC's latest Occupational
8 Radiation Exposure Report, which is referenced here, and
9 in regard to reactor licensees, this makes a lot of sense
10 because the NRC is the sole regulator in regard to
11 radiation exposure in those types of licensees, and in
12 the fuel cycle licensees also.

13 In regard to material licensees, we all know
14 that's a little bit different of a picture. The NRC does
15 recognize that this requirement is not necessarily
16 imposed by Agreement States, although I think there are
17 Agreement States that do provide that information to the
18 NRC.

19 And so in this discussion, the NRC was asking
20 the questions of whether this should be expanded to get
21 more occupational exposure for various reasons. And if
22 you go to the answer we put together on question three,
23 Sophie if you can move down to that table, I tried to
24 put together a little bit of an understanding of who all
25 is exposed to radiation and not necessarily just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 radioactive material, and who was regulatory authority
2 over this.

3 So this was my attempt of trying to get a rough
4 estimate, and I think it's consistent with how the NRC
5 has listed numbers of licensees in their Occupational
6 Dose Report in 2012.

7 As you all know, some of the -- a lot of our
8 radiation exposure in the medical community comes from
9 radiation-producing machines rather than radioactive
10 materials covered by the NRC, and so those are regulated
11 by the States and to some extent to OSHA, Occupational
12 Safety and Health Administration, and so basically, we
13 recommend that there not be an expansion of licensees
14 for this because it would not meet a need for a national
15 data gathering of occupational radiation exposures, and
16 that probably -- if such a data gathering was deemed
17 helpful, then the question of who should be doing that
18 and how it's done needs to be expanded much more than
19 NRC's regulatory purview.

20 In, as I mentioned, in the beginning that I
21 had inadvertently left a phrase in on the general
22 recommendations that we have listed on the first page,
23 I should have deleted "except for considering the
24 addition of a possession category for 100 curies of
25 fluorine-18." So the reason that I wanted to raise that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question with the subcommittee was, you know, is there
2 need to do some dose -- occupational dose data gathering
3 for these types of licensees that is new to the NRC's
4 regulatory authority as of 2009, I believe, was when this
5 -- well, at least that's when it was implemented for the
6 State of Missouri.

7 And ultimately what we discussed was that this
8 is a relatively small number of occupational workers and
9 again, it has that same issue of Agreement States needing
10 -- or Agreement States licensing a lot of these cyclotron
11 production facilities, and so they wouldn't necessarily
12 come under this regulatory authority that NRC is
13 discussing. So ultimately we decided that it just
14 didn't need to be expanded to that small set of workers.

15 And I think that concludes my summary. I am
16 glad to take any questions or comments that the Committee
17 may have. Are you all still there?

18 CHAIRMAN THOMADSEN: Yes, Bruce Thomadsen
19 here, and one -- in support of what you've recommended
20 here, the concept of trying to come up with some average
21 occupational exposure by looking at reports of exposure
22 misses a very large class of radiation workers at
23 facilities such as the universities here, which are not
24 badged because they have an extremely low likelihood of
25 every getting close to a tenth of their maximum

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 permissible dose.

2 And if it were added in to the denominator of
3 occupational workers, it would certainly change
4 markedly that average occupational dose. So going to
5 a great expense to try to expand the numerator of that
6 equation hardly seems worth the expense that it might
7 cause the people who would have to start generating
8 reports.

9 MEMBER LANGHORST: Thank you. Frank, could
10 you mute your phone please? Thank you.

11 MR. COSTELLO: Sorry, sorry sorry.

12 MEMBER LANGHORST: Thank you, Dr. Thomadsen.
13 I will add something in there to that effect. I think
14 that's a very good point. I appreciate that.

15 Are there any other comments or questions?
16 Okay. Let me go back then to the general recommendations
17 and address that.

18 One recommendation we had on the cumulative
19 effects of regulations -- there were a series of
20 questions in the advanced notice for proposed rulemaking
21 in regard to this, but we really felt like we only had
22 just one response, as far as the question on how
23 implementation should be handled. And those of you who
24 have been around as long as I have remember that there
25 was a significant change in 10 CFR Part 20 implemented

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in 1991, and we felt that the NRC had a really good way
2 of making that implementation change in that when that
3 final rule was published, they allowed licensees to
4 change to the new system, and obviously it was either
5 you had -- you worked under the new Part 20 or you
6 continued working under the old Part 20 -- I think it
7 wasn't as soon as 30 days after the publication of the
8 new Part 20, but definitely within a certain time frame,
9 and I think it wasn't quite two years, but close to that.

10 And we recognize, while we have been reviewing
11 these questions and answers in regard to medical
12 licensees and those -- what I call a medical support
13 licensee that helps in the medical use of isotopes, that
14 there is a challenge to changing equipment such as
15 meters, there's a challenge of changing computer
16 systems, of recordkeeping, and so on.

17 And so we would recommend that the NRC again
18 implement an implementation plan much like they did last
19 time, but maybe even have at least three years to allow
20 licensees to switch over, in particular to new units and
21 new -- the new dosimetry methodology and terminology.

22 I'll ask if the Committee has any questions
23 or comments in regard to that.

24 MEMBER ZANZONICO: This is Pat. I agree, of
25 course, and I think one point worth noting is so many

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of our records are computer-based these days and using
2 commercial as well as homemade software, and one should
3 never underestimate the time it takes to re-code and
4 debug and otherwise test computer code, even for very
5 -- seemingly very simple revisions, so I think a three
6 year time frame for implementation is certainly
7 reasonable and warranted.

8 MEMBER LANGHORST: Thank you. This is Sue
9 Langhorst again. Any other comments or questions?

10 So Dr. Thomadsen, this concludes our
11 presentation. I will turn it back over to you for
12 further discussion.

13 CHAIRMAN THOMADSEN: Thank you very much.
14 Are there any comments from the Committee on this report?

15 MEMBER ALDERSON: Yeah, this is Dr. Alderson.
16 I'd just like to compliment Dr. Langhorst and her team.
17 I think this was a terrific documentation and excellent
18 report.

19 CHAIRMAN THOMADSEN: Thank you very much, and
20 I certainly second that. Other comments?

21 In that case, I will open the floor to other
22 than the Committee who would like to comment on the --
23 or ask questions.

24 I am not hearing any. In that case, I think
25 we have two motions that we should look to right now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The first is to accept the report, and the second is to
2 endorse the report. So given that this is a subcommittee
3 report, we don't need a second, and the subcommittee,
4 I assume, is making the motion to approve its own report.
5 And Dr. Langhorst, is that the case?

6 MEMBER LANGHORST: Yes, this is Sue
7 Langhorst. I would say with some of the minor
8 adjustments that we said we would be making like the edit
9 on the first page and adding a few extra comments that
10 we have discussed here in the meeting.

11 CHAIRMAN THOMADSEN: Very good. So we will
12 take a vote on accepting the [recommendations in the]
13 report. And we can probably do it by voice. All in
14 favor, say aye.

15 (Chorus of ayes.)

16 CHAIRMAN THOMADSEN: Are there any opposed?

17 (No audible response.)

18 CHAIRMAN THOMADSEN: Are there any
19 abstentions?

20 (No audible response.)

21 CHAIRMAN THOMADSEN: Then I would say that
22 the ACMUI has accepted the report, and we do certainly
23 give a lot of credit to the Committee for doing a great
24 job on that.

25 The next would be to endorse the report as the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recommendation from the full Committee. And Dr.
2 Langhorst, again, would you be making that motion, of
3 course with the edits that you mentioned?

4 MEMBER LANGHORST: Yes, this is Sue
5 Langhorst, and yes, I make that motion.

6 CHAIRMAN THOMADSEN: Very good. Are there
7 any -- is there any discussion on that motion?

8 Hearing none, all in favor, please say aye.

9 (Chorus of ayes.)

10 CHAIRMAN THOMADSEN: Are there any opposed?

11 (No audible response.)

12 CHAIRMAN THOMADSEN: Are there any
13 abstentions?

14 (No audible response.)

15 CHAIRMAN THOMADSEN: In that case, we have
16 approved the recommendations of this report as our own.

17 Is there any other business Sophie that we
18 have to take care of before we go? I don't think we can.

19 MS. HOLIDAY: No, I don't believe that there
20 are any further actions. I did want to take the
21 opportunity, because I don't think -- it was a little
22 bit of an oversight on our part, but you guys may have
23 heard Mike mention Dr. Michael O'Hara during the roll
24 call. Dr. Orhan Suleiman was the [previous] ACMUI FDA
25 representative, and he retired from federal service in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 October. So the FDA has appointed Dr. Michael O'Hara as
2 the new ACMUI FDA representative.

3 MEMBER O'HARA: It's nice to be working with
4 all of you.

5 CHAIRMAN THOMADSEN: And congratulations and
6 welcome.

7 MEMBER O'HARA: Thank you.

8 CHAIRMAN THOMADSEN: With that --

9 MEMBER LANGHORST: Dr. Thomadsen, this is Sue
10 Langhorst.

11 CHAIRMAN THOMADSEN: Dr. Langhorst?

12 MEMBER LANGHORST: Yes, I would just like to
13 thank the subcommittee members. They did an awesome
14 job, and I really appreciate the Committee coming to this
15 meeting having reviewed our draft report. I know it was
16 some pages long and really appreciate all your comments
17 and suggestions.

18 CHAIRMAN THOMADSEN: And thank you for that
19 comment. With no other comments waiting, I'll stand in
20 silence. We are adjourned. Thank you all for
21 attending.

22 (Whereupon, the meeting went off the record
23 at 3:15 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701