

# **Official Transcript of Proceedings**

## **NUCLEAR REGULATORY COMMISSION**

Title:                   Advisory Committee on the Medical Uses  
                              of Isotopes: Open Session

Docket Number:     (n/a)

Location:             Rockville, Maryland

Date:                  Thursday, October 8, 2015

Work Order No.:     NRC-1942

Pages 1-211

**NEAL R. GROSS AND CO., INC.**  
**Court Reporters and Transcribers**  
**1323 Rhode Island Avenue, N.W.**  
**Washington, D.C. 20005**  
**(202) 234-4433**

UNITED STATES OF AMERICA  
NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

+ + + + +

FALL 2015 MEETING

+ + + + +

OPEN SESSION

+ + + + +

THURSDAY,

OCTOBER 8, 2015

+ + + + +

The meeting was convened in room T-2B3 of Two White Flint North, 11545 Rockville Pike, Rockville, Maryland, at 8:30 a.m., Bruce Thomadsen, Ph.D., ACMUI Chairman, presiding.

MEMBERS PRESENT:

BRUCE R. THOMADSEN, Ph.D., Chairman

PHILIP O. ALDERSON, M.D., Vice Chairman

FRANCIS M. COSTELLO, Agreement State  
Representative

VASKEN DILSIZIAN, M.D., Nuclear Cardiologist

RONALD D. ENNIS, M.D., Radiation Oncologist

STEVEN R. MATTMULLER, Nuclear Pharmacist

MICHAEL O'HARA, Ph.D., FDA Representative

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

CHRISTOPHER J. PALESTRO, M.D., Nuclear Medicine  
Physician

JOHN J. SUH, M.D., Radiation Oncologist

LAURA M. WEIL, Patients' Rights Advocate

PAT B. ZANZONICO, Ph.D., Nuclear Medicine  
Physicist

Non-Voting: DARLENE F. METTER, M.D.

Member-Elect: ZOUBIR OUHIB

NRC STAFF PRESENT:

JOSEPHINE PICCONE, Ph.D., Director, Division of  
Material Safety, State, Tribal and Rulemaking  
Programs

DOUGLAS BOLLOCK, Designated Federal Officer

SOPHIE HOLIDAY, Alternate Designated Federal  
Officer, ACMUI Coordinator

MARYANN ABOGUNDE, NMSS/MSTR/MSEB

JACKIE COOK, R-IV/DNMS/NMSB-B

SAID DAIBES, Ph.D., NMSS/MSTR/MSEB

ANTHONY DELAMOTTE, NMSS/MSTR/MSEB

CASSANDRA FRAZIER, R-III/DNMS/MLB

MICHAEL FULLER, NMSS/MSTR/MSEB

TOMAS E. HERRERA, NMSS/MSTR/MSLB

ELIZA HILTON, NMSS/DSFM/IOB

VINCENT HOLAHAN, Ph.D., NMSS/MSTR

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

ESTHER HOUSEMAN, OGC/GCLR/RMR

JAN NGUYEN, R-I/DNMS/MB

KEVIN NULL, R-III/DNMS/MLB

DIANE RENDER, Ph.D., NRR/DORL/LPL1-1

NILDA RIVERA, NSIR/DPR/CB

GRETCHEN RIVERA-CAPELLA, NMSS/MSTR/MSEB

ALEX SAPOUNTZIS, NSIR/DSP/FCTSB

JULIAN SESSOMS, NMSS/MSTR/ASPB

JOANN SIMPSON, CFO/DPB/BOB2

ZAHID SULAIMAN, R-III/DNMS/MIB

TORRE TAYLOR, NMSS/MSTR/RPMB

CHARLES TEAL, NSIR/FCTSB

MEMBERS OF THE PUBLIC PRESENT:

BETTE BLANKENSHIP, American Association of  
Physicists in Medicine

BRIAN CAREY, Spectrum Pharmaceuticals

BONNIE CLARKE, Society of Nuclear Medicine and  
Molecular Imaging

JENNIFER CULTRERA, Spectrum Pharmaceuticals

KAREN FLANIGAN, New Jersey Department of  
Environmental Protection

CAITLIN KUBLER, Society of Nuclear Medicine and  
Molecular Imaging

YUNGMI KIM, Spectrum Pharmaceuticals

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

KAREN LANGLEY, University of Utah

RICHARD MARTIN, American Association of  
Physicists in Medicine

CANDI McDOWELL, University of Pennsylvania

GENE MENENDEZ, Spectrum Pharmaceuticals

CLARINE NARDI RIDDLE, Spectrum Pharmaceuticals

RICHARD PEROS, New Jersey Department of  
Environmental Protection

MICHAEL PETERS, American College of Radiology

ANGELIQUE ROWLEY, Spectrum Pharmaceuticals

MICHAEL SHEETZ, University of Pittsburgh

KAREN SHEEHAN, Fox Chase Cancer Center

ED TRUSKOWSKI, New Jersey Department of  
Environmental Protection

CINDY TOMLINSON, American Society of Radiation  
Oncology

ALLEN YANG, Spectrum Pharmaceuticals

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## T-A-B-L-E O-F C-O-N-T-E-N-T-S

## Opening Statements

Douglas Bollock.....7

Josie Piccone.....12

## Old Business

Sophie Holiday.....16

Open Forum.....23

## Patient Intervention Subcommittee Report

Vasken Dilsizian.....25

## Training and Experience for Alpha and Beta Emitters

## Subcommittee Report

Christopher Palestro.....72

## Radioactive See Localization Subcommittee Report

Ronald Ennis.....129

## GARS Working Group

Tomas Herrera.....166

## Yttrium-90 Microspheres Licensing Guidance

Christopher Palestro.....183

## Germanium/Gallium-68 Medical Use Generator Update

Said Daibes.....190

(8:30 a.m.)

CHAIRMAN THOMADSEN: Thank you, everybody for being here and being here on time. We have a busy agenda to go through today. So, I will right away turn the floor over to our staff.

MR. BOLLOCK: Thank you, Dr. Thomadsen. As the Designated Federal Officer for this meeting I am pleased to welcome you to the public meeting of the Advisory Committee on the Medical Uses of Isotopes. My name is Doug Bollock. I'm the branch chief of the Medical Safety Event Assessment Branch and I've been designated as the federal officer for this advisory committee in accordance with 10 CFR Part 7.11. Present today as the Alternate Designated Federal Officer is Sophie Holiday, who is also the ACMUI coordinator.

This announced meeting of the Committee is being held in accordance with the rules and regulations of the Federal Advisory Committee Act and the Nuclear Regulatory Commission. This meeting is being transcribed by the NRC and may also be transcribed and recorded by others.

The meeting was announced on the August 18th, 2015 edition of the *Federal Register* on pages 50049 through 50050.

The function of the Committee is to advise

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the staff on issues and questions that arise with the  
2 medical use of byproduct material. The Committee  
3 provides counsel for the staff, but does not determine  
4 or direct the actual decisions of the staff or the  
5 Commission. The NRC solicits the views of the  
6 Committee and value their opinions.

7 I request that whenever possible we try to  
8 reach a consensus on the procedural issue that we  
9 discuss today. We also recognize there may be minority  
10 or dissenting opinions. If you have such opinions,  
11 please allow them to be read into the record.

12 At this point I'd like to perform a roll  
13 call of the ACMUI members at this meeting today.

14 Bruce Thomadsen, therapy medical  
15 physicist, Chair?

16 CHAIRMAN THOMADSEN: Present.

17 MR. BOLLOCK: Thank you. Dr. Philip  
18 Alderson, health care administrator, Vice Chair?

19 VICE CHAIR ALDERSON: Here.

20 MR. BOLLOCK: Thank you. Mr. Frank  
21 Costello, our Agreement State representative?

22 MEMBER COSTELLO: Here.

23 MR. BOLLOCK: Thank you. Dr. Vasken  
24 Dilsizian, nuclear cardiologist?

25 MEMBER DILSIZIAN: Present.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 MR. BOLLOCK: Thank you. Dr. Ron Ennis,  
2 radiation oncologist?

3 MEMBER ENNIS: Here.

4 MR. BOLLOCK: Dr. Sue Langhorst, radiation  
5 safety officer? We realize she's not -- unfortunately  
6 unable to attend with us today. She's not here.

7 MR. BROWN: Excuse me. The room has  
8 changed. You all can control the mics. When the green  
9 light's on, that means the mic's alive. When the green  
10 light's off, the mics are dead. We got to cut down on  
11 the people that are rattling the paper.

12 MR. BOLLOCK: Continuing on, Mr. Steve  
13 Mattmuller, nuclear pharmacist?

14 MEMBER MATTMULLER: Here.

15 MR. BOLLOCK: Thank you. Dr. Michael  
16 O'Hara, FDA representative?

17 MEMBER O'HARA: Here.

18 MR. BOLLOCK: Thank you. Dr. Christopher  
19 Palestro, nuclear medicine physician?

20 MEMBER PALESTRO: Here.

21 MR. BOLLOCK: Thank you. Dr. John Suh,  
22 radiation oncologist?

23 MEMBER SUH: Here.

24 MR. BOLLOCK: Thank you. Ms. Laura Weil,  
25 our patients' rights advocate?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER WEIL: Here.

2 MR. BOLLOCK: Thank you. And Dr. Pat  
3 Zanzonico, our nuclear medicine physicist?

4 MEMBER ZANZONICO: Here.

5 MR. BOLLOCK: Thank you. I affirm that we  
6 have at least six members and a quorum.

7 Also at the table is Dr. Darlene Metter.  
8 Dr. Metter has been selected as our ACMUI diagnostic  
9 radiologist. She is pending security clearance, but  
10 may participate in the meeting, however, she does not  
11 have voting rights at this time.

12 I'd also like to recognize Mr. Zoubir Ouhib  
13 in the back. He's been selected as the next ACMUI  
14 therapy medical physicist, but cannot be seated at the  
15 table as the current medical physicist as it's currently  
16 occupied by our Chairman, Dr. Bruce Thomadsen.

17 I'd like to also add that this meeting is  
18 being webcast, so other individuals may be watching  
19 online.

20 We have a bridge line available and that  
21 phone number is (888) 864-0940. The pass code to access  
22 the bridge line is 88468 followed by the pound sign.

23 Individuals who would like to ask a  
24 question or make a comment regarding a specific issue  
25 the Committee has discussed should request permission

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to be recognized by the ACMUI Chairperson, Dr. Bruce  
2 Thomadsen. Dr. Thomadsen, at his option, may entertain  
3 comments or questions from members of the public who are  
4 participating with us today. Comments and questions  
5 are usually addressed by the Committee near the end of  
6 the meeting after the Committee has fully discussed the  
7 topic. We ask that one person speak at a time as this  
8 meeting is close-captioned. I'd also like to add  
9 that the handouts and the agenda for this meeting are  
10 available on NRC's public web site.

11 At this time I'd ask everyone on the call  
12 who is not speaking to place their phones on mute. If  
13 you do not have the capability to mute your phone, please  
14 press star, six to utilize the conference line mute and  
15 un-mute functions. I would ask everyone to exercise  
16 extreme care to ensure that background noise is kept to  
17 a minimum as any stray background sounds can be very  
18 disruptive on conference calls this large. At this  
19 point I'd like to turn it over the meeting to Dr. Josie  
20 Piccone, Director of the Division of Material Safety,  
21 State, Tribal and Rulemaking Programs for some opening  
22 remarks.

23 DR. PICCONE: Thank you, Doug, very much.  
24 It's a pleasure to be here this morning and to see you  
25 all face-to-face. I hear your voices on the conference

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 calls and several of you I have known for years and years  
2 in one capacity or another, so it's very good to see you  
3 again face-to-face.

4 This is a bittersweet welcoming for me  
5 because I've known Dr. Bruce Thomadsen for a long time,  
6 outside of this Committee as well. This is Dr.  
7 Thomadsen's last face-to-face meeting at NRC  
8 headquarters and his last meeting as the ACMUI Chair.  
9 I'd like to thank him for his eight years of service to  
10 the staff and the Committee. Tomorrow we will hear a  
11 special presentation from the Chairman to Dr.  
12 Thomadsen, as well as farewell remarks.

13 With his departure, we have appointed  
14 Dr. Philip Alderson as the ACMUI Chair with Dr.  
15 Zanzonico as the Vice Chairman. This will be effective  
16 October 15th.

17 Since the March ACMUI meeting, we've  
18 welcomed two new members, as Doug has mentioned, Dr.  
19 Darlene Metter. Again, welcome. And, Mr. Ouhib, we  
20 welcome you as well.

21 And I think I want to start with just a few  
22 organizational changes that have occurred at NRC in the  
23 last couple of weeks. So they are fairly new. We have  
24 a new Executive Director for Operations. Mr. Mark  
25 Satorius announced his retirement at the end of this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 year, and our new EDO is Mr. Victor McCree. And I think  
2 Dr. Thomadsen had the opportunity to meet him yesterday.

3 Mr. Michael Weber, who all of you know, is  
4 going to be leaving his position as the deputy executive  
5 director and he is moving to Research as the Director  
6 of that office. And the individual who will be  
7 replacing him in the EDO office is Mr. Glenn Tracy, who  
8 is coming to that position from our Office of New  
9 Reactors.

10 Catherine Haney, who is the Director of the  
11 Office of Nuclear Material Safety and Safeguards - I  
12 think all of you know her as well or have seen her at  
13 some of your meetings. She is leaving NRC Headquarters  
14 and going to be the new Regional Administrator for  
15 Region II. Her replacement has been announced, Mr.  
16 Marc Dapas. He is currently the Regional Administrator  
17 in Region IV. He does come to this position with  
18 significant background in the materials area, so he was  
19 the Director of the materials area in Region III. And  
20 again, he's the current RA in Region IV. So he is  
21 familiar with materials applications and issues  
22 including medical applications.

23 So turning now to the business of the  
24 Committee. You do have a very full two days. ACMUI  
25 held a teleconference on June 16th to discuss the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Subcommittee report for revisions to the Radioactive  
2 Seed Localization Guidance. That Subcommittee has  
3 revised their report as a result of that discussion and  
4 will present the revisions later on today.

5 Also, during that same teleconference, we  
6 heard a presentation from Spectrum Pharmaceuticals  
7 regarding the training and experience requirements for  
8 authorized users of alpha and beta emitters. An ACMUI  
9 subcommittee was formed to evaluate the current  
10 training and experience requirements, and we will hear  
11 an update from that subcommittee later on today as well.

12 ACMUI then had a teleconference this past  
13 August to discuss the draft report on the  
14 decommissioning funding plan requirements for the  
15 medical use of germanium-68/gallium-68 generators.  
16 Our staff will give a presentation later this afternoon  
17 to discuss our efforts in response to this report.

18 An NRC/OAS working group was formed to  
19 review ACMUI's recommendations for changes to the  
20 Medical Event Reporting Criteria for yttrium-90  
21 microsphere events. The working group provided the  
22 ACMUI with proposed guidance in this area. Later on  
23 today we will hear ACMUI's comments on the staff's  
24 proposed rewrite.

25 Tomorrow there will be a discussion of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Committee's comments on the proposed revisions to  
2 NUREG-1556, Volume 9, which is consolidated guidance  
3 about materials licenses, as well as the Committee's  
4 comments on the proposed revisions to NRC's Abnormal  
5 Occurrence Criteria Policy Statement. And I'm sure Dr.  
6 Thomadsen will report out on his interactions yesterday  
7 in this regard with the Commission.

8 We'll also hear tomorrow a presentation  
9 from Dr. Donna-Beth Howe regarding the Patient Release  
10 Project.

11 I've just touched on a few of the issues  
12 you're going to be handling today and tomorrow, so just  
13 by looking at the agenda you can see you have full days  
14 ahead of you.

15 So with that, I will turn it to Sophie, who  
16 is next on the agenda, and will cover old business and  
17 past ACMUI recommendations and NRC responses.

18 MS. HOLIDAY: Thank you, Josie.

19 Good morning, everyone. So this brings us  
20 to our old business presentation. Of course this is the  
21 presentation that we give at every meeting where we  
22 recount all of the recommendations and actions that were  
23 put forth by either Committee members or NRC staff and  
24 provide you a status update as to whether action has been  
25 taken or actions are still pending. A lot of this will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be a repeat from what you heard in March of this year,  
2 as it has been for a couple of years.

3 So to begin, on the screen and in your  
4 handout, you will see there are about 16 pages. I will  
5 just tell you that for calendar 2007 all of these listed  
6 on here are included in the current Part 35 rulemaking,  
7 so no changes for that. Are there any questions for  
8 2007?

9 (No audible response.)

10 MS. HOLIDAY: Seeing none, we will move on  
11 to calendar 2008. Again, for 2008 the majority of these  
12 items are also included in the current Part 35  
13 rulemaking with the exception of items 5, 19 and 20.  
14 You will note -- oh, and items 26 and 27. These items  
15 are listed as delayed, meaning that they are not  
16 included in this current Part 35 rulemaking, but will  
17 be considered for future rulemaking.

18 So then we can move on to -- oh, were there  
19 any questions for 2008?

20 (No audible response.)

21 MS. HOLIDAY: Seeing none, we can move on  
22 to 2009. Only two items listed on here. Again, these  
23 are all included in the current Part 35 rulemaking.

24 Next we go to 2011. You will note that 2010  
25 is not included in this as it was not in the March meeting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 because we closed all of those action items for 2010.

2 2011, just like 2009, all of these are  
3 included in the Part 35 rulemaking. Are there any  
4 questions for 2011?

5 (No audible response.)

6 MS. HOLIDAY: All right. Seeing none, we  
7 move on to 2012. There's only one item that's left on  
8 here, and this item will be carried forward  
9 indefinitely, and that was that ACMUI requested an  
10 annual report of the reporting structure to deliberate  
11 on whether or not they're satisfied with the current  
12 reporting structure. Are there any questions or  
13 comments on this?

14 (No audible response.)

15 MS. HOLIDAY: Seeing none, we can move to  
16 2013. As many of you will recall, 2013 was when we  
17 provided the Committee with the proposed Part 35  
18 rulemaking, and the ACMUI held two public  
19 teleconferences in March to provide their comments. So  
20 all of the items in 2013 pertain to the Part 35  
21 rulemaking with the exception of items 21 and 25.  
22 Twenty-one has to deal with the germanium/gallium-68  
23 generator discussion that was of course discussed in  
24 August, and as Dr. Piccone stated, staff will give a  
25 presentation on that at the end of today.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Item 25 has to deal with the ACMUI  
2       recommendation to reestablish the Rulemaking  
3       Subcommittee. You will also hear an update about Part  
4       35 rulemaking tomorrow, so I won't delve into that, but  
5       that subcommittee has been reconvened.

6           We can move on to 2014. I'm sorry. Were  
7       there any questions for 2013?

8           (No audible response.)

9           MS. HOLIDAY: Seeing none, we will move to  
10      2014. Items 6, 10, 11 and 12, these have to deal with  
11      the germanium/gallium-68, which I just mentioned, and  
12      also the yttrium-90 microspheres brachytherapy  
13      licensing guidance. You will hear items 6, 10, 11 and  
14      12 later on today.

15           Item 17 has to deal with a task group that  
16      was formed between Dr. Susan Langhorst and Mr. Francis  
17      Costello to provide logistics about a Medical  
18      Regulatory Information Conference. You will hear that  
19      presentation from Mr. Costello tomorrow. Are there any  
20      questions for 2014?

21           (No audible response.)

22           MS. HOLIDAY: Seeing none, I will move to  
23      2015. Again, item 1 has to deal with this Medical  
24      Regulatory Information Conference. As I just stated,  
25      Mr. Costello will give that presentation tomorrow.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Item 2 and item 3, you will hear both of  
2 these presentations today. As a result of the  
3 Yttrium-90 Microsphere Brachytherapy Subcommittee  
4 report in 2014, Dr. Thomadsen created a Subcommittee to  
5 review and evaluate the interpretation or the phrase  
6 "patient intervention." So you will hear a  
7 presentation from that subcommittee today.

8           For item 3, as Dr. Piccone stated, the ACMUI  
9 had a subcommittee that provided their comments on  
10 proposed revisions to the Radioactive Seed Localization  
11 Guidance. They gave that presentation in June of this  
12 year and they took back their actions, revising that  
13 report as a result of that teleconference. And you will  
14 also hear that presentation today.

15           Item 5 again has to deal with the  
16 germanium/gallium-68. Again, you'll hear that later  
17 on today.

18           Item 6. I have this listed as open, but I'm  
19 proposing to change this to closed because as you will  
20 remember in March Dr. Thomadsen said that he would send  
21 a letter to the Commission addressing the mis-wording  
22 of the intention of the Committee's recommendation for  
23 the medical event compatibility category. That letter  
24 was provided to the Commission back in April. And  
25 again, this has to deal with the Part 35 rulemaking,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 which will be discussed tomorrow.

2 Item 7 also has --

3 CHAIRMAN THOMADSEN: I would say --

4 MS. HOLIDAY: I'm sorry.

5 CHAIRMAN THOMADSEN: -- that item in  
6 itself, regardless of what happens with rulemaking, has  
7 been closed because it's just dealing with sending the  
8 letter, and the letter went.

9 MS. HOLIDAY: Absolutely. Thank you.

10 Okay. Item 7, this has to deal with the  
11 ACMUI's recommendation that events reported under 10  
12 CFR 35.3045 that do not result in harm to the embryo,  
13 fetus or the nursing child should not be captured as  
14 abnormal occurrences that are reported to Congress. As  
15 Dr. Piccone stated, we will hear the Committee's  
16 comments on the proposed revisions to the Abnormal  
17 Occurrence Criteria Policy Statement tomorrow.

18 CHAIRMAN THOMADSEN: Just for the  
19 transcript, when Dr. Alderson's reading it, it says  
20 35.3047 and you just said 35.3045.

21 MS. HOLIDAY: Oh, I'm sorry. That's  
22 correct. If I misspoke, I apologize. Thank you.

23 Item 8 is where the Committee recommended  
24 to hold its fall meeting October 8th and 9th. Since  
25 we're all here, I move to close this item.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Laughter.)

2 MS. HOLIDAY: Are there any objections to  
3 closing this item?

4 (No audible response.)

5 MS. HOLIDAY: Okay. Item 9, again as Dr.  
6 Piccone stated, we had a teleconference in June of this  
7 year where Spectrum Pharmaceuticals provided a  
8 presentation to discuss the training and experience  
9 requirements for authorized users of alpha and beta  
10 emitters. As a result of that presentation Dr.  
11 Thomadsen created a subcommittee to evaluate the  
12 training and experience requirements. That  
13 Subcommittee will give their presentation later on  
14 today.

15 Again, in June of this year the Radioactive  
16 Seed Localization subcommittee provided their report  
17 and they will revise it today.

18 Okay. We move on to the next item. And  
19 the last item is that we had a teleconference August 12th  
20 to of course discuss the Germanium/Gallium-68  
21 Decommissioning Funding Plan Subcommittee report with  
22 addendum. This report has been posted on the ACMUI web  
23 site and is available for everyone's view. Dr. Said  
24 Daibes will give a presentation, again, of course, on  
25 this topic later on today to inform you of what staff's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 efforts have been towards addressing the Committee's  
2 recommendations.

3 Are there any questions for 2015?

4 (No audible response.)

5 CHAIRMAN THOMADSEN: I don't see any.  
6 Thank you very much.

7 MS. HOLIDAY: Thank you.

8 CHAIRMAN THOMADSEN: And I would like to  
9 officially on the part of the Committee welcome Dr.  
10 Metter to the Committee.

11 MEMBER METTER: Thank you.

12 CHAIRMAN THOMADSEN: We look forward to  
13 your participation and I hope you enjoy your time with  
14 us.

15 And I also will welcome in the future Mr.  
16 Ouhib, who I can't say I'll enjoy working with you on  
17 the Committee since we'll be changing places. But I  
18 hope you also will enjoy your time on the Committee.

19 Since it is fairly public, I will mention  
20 that Dr. Langhorst was hit by a car. She's in the  
21 hospital. Should be leaving soon. Broke her hand, on  
22 which she's had some surgeries, and the femur. And we  
23 would like to express our wishes for a speedy recovery.  
24 I will pass a card around you can sign. We'll send it  
25 to her. That's sort of the less official work that I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have to do today.

2 And jumping into this, our first item is an  
3 open forum where all of you get a chance to give us ideas  
4 as to where we should be going, what should we do in the  
5 future, what sort of issues are out there that you would  
6 like us to address. You can think about that and make  
7 suggestions now. You can also think about it during the  
8 meeting and we will have another sessions at the end to  
9 give you a second chance. But right now I'll open the  
10 floor to Committee members. Who would like to say  
11 something?

12 (No audible response.)

13 CHAIRMAN THOMADSEN: And again, you have  
14 another chance later. If you don't have anything that  
15 you've formulated enough that you want to speak right  
16 now, that's fine. In that case -- yes, Ms. Weil?

17 MEMBER WEIL: I propose at this particular  
18 moment -- on the agenda, I would like to suggest just  
19 as an administrative matter that the open session  
20 agendas be less specific with time slots so that we're  
21 able to move on more efficiently so that the members of  
22 the public who are listening and participating in the  
23 meeting will know that perhaps items will not exist at  
24 exactly the time that they're listed on the schedule,  
25 but approximately so that we could perhaps be moving

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 forward.

2 CHAIRMAN THOMADSEN: Good point. Well  
3 noted. I think within the time periods between breaks  
4 we can probably go ahead at that point. Is that  
5 correct? We can move on to item No. 5 as soon as we are  
6 done with item No. 4.

7 MEMBER WEIL: That's correct.

8 CHAIRMAN THOMADSEN: Very good. I think  
9 maybe you have a point as far as the open forum. We  
10 would like to keep this open, fluid, and maybe there are  
11 better ways to do that. I think that the Committee and  
12 the staff would be open to suggestions for how that might  
13 be best to do. Possibly moving just before a break  
14 might be better.

15 With that, I will ask Dr. Dilsizian to talk  
16 about the Patient Intervention Subcommittee report.

17 MEMBER DILSIZIAN: Well, thank you very  
18 much, Dr. Thomadsen, and colleagues.

19 We were charged to clarify the meaning of  
20 "patient intervention." And this was brought up by Mr.  
21 Costello, and because he wasn't -- he was concerned that  
22 there may be some disparity between the way the NRC  
23 interprets the term "patient intervention" and how the  
24 Advisory Committee members interpret it. And he wanted  
25 to make sure that we have a discussion and have an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 alignment in the interpretation of the term and listed  
2 our Subcommittee members: Dr. Alderson, of course Mr.  
3 Costello, Dr. Ennis, Dr. Suh and Ms. Weil.

4 So just a brief review, which you're all  
5 familiar with. I'm just going to kind of set-up the  
6 discussion. Patient intervention obviously means  
7 actions by patient or human research subject, whether  
8 intentional or unintentional, such as dislodging or  
9 removing treatment devices or prematurely termination  
10 of the administration. And so the question is, "what  
11 are the implications of such misadministration  
12 reporting requirements as it comes to the NRC?" And the  
13 2002 final ruling of 10 CFR 35.3045(a) specifically says  
14 that the licensee shall report any event in the Section  
15 (a) except for an event that results from a patient  
16 intervention in which the administration of byproduct  
17 material or radiation from byproducts may result in, for  
18 example, differing the dose from the prescribed dose by  
19 20 percent or more or would have resulted in a greater  
20 than 5 rem effective dose equivalence such as  
21 administering the wrong radioactive drug to the wrong  
22 patient.

23 Now, in Section (b) it addresses the issue  
24 about licensee reporting any event resulting from  
25 intervention of a patient or human subject in which the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 administration of byproduct or radiation from the  
2 byproduct material results or will result in unintended  
3 permanent functional damage to an organ or a  
4 physiological system as determined by a physician. And  
5 so, this is where the discussion comes in.

6 And so the 2014 proposed ruling made no  
7 changes in the 2002 final ruling. And again, the  
8 question that we are addressing today is what about  
9 unintentional treatment due to anatomic or physiologic  
10 anomaly rather than intentional or unintentional  
11 action, which are the terms that were used in the ruling.  
12 And does that constitute patient intervention, albeit  
13 passive rather than active?

14 So what we're talking about is an anatomic  
15 anomaly that the patient may have or physiologic  
16 anomaly, and that may result in a different dose that  
17 the patient would get from the intended prescribed dose.  
18 And so, how would we address that?

19 So, I just summarize here our  
20 recommendations as issue 1 and issue 2. Issue 1 there  
21 wasn't a lot of discussion, which is consistent with the  
22 final ruling; that is, the unintentional or intentional  
23 patient action would represent a reportable medical  
24 event if it results or would result in unintended  
25 permanent functional damage to an organ or a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 physiological system as determined by the 2002 final  
2 ruling. Of course the real goal would be to prevent or  
3 mitigate patient actions that may impact treatment.  
4 This is consistent and we didn't really do much  
5 revisions.

6 Now the issue 2 is where we had a lot of  
7 discussion, and I want to thank my Committee members.  
8 And I will expand on this. So issue 2, unintentional  
9 treatment outcome due to anatomic or physiologic  
10 anomaly and/or imaging uncertainty falls into the  
11 category of the art of medical practice provided that  
12 standards of medical practice are met. And I'm going  
13 to expand on these.

14 First, let me take the words "the art of  
15 medical practice," and how do we come to that? Well,  
16 when we prescribe medications; for example,  
17 antihypertensive medications, 25 milligrams of a  
18 specific dose, we understand in the art of medical  
19 practice that there's wide variability of the  
20 absorption rate of that 25 milligrams in different  
21 patients depending on their renal function, liver  
22 metabolism. So the 25 milligrams not exactly 25  
23 milligrams. And that variation is consistent with what  
24 we're talking about, physiological variation among  
25 patients such that the treatment effect will vary from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patient to patient. And so, the intended dose,  
2 prescribed dose, may vary from what the patient actually  
3 receives. So that's what we mean by the art of medical  
4 practice.

5 The second part, the standards of medical  
6 practice are met; this is where we had a lot of  
7 discussion. In essence, we wanted to make sure that,  
8 just like we discussed with the issue No. 1, we actually  
9 have thought about preventing, even if it's passive,  
10 potential therapeutic unintentional outcome. And that  
11 would mean appropriate non-invasive studies, shall we  
12 say, to determine whether there are any anatomical  
13 variations in that particular patient compared to the  
14 others.

15 Now, we had a lot of discussions here, and  
16 the reason we kind of came to this conclusion of  
17 standards of medical practice on that -- it's a clever  
18 work I think because the standards, as you know, vary  
19 -- standards of practice do vary at different parts of  
20 the country, but the standard of medical practice would  
21 hold, would be carried out as a non-invasive study,  
22 whatever that may be, whether it's an ultrasound or a  
23 CT.

24 We didn't want to prescribe or specify what  
25 that would be. And we also thought that we walk this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 tightrope of NRC not really managing medical care.  
2 We'd like to be advisory board, but not really guide  
3 exactly how and what that therapy should be.

4 So, the two terms there for "the art of  
5 medical practice" and the "standard of medical  
6 practice" are met is how we came to that conclusion. It  
7 may sound vague to you, but I think that we're trying  
8 to be not necessarily controlling the medical therapy  
9 of how patients are managed, yet guide the physicians  
10 that they should be doing the right therapy.

11 So, the second bullet point is very  
12 important, because if we require these type of  
13 unpredictable and unavoidable -- that are  
14 patient-specific medical events to be reported and you  
15 say, well, why are we reporting these if nothing can be  
16 learned from that? Because in essence this is unique  
17 for a specific patient, for a specific anomaly. And so,  
18 by reporting it, if it can help in the future to prevent  
19 such events, I think it will be very important. But if  
20 it doesn't really help to prevent such events in the  
21 future and cannot be regulated, we felt that that should  
22 not be required. So, now I'll now open it up for  
23 discussion.

24 CHAIRMAN THOMADSEN: Thank you.

25 Mr. Costello?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER COSTELLO: Well, as I'm the one who  
2 sort of raised this issue, I'd like to thank Dr.  
3 Dilsizian, and other members of the Committee,  
4 Subcommittee, because this is exactly what I was looking  
5 for. I think it makes a clear definition of what we mean  
6 by patient intervention. And as a member of the  
7 subcommittee, I'm happy with the definition that we came  
8 up with.

9                   My overriding goal here was that we have to  
10 mean the same things by terms, that if the Committee at  
11 some future event were to say, well, we don't believe  
12 that this is a medical event with a patient  
13 intervention, we want the NRC to hear what we mean. And  
14 I'm just saying from my previous life with the NRC, I  
15 think this goes beyond historically the way we interpret  
16 patient intervention, but I think I'm very comfortable  
17 with this interpretation of patient intervention, and  
18 I hope the other members of the Committee will feel the  
19 same way and ultimately I hope the NRC and its general  
20 counsels will feel the same way. Thank you.

21                  CHAIRMAN THOMADSEN: Thank you, Mr.  
22 Costello. Ms. Weil?

23                  MEMBER WEIL: I came at this as a member of  
24 the subcommittee from a slightly different perspective  
25 and while I could accept this language, I felt we should

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be a little bit more suggestive in what we talked about  
2 with the standards of medical practice. I think from  
3 a regulatory point of view it's difficult for those who  
4 are inspecting to assess the standards of medical  
5 practice, and I didn't think there was any harm in  
6 suggesting that we include language that talked about  
7 all appropriate pre-treatment planning and  
8 post-treatment follow up instead of the language "the  
9 standards of medical practice." I just felt that that  
10 was more helpful to both sides of the equation.

11 CHAIRMAN THOMADSEN: Thank you for that  
12 comment.

13 Other comments?

14 MEMBER ENNIS: Maybe you should -- well --

15 CHAIRMAN THOMADSEN: Dr. Zanzonico?

16 MEMBER ENNIS: So, first, may I speak?

17 CHAIRMAN THOMADSEN: Yes. Please, Dr.  
18 Ennis.

19 MEMBER ENNIS: I think Frank was really  
20 perceptive in picking up on this disconnect in the  
21 phrase, and it really was a good topic for us to deal  
22 with. And it really brings a lot of the salient issues  
23 of NRC regulating something that is medical right to the  
24 head. And it's tricky. We really had a very vigorous  
25 discussion. And I think this -- I very much like the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 language that we have come to. I do think it protects  
2 patients and the public from inappropriate delivery of  
3 radioactive materials while still being aware of the  
4 realities that patients are very different and there's  
5 a lot of uncertainty and a lot of judgment in medicine  
6 and not wanting to discourage that type of care, the use  
7 of radioactive materials in the service of the public.

8 I feel like it strikes a good balance to  
9 proscribe or prescribe -- prescribe specific  
10 interventions or tests for things that are needed.  
11 Fits a particular scenario, but won't fit all scenarios.  
12 And things will evolve over time. And I think one of  
13 the skills of regulatory is to find language that will  
14 be flexible enough to cover the next decade or whatever  
15 so we don't have to revisit it. If we say imaging, well,  
16 what about blood tests? And if we say blood tests, what  
17 about genetic tests? And if we say genetic tests, what  
18 about urinalysis? And it's going to really vary  
19 depending on the thing. So, I think standard  
20 medical practice is the best kind of phrase that we can  
21 come up with that will say you're supposed to practice  
22 medicine properly and the NRC won't regulate that as  
23 long as -- and of course there could be some tension of  
24 what that is, but nevertheless I think it's the best we  
25 could do with language and without proscribing things

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433



1       that fit yttrium-90, but might not fit tomorrow's  
2       yttrium-90, whatever that might be.

3               So, while I do want to make sure the  
4       regulations are protecting the public and not just  
5       allowing physicians to do anything and say, oh, it's  
6       standard medical practice, I think this language  
7       accomplishes that.

8               CHAIRMAN THOMADSEN:   Thank you, Dr. Ennis.  
9       Dr. Zanzonico?

10              MEMBER ZANZONICO:     Yes, I want to  
11       congratulate the subcommittee. I think they captured  
12       the spirit of what was intended in terms of reportable  
13       events, namely identifying and hopefully lessen the  
14       probability of dangerous or potentially dangerous  
15       mistakes.

16              I would actually go one step further and  
17       maybe qualify the term "art of medical practice" with  
18       the local art of medical practice, because as Dr. Ennis  
19       alluded to, different institutions, different  
20       practitioners in good faith perform different  
21       procedures differently, and a regulator could  
22       potentially say, well, Institution X does this  
23       procedure in this way, which is, quote/unquote,  
24       "correct," while Institution Y does it different, which  
25       is, quote/unquote, "incorrect."

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So, I think as long as a practitioner or an  
2                   institution is complying with their standard of  
3                   practice and thereby avoiding overt mistakes, I think  
4                   that's not a reportable event regardless of the  
5                   consequences or regardless of what ensued. So, like I  
6                   said, I would just suggest qualifying this statement to  
7                   acknowledge that fact that there are good faith  
8                   differences among institutions and practitioners and  
9                   different procedures and maybe qualify it, as I said,  
10                  as the local art of medical practice, or some such term  
11                  as that.

12                   CHAIRMAN THOMADSEN:     Thank you, Dr.  
13                  Zanzonico.

14                   Other comments? Dr. Ennis?

15                   MEMBER ENNIS: I don't disagree with the  
16                  spirit of the comment, but I don't think it's really  
17                  necessary. I don't think the art of medical practice  
18                  without that phrase really is limiting. I would say  
19                  that even -- in fact adding the local phrase might be  
20                  more problematic, for example, if you're at Sloan  
21                  Kettering or Mount Sinai perhaps and you happen to  
22                  disagree with a large proportion of your department  
23                  does, but have a good reason for wanting to do it some  
24                  way, I wouldn't want a regulator to say, well, you  
25                  didn't follow the local practice. So I think that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 maybe not necessarily helpful.

2 In addition, medicine is moving more and  
3 more towards uniform standards rather than local  
4 standards anyway for a lot of good reasons. So I think  
5 that local differentiation over time is going to be  
6 lessening anyway.

7 CHAIRMAN THOMADSEN: Thank you, Dr. Ennis.

8 Dr. Dilsizian?

9 MEMBER DILSIZIAN: Yes, we thought about  
10 that obviously and discussed it and I pointed it out.  
11 So the terminology "standards of medical practice,"  
12 from a medical legal perspective, as you know, the  
13 standard is always local. So, we thought that instead  
14 of local art of practice of medicine the words  
15 "standards of medical practice" embodies the local  
16 differences between States and practices. So I hope  
17 that will be acceptable to you.

18 CHAIRMAN THOMADSEN: Thank you for that  
19 comment.

20 MEMBER DILSIZIAN: Sure.

21 CHAIRMAN THOMADSEN: Other comments from  
22 the Committee? Yes, Mr. Costello?

23 MEMBER COSTELLO: The thing at least for  
24 the NRC to consider is what do they do with our  
25 recommendation? Okay? We were not, and are not I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 don't believe, recommending rulemaking. I mean, the  
2 last thing we want to do is recommend rulemaking. This  
3 is --

4 (Laughter.)

5 MEMBER COSTELLO: Because I don't have  
6 many years left on the Committee.

7 However, I think particularly since we're  
8 parsing the words pretty carefully, we worked very hard  
9 on the language, I thought. The language may look  
10 simple, but we didn't arrive at it simply. And I don't  
11 even know where this would go. The language that we're  
12 interpreting is language in the rule. It's in Part 35  
13 and I think is unmodified in the proposed Part 35.  
14 Would this be something that the NRC would -- I don't  
15 know what they would do. Okay? But I think they should  
16 adopt the language in some way and say that they agree  
17 with the language and publish it in some way so that  
18 everybody knows what this is so that we on the ACMUI and  
19 the staff of the NRC are speaking the same language, but  
20 I don't know the best way for them to do it.

21 I think it should be done publicly. I  
22 think that the medical community should know this when  
23 they're thinking of whether to report particularly a  
24 particular event, but I certainly would not recommend  
25 doing it in a rulemaking. But I don't really have a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 particular recommendation on how to do it.

2 CHAIRMAN THOMADSEN: Thank you, Mr.  
3 Costello.

4 So what would be the recommendation of the  
5 staff?

6 DR. PICCONE: Well, I have a number of  
7 questions that's on what was the recommendation on  
8 implementation. So just reading the two  
9 recommendations, it's, okay, what are you asking? And  
10 I think Frank tried to get to that, but on what are you  
11 requesting NRC to consider?

12 But also, we had a question on what do you  
13 mean by "imaging uncertainty?" That is very  
14 qualitative.

15 MEMBER DILSIZIAN: Well, I can answer  
16 that. As imagers any imaging modality that we do is  
17 never 100 percent sensitive or 100 percent specific.  
18 There are uncertainties in -- even at your best  
19 technique of acquiring images, the resolution of the  
20 camera may be such that you won't detect specific  
21 anomalies that are beyond the resolution of the camera.  
22 So that every imaging modality has its strength and  
23 limitations and it can relate on soft tissue  
24 attenuation, patient's body size, patient's anatomic  
25 variations, that the technique that you use may not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 necessarily be 100 percent.

2 So that's what we mean by that. It's no  
3 technique will be absolute and there are going to be  
4 variations. And that would be again part of the art of  
5 medical practice. We do the best we can with blood  
6 testing. We do the best we can with physical  
7 examinations, imaging. Ultimately, it's a  
8 conglomerate of information that we put together and we  
9 decide practicing or treating patients accordingly.  
10 So that's where the uncertainty comes in.

11 CHAIRMAN THOMADSEN: Dr. Alderson?

12 VICE CHAIR ALDERSON: Yes, so I would  
13 suggest given what you just said that imaging  
14 uncertainty actually is part of the art of medical  
15 practice. And in terms of the language you could just  
16 leave it out and leave the "art of medical practice."  
17 It would be covered.

18 CHAIRMAN THOMADSEN: Mr. Costello?

19 MEMBER COSTELLO: We want the NRC to adopt  
20 this language. We want them to adopt this language  
21 publicly. Okay? Well, the methods for it, I mean, you  
22 could issue a RIS, I would imagine. They still do  
23 information notices? I guess you could do an  
24 information notice. It would be some way of the NRC  
25 endorsing this definition so the practitioners, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 people in the field will say, well, is this a medical  
2 event? Well, we think it's patient intervention.  
3 Well, does it meet these criteria? And if they say,  
4 well, it does meet these criteria, then we don't have  
5 to report it. If it doesn't meet these criteria, then  
6 we might need to report it if it meets the other  
7 definitions, medical event.

8 I think the important thing is that the NRC  
9 endorse a definition of "patient intervention" and in  
10 a public way that is available to the licensee  
11 community.

12 CHAIRMAN THOMADSEN: Thank you, Mr.  
13 Costello. Yes?

14 DR. PICCONE: I actually can come up with  
15 a scenario for this passive, if you will, intervention,  
16 which is what you want to add, where reporting could be  
17 beneficial and could be helpful to the medical  
18 community. Let's say they're doing a study and they  
19 currently use ultrasound to define the organ. And we  
20 have a scenario that happened very recently, and I won't  
21 go into detail on that, but they used ultrasound to  
22 define the organ. Okay? They thought they had the  
23 organ using ultrasound. They used another imaging  
24 modality post-treatment, okay, and realized that what  
25 they were seeing on ultrasound was some mass that was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not the organ, was not expected, but they were able to  
2 clearly differentiate on MRI. So in that case, that  
3 might be valuable information and give someone pause on  
4 what modality to use.

5 CHAIRMAN THOMADSEN: And following up on  
6 that, can I ask advice from Mr. Mattmuller? In the drug  
7 communication, medication community in reporting  
8 interesting events with drugs, do they not  
9 differentiate between something like adverse drug  
10 reactions and drug medication events or something like  
11 that? They have different classes of events that might  
12 be reportable?

13 MEMBER MATTMULLER: You're referring to  
14 normal pharmaceuticals and I'm a bit removed from those.

15 CHAIRMAN THOMADSEN: Oh, okay. I  
16 apologize.

17 MEMBER MATTMULLER: So, I'm sorry. But to  
18 your question, yes, they do have -- it's just not yes/no.  
19 There are subcategories as to define the adverse effect,  
20 yes.

21 CHAIRMAN THOMADSEN: Yes, where an adverse  
22 effect is [for] you the drug to do one thing, but in a  
23 particular patient it doesn't. It does something quite  
24 different. And that's not an event, but it's a drug  
25 reaction, which as you point out, Dr. Piccone, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 things like that might be good to capture and let the  
2 community know about, and particularly as we move into  
3 targeted radionuclide therapy, it may be that the  
4 reactions that we see in patients may be more variable  
5 and less predictable than things like brachytherapy or  
6 external beam. So I think the point is well taken. I'm  
7 not sure we would want to group these as events and that  
8 they have a different nature to them. Unfortunately,  
9 the NRC does not have another classification that we  
10 could put those into.

11 Yes, Dilsizian?

12 MEMBER DILSIZIAN: Thank you. I just want  
13 to address Dr. Piccone's comment. So that ultrasound  
14 case that you brought up is a nice one, but you could  
15 also understand that some patients will have  
16 limitations of not having MRI study. They may have some  
17 metallic objects where MR may not be the right study.  
18 So you bring up the right example of why we can't  
19 prescribe particular imaging modality. Depending on  
20 the patient's needs and limitations the proper  
21 technique -- so for example, one could argue that the  
22 ultrasound was misread by the individual, which is also  
23 part of the art of medicine and that someone else could  
24 have actually identified that that's actually a mass,  
25 that's not the organ. So again, we don't want to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 prescribe to detail, I think. NRC should I think stay  
2 out of that.

3 So, Dr. Alderson, just to your comment  
4 about the uncertainty, imaging uncertainty, remember  
5 that we actually -- if you look at the way we worded this,  
6 due to anatomic or physiologic anomaly and/or imaging  
7 uncertainty, that falls into the category of art of  
8 medical practice, which is what we did.

9 VICE CHAIR ALDERSON: It does, right.

10 MEMBER DILSIZIAN: So we don't have to  
11 change it, right? We just defined it as the subject.

12 VICE CHAIR ALDERSON: All right.

13 CHAIRMAN THOMADSEN: Dr. Ennis?

14 MEMBER ENNIS: Just responding to Dr.  
15 Piccone's -- so, let's keep in mind of course that there  
16 are other spaces in society to deal with all kinds of  
17 errors. So there's the legal space where malpractice  
18 -- which may be what you kind of describe, someone not  
19 understanding how to interpret an image properly. Is  
20 it really something the NRC needs or wants to regulate  
21 or report in the tele-medical community, oh, there's  
22 someone out there who didn't know how to read an  
23 ultrasound, if the ultrasound is the imaging that you're  
24 talking about, but rather that's a hospital or  
25 regulator, whether the practitioner is appropriately

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       trained.

2                       So, it seems to me that the kind of scenario  
3       you describe, while in some ways could be a considerable  
4       event, is really more about malpractice, hospital  
5       regulations on practitioners, and/or the clinical  
6       research space. So, let's not also forget that the  
7       doctors are trying to figure out how to do things better  
8       by and large and aren't always reporting things. And  
9       there's always studies. Oh, if you do this imaging,  
10      it's going to be better. I mean, so much of our medical  
11      literature now is about how imaging improves things and  
12      showing, you know, we had three adverse events with  
13      ult[rasound] and we got rid of those with this new  
14      imaging. So those spaces I think really kind of deal  
15      better with the kind of scenarios you raised.

16                   CHAIRMAN THOMADSEN: Ms. Weil?

17                   MEMBER WEIL: At the root the subtext to  
18      everything we're saying here is that we think reporting  
19      medical events is somehow bad or detrimental, that it  
20      dings the practitioner or the institution who is the  
21      subject of the medical event or the generator of the  
22      medical event. But I think we need to think of these  
23      things as opportunities for information sharing that  
24      can enhance patient safety. And as such, I think  
25      over-reporting is perhaps better than under-reporting.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 And there are public things to be learned from even  
2 reporting patient -- passive patient intervention.

3 CHAIRMAN THOMADSEN: Thank you very much.  
4 Mr. Costello?

5 MEMBER COSTELLO: And correct me if I'm  
6 wrong, I don't think Dr. Piccone was talking about  
7 misreading the MRI. I believe that she was talking  
8 about that that modality was not able to see this. It  
9 was a properly done MRI. It was just a modality that  
10 wouldn't identify the mass. I think, and one reason I  
11 brought this issue up, it comes to the -- as Ms. Weil  
12 was saying, the underlying reason of why we have medical  
13 events, reportable medical events. And I think that  
14 the Subcommittee and the Committee basically feels that  
15 if the authorized user and the medical team did  
16 everything right, did everything according to the  
17 standards of medical practice -- and for another reason,  
18 the normal imaging modality, one that's normally used  
19 just didn't happen to identify it in this case, or the  
20 patient's anatomy or whatever, okay -- if they did  
21 everything right, if something that they had no way of  
22 knowing about caused the treatment to have an unintended  
23 outcome, that that should not be reportable because the  
24 team did everything they could possibly do. I think  
25 that's the underlying belief for the Committee.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I do not believe, in my previous life, that  
2 that was the NRC's underlying understanding, that it  
3 wasn't about whether the authorized user and the team  
4 did everything right. It was about the outcome. Okay?  
5 And that's just a very big difference.

6 When I brought this up, I didn't bring up  
7 what I think is very good language because I didn't have  
8 a solution. I thought I could identify what I thought  
9 it was a problem, but I didn't really have a solution.  
10 I just -- and now I'm comfortable with the language that  
11 we've come up with, but I could understand why  
12 reasonable people can differ on this. That's why it's  
13 very important I think that the Committee and the NRC  
14 come into alignment on what we mean by this term.

15 But going back to what I said before, I  
16 don't think she was talking about doing the MRI wrong.  
17 It's just that after doing it right it's still having  
18 a problem.

19 CHAIRMAN THOMADSEN: Dr. Alderson?

20 VICE CHAIR ALDERSON: So to go back to our  
21 previous discussions in relation to these things that  
22 were just said about imaging uncertainty, I think that  
23 the other two terms are not being debated, the art of  
24 medical practice and standards of medical practice.  
25 People aren't debating that. But the words "imaging

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       uncertainty" have created this whole last 15 minutes'  
2       worth of discussion.

3                       Therefore, it suggests to me again that the  
4       ability to implement something here that will be  
5       meaningful, that will go out and have a meaningful  
6       impact in the public and with patients and patient care  
7       -- that that phrase is going to continue to trip us up.  
8       And imaging is going to continue to change, but the  
9       standards of medical practice will be changing with it  
10      as it does. So I still think in terms of the interest  
11      of clarity and the ability to be able to implement this  
12      properly that we ought to consider dropping "imaging  
13      uncertainty."

14                   CHAIRMAN THOMADSEN:     Thank you, Dr.  
15      Alderson.

16                   Dr. Palestro?

17                   MEMBER PALESTRO:    Yes, thank you, Bruce.  
18      In going through this I agree with Phil's comments about  
19      removing "imaging uncertainty," and I do think that it  
20      is in fact covered by the phrase or included in the  
21      phrase "standards of medical practice."

22                   CHAIRMAN THOMADSEN:     Thank you, Dr.  
23      Palestro.

24                   Dr. Zanzonico?

25                   MEMBER ZANZONICO:    I basically just wanted

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to echo Dr. Ennis' comments. I don't think anyone  
2 disagrees with publicizing suboptimal practice where we  
3 can be identified. And to me that's a big purpose of  
4 the medical literature, the scientific literature.  
5 And I really think it's outside the scope of  
6 responsibility of regulators to identify and by  
7 extension help define optimum medical practice. To me  
8 reportable medical events is to identify and hopefully  
9 prevent harmful or potentially harmful mistakes, overt  
10 mistakes, not suboptimal practice, so forth and so on.  
11 That really is the purview, as I say, of the scientific  
12 literature, the peer reviewed literature where  
13 independent referees vet the validity of what's being  
14 reported and so forth.

15 So while there is value to publicizing  
16 suboptimal practices and so forth and so on, I don't  
17 think that's the scope of responsibility of regulators.  
18 And I think what the Subcommittee has recommended with  
19 or without the term "imaging uncertainty" really  
20 captures what should be the intention of reportable  
21 medical events.

22 CHAIRMAN THOMADSEN: Thank you, Dr.  
23 Zanzonico.

24 Before Dr. Ennis speaks, I would not  
25 particularly disagree with what you said. It would in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a world that actually was supposed to be, but has not  
2 gotten into legislation yet, that practices would be  
3 required to report events to patient safety  
4 organizations where this information could be gleaned  
5 and could be presented to the community where it could  
6 be useful. And that is where this type of work should  
7 belong as opposed to a regulation space.  
8 Unfortunately, we don't have that. And the question  
9 becomes, well, what is useful to society given the  
10 reality on the ground at the moment.

11 Dr. Ennis?

12 MEMBER ENNIS: Really, almost mirroring  
13 what you were going to say is that, regarding Ms. Weil's  
14 comments, they're incredibly important, that these kind  
15 of -- other kinds of things that don't quite reach the  
16 level of medical event get reported and get analyzed.  
17 And patient safety organizations have been developed to  
18 do just that across the whole house of medicine. I  
19 assume many specialties are doing it. Radiation  
20 oncology actually have a very large patient safety  
21 organization and reporting mechanism. So, and I guess  
22 I would feel that that's the space for these. things.

23 Medical events, the reality is they are as  
24 you described them. They are as bad for the  
25 practitioners and the people involved. Whether that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 was the original intention or not, that's the current  
2 reality. It percolates up to the highest levels of a  
3 hospital and the States, and it's a big deal. So unless  
4 there's some other way, a patient safety organization  
5 seems to me to be the way of doing what is a very  
6 important part of figuring out quality.

7 CHAIRMAN THOMADSEN: Mr. Bollock?

8 MR. BOLLOCK: Thank you. Just to address  
9 Ms. Weil's comment. And I know Dr. Zanzonico has kind  
10 of touched on with the -- in talking about medical event.  
11 We have received a lot of feedback where it comes to  
12 medical events, and basically the purpose behind it just  
13 to identify issues that have happened and correct them,  
14 and then by disseminating information prevent it from  
15 happening again. And so we are working to kind of get  
16 that -- to help get that out there to our purpose in the  
17 public forum. So, we are working to do that. That may  
18 help some clarification, but we do realize, as Dr.  
19 Zanzonico said, there is a difference between there was  
20 a mistake and kind of like a best practice thing. So,  
21 we understand that and we appreciate the feedback from  
22 the subcommittee when it comes to this area.

23 CHAIRMAN THOMADSEN: Are there other  
24 comments from the Subcommittee? Yes, Mr. Mattmuller?

25 MEMBER MATTMULLER: Yes, in reading

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 through this I couldn't help but think of a recent  
2 abnormal occurrence that was in the 2014 report about  
3 a licensee in Ohio that was doing a Y-90 microsphere  
4 study. And they did everything right, but  
5 unfortunately from the time they evaluated the patient  
6 with technetium MAA for shunting to the time that the  
7 patient was actually treated collateral vessels  
8 developed, which would be analogous to extra valves  
9 appearing in a nuclear power plant spontaneously.

10 (Laughter.)

11 MEMBER MATTMULLER: And so there was this  
12 unexpected unusual shunting of the microspheres going  
13 to the gut. So it seems to me this would almost be like  
14 the poster child for exactly what you're talking about.  
15 I mean, they did everything right, but you're dealing  
16 with a human, and they don't always cooperate. So I'm  
17 assuming your subcommittee is saying that type of event  
18 should not be considered a medical event.

19 MR. BOLLOCK: I don't know if that's on the  
20 Subcommittee -- on the yttrium-90 guidance, the update.  
21 I think that's one of the things that was covered by --

22 CHAIRMAN THOMADSEN: Mr. Costello?

23 MEMBER COSTELLO: Yes, I'm on the  
24 subcommittee, and our recommendation basically was if  
25 the medical team did everything right and they put the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 spheres in the right location and then -- then that would  
2 not be a medical event. So I think that that involves  
3 changing the guidance that's in 35.1000. And I think  
4 that would solve that.

5 MEMBER MATTMULLER: Right, I think that's  
6 going to be like in the report later.

7 MEMBER COSTELLO: And I think we're in  
8 process. I think we're going to get there.

9 MEMBER MATTMULLER: Okay.

10 MEMBER COSTELLO: However, I think the  
11 approach that we took there, the philosophy and the  
12 approach that we took there is reflected in here. Okay?  
13 It's the philosophy that comes up and is there, but it  
14 was the same philosophy that we used, I believe, in  
15 coming up with the recommendation for the Y-90  
16 microspheres.

17 CHAIRMAN THOMADSEN: Dr. Dilsizian?

18 MEMBER DILSIZIAN: No, I just wanted to  
19 concur with Mr. Bollock's recommendation. I think that  
20 there were three words he used: report, correct,  
21 prevent. If the reportable event cannot be corrected  
22 or preventable, then it should be regulated. Is that  
23 fair?

24 CHAIRMAN THOMADSEN: I think I would have  
25 to diagram that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Laughter.)

2 CHAIRMAN THOMADSEN: But thank you.  
3 Thank you for that.

4 Ms. Weil, did you have your --

5 MEMBER WEIL: No, I'm just grimacing.

6 (Laughter.)

7 MEMBER WEIL: I have a little trouble with  
8 that, because I think that you report in the hopes that  
9 you will generate enough information that might prevent  
10 similar occurrences. And correction might be the  
11 change of medical practice. Maybe that's the  
12 correction. As new information becomes available the  
13 ultrasound won't be used. The MRI will be used, when  
14 it can, granted. I'm having a lot of trouble with the  
15 big black hole in this language. The more we talk about  
16 it, the more I have trouble with it. It strikes me that  
17 it's just too qualitative and interpretation is too  
18 wide.

19 CHAIRMAN THOMADSEN: Thank you, Ms. Weil.

20 Mr. Bollock?

21 MR. BOLLOCK: And, thank you, to address  
22 just -- so we do recognize that and to go what is  
23 -- determine what is a mistake and what's not, that's  
24 why we rely on this Advisory Committee. So, yes, we  
25 recognize that. We understand your point. We also

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 understand -- we see it's --

2 MEMBER WEIL: A fine line.

3 MR. BOLLOCK: -- a fine line. So what is  
4 the right -- what is basically the stage that needs to  
5 be reported? And then correct and prevent. And what  
6 is not necessarily a mistake, but things that can help.  
7 And there are other -- we would also like to, as much  
8 as we can, help disseminate information that would make  
9 things safer in this practice. So we do want to do both,  
10 but there is a fine line between what's deemed a  
11 reportable event and what's not. So, but we do  
12 appreciate the input from the subcommittee on this and  
13 we will -- as with everything, we'll consider this and  
14 see what can be done.

15 CHAIRMAN THOMADSEN: Thank you. Dr.  
16 Zanzonico?

17 MEMBER ZANZONICO: I think it's important  
18 to recognize that a report of a medical event, as far  
19 as I know, is not peer-reviewed. So a statement from  
20 a practitioner does not necessarily equal a fact. In  
21 other words, if a practitioner were to report an event  
22 which actually was more related to suboptimal practice  
23 as opposed to a mistake. And that sort of gets into the  
24 public sphere. Other practitioners can adopt it  
25 without it having been vetted by the profession or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 without it having been peer-reviewed and actually  
2 propagate even worse mistakes potentially.

3 So, that's why I think it's important that  
4 reportable events in a regulatory context refers  
5 strictly to mistakes and that improvement in medical  
6 practice subject to the regular scientific  
7 peer-reviewed and so forth really is the scope of  
8 improving and publicizing medical practice, best  
9 practices and so forth. I think you can't lose sight  
10 of the importance of peer-review in these sorts of  
11 things. And unless the regulators want to take on  
12 that responsibility of peer-review of reportable events  
13 without -- and thereby hoping to avoid parsing the  
14 distinction between a mistake or it's a suboptimal  
15 practice, I think their responsibility should be  
16 restricted to mistakes, to an I-131 thyroid cancer  
17 patient being given the wrong administered activity  
18 because it wasn't properly assayed and so forth. I  
19 mean, to me that's the essence of what should be a  
20 reportable medical event, not suboptimal practice.  
21 That's the scope of the scientific literature and  
22 professional societies where these things are  
23 peer-reviewed and vetted properly.

24 CHAIRMAN THOMADSEN: One problem with that  
25 philosophy is that rare events are never going to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 peer-reviewed. If you have an anatomical or a  
2 physiological anomaly in a patient, which may also exist  
3 in some other patients, but few patients, that people  
4 should be aware is a possibility you are not going to  
5 have a study that gets peer-reviewed and published in  
6 the future.

7 Yes, Dr. Zanzonico?

8 MEMBER ZANZONICO: Well, isn't that the  
9 purpose of case reports for isolated incidents where  
10 -- as opposed to say a clinical trial sort of thing? So  
11 I think there is an opportunity for even individual very  
12 rare unusual events that you encounter in practice.

13 CHAIRMAN THOMADSEN: In much of the  
14 radiotherapy literature they no longer will publish  
15 case reports because they are not statistically  
16 significant.

17 Mr. Bollock?

18 MR. BOLLOCK: And just to address that on  
19 the regulatory side. When events are reported to us,  
20 our regional offices will do some follow up. And there  
21 are chances when we do allow those licensees,  
22 practitioners, to look into the reports. And if it  
23 turns out there was -- basically there is a chance for  
24 them to review, look at it more, and if it is not -- it  
25 turns out it shouldn't have been reported, they can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 retract it. And I mean, we do understand once you get  
2 it out there, it's out there, but we also understand once  
3 it's retracted, it means it's not -- it's no -- it wasn't  
4 an event.

5 And we do understand things that are  
6 mistakes and not, but we also -- there are some things  
7 that are just -- there's a regulation, there's certain  
8 compliance that has to be met. If it's not met, it's  
9 reported. So that's the nature of regulation. So  
10 unfortunately in some cases that's just -- that's what's  
11 in the regulations. If it's not met, you have to report  
12 in some cases.

13 CHAIRMAN THOMADSEN: Thank you, Mr.  
14 Bollock.

15 Dr. Palestro?

16 MEMBER PALESTRO: Two comments. In terms  
17 of publishing case reports, certainly in the imaging  
18 literature there still are a plethora of journals that  
19 will accept case report publications.

20 And regarding the imaging uncertainty and  
21 the example of the ultrasound that was interpreted one  
22 way and the MRI that was interpreted another way, let's  
23 assume for the moment that the ultrasound was performed  
24 correctly and was interpreted by a competent individual  
25 and for some reason the MRI provided different

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 information. Does that now mean based on this single  
2 case that everyone has to start doing MRI? And I think  
3 that's the potential implication of looking at  
4 something like this.

5 And I think there I agree with Pat Zanzonico  
6 that that's really the purpose of peer-reviewed  
7 literature, to accumulate -- and albeit it may be a small  
8 number of cases, but before people begin to jump to  
9 conclusions and say the mistake was made with imaging  
10 modality A; we need to go to B now, you need to sit back  
11 and take a careful look at it.

12 CHAIRMAN THOMADSEN: Thank you, Dr.  
13 Palestro.

14 Mr. Costello?

15 MEMBER COSTELLO: That does go to the  
16 -- again, back to the underlying thought of why we have  
17 medical event reporting at all. Okay? If it's  
18 strictly based on outcomes, just outcomes, regardless  
19 of whether or not a mistake was made, then if that's what  
20 you're looking for, then it should be reported because  
21 the outcome was unintended. You discovered in the MRI  
22 that something was there that you didn't see with  
23 ultrasound. If the purpose of it is to make sure that  
24 the team -- if they do everything right, they do  
25 everything right, that probably couldn't have been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 preventable, then they shouldn't be reported, then that  
2 should be the definition.

3 But it's whether or not we want to have a  
4 medical event reporting rule based strictly on outcomes  
5 that -- with a few exceptions that are in the rule. And  
6 if the outcomes are unintended and negative, that that  
7 needs to be reported. If that's what we want, then you  
8 have one kind of a rule. If it's to identify whether  
9 or not the medical team did everything that they could  
10 in their power in the normal practice of medicine, then  
11 that's another kind of rule.

12 And so one of the reasons I brought this up  
13 here is this is the perfect forum to define that. I  
14 believe that it is the sense of the Committee and that  
15 it is if the team did everything they could possibly do,  
16 then that's not the kind of event we want to have  
17 reported. But if perhaps, as a patient advocate, if the  
18 outcome is very negative for the patient, then it should  
19 be reported. These are very different points of view  
20 and I think it is well worth debating here.

21 CHAIRMAN THOMADSEN: Thank you, Mr.  
22 Costello.

23 MEMBER COSTELLO: The outcomes.

24 CHAIRMAN THOMADSEN: Dr. Suh?

25 MEMBER SUH: So, I think we've had a very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 nice discussion about the definitions of patient  
2 intervention and obviously the Subcommittee worked very  
3 hard on the nuances of what issue 2 should entail.

4 Just listening to everyone's discussion,  
5 right now I think we're kind of at a little bit of a  
6 standstill in terms of, at least in my mind, how  
7 proactive the Committee feels we should be in terms of  
8 reporting of patient intervention versus kind of a  
9 reactive approach. So what I mean by that is I think  
10 we're going to have to find middle ground in terms of  
11 what the definition of patient intervention should  
12 entail, because obviously you can learn from every  
13 event.

14 But I guess the question comes is that the  
15 purview of the NRC to report every possible event, every  
16 possible imaging anomaly that occurs for every event,  
17 which I think would be beyond the scope of what it is  
18 clear the NRC could do. Or is it more of a -- you're  
19 trying to be very focused in terms of what you're trying  
20 -- and I think that it's -- right now I think we're going  
21 kind of back and forth in terms of are we taking more  
22 of a proactive stance in terms of patient intervention  
23 should entail? Is it more of a reactive approach? And  
24 I would propose that we need to be somewhere in the  
25 middle in terms of how we do this, otherwise I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we'll go back and forth in terms of what patient  
2 intervention really entails.

3 But I think you can take either side.  
4 Right? You can take the patient advocacy side and say,  
5 well, every potential medical event is a learning  
6 possibility for everyone involved, and that should be  
7 some type of forum to learn from. But the other  
8 approach is to say, well, let's really focus on the  
9 standards of medical practice and making sure that the  
10 art of medical practice is being protected. So I think  
11 you can take different stances in terms of how the  
12 Subcommittee wants to proceed and how the Committee  
13 wants to proceed with this language.

14 CHAIRMAN THOMADSEN: Thank you.

15 Dr. Palestro?

16 MEMBER PALESTRO: Yes, I know we're going  
17 to be covering this later and I'll be presenting the  
18 subcommittee's review of the guidance for the  
19 yttrium-90 microspheres, but I would think -- or I think  
20 that we would want to have these two topics in parallel  
21 with one another and not in conflict. And I think that  
22 the way it's phrased now, including provided that  
23 standards of medical practice are met very close to the  
24 wording that's used in the suggested changes to the  
25 guidance. I think we need to be mindful of that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: Thank you for that  
2 observation.

3 If there's no other comments from the  
4 subcommittee, I would now ask if there's comments from  
5 the Full Committee on the report. Any recommendations  
6 we should make on the report. Dr. Alderson?

7 VICE CHAIR ALDERSON: Based on all the  
8 previous discussion and what I believe is the sense of  
9 the Committee, I would like to suggest a motion in the  
10 interest of clarity that we remove the phrase "and/or  
11 imaging uncertainty" from this advice, the reason being  
12 that it engendered all the uncertainty that we saw here  
13 today and it also moves well beyond the radionuclide PET  
14 area in which the NRC typically regulates. So, I would  
15 suggest that we remove it, and make that motion.

16 CHAIRMAN THOMADSEN: Okay. Do we have a  
17 second for that motion?

18 MEMBER COSTELLO: Second.

19 CHAIRMAN THOMADSEN: We have a second.  
20 Discussion on the motion?

21 (No audible response.)

22 CHAIRMAN THOMADSEN: We have no  
23 discussion. Call for a vote. All in favor of that  
24 motion, please say aye?

25 (Chorus of aye.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: Opposed, say no?

2 (No audible response.)

3 CHAIRMAN THOMADSEN: Any abstentions?

4 (No audible response.)

5 CHAIRMAN THOMADSEN: One abstention. Dr.  
6 Ennis. And would you like to explain that, or just --

7 MEMBER ENNIS: No.

8 CHAIRMAN THOMADSEN: Abstaining is fine,  
9 but if you wanted to make comments on that, that's fine,  
10 too.

11 (No audible response.)

12 CHAIRMAN THOMADSEN: Very fine. No  
13 comment. In that case, the motion passes and in the  
14 report if the next motion is that the Committee does  
15 something with the report, the phrasing on imaging  
16 uncertainty will be removed.

17 So, we have the report from the  
18 Subcommittee. We have some choices on what to do with  
19 that report. We can adopt the report as the report from  
20 this Committee. And then we also need to decide, as Mr.  
21 Costello very nicely pointed out, what recommendation  
22 to the staff to make from this report. So, I might  
23 first ask is there a motion on the floor to adopt the  
24 Subcommittee's report as a report from the Whole  
25 Committee?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER COSTELLO: I move we adopt it.

2 VICE CHAIR ALDERSON: Second.

3 CHAIRMAN THOMADSEN: We do, and it's  
4 seconded. Is there discussion on that motion? Dr.  
5 Zanzonico?

6 MEMBER ZANZONICO: My impression is that  
7 the report incorporates essentially recommendations to  
8 the Committee, so is there --

9 CHAIRMAN THOMADSEN: To the Committee?

10 MEMBER ZANZONICO: I mean, to the staff.  
11 So, are we considering some additional or different  
12 recommendations perhaps than what's already in the  
13 report?

14 CHAIRMAN THOMADSEN: Possibly so. And  
15 what is the recommendation in the Committee's report to  
16 the staff?

17 MEMBER ZANZONICO: Well, I think it's  
18 incorporated into what's on this slide.

19 CHAIRMAN THOMADSEN: So, I don't think it  
20 gives them the guidance that they're going to need. And  
21 if this report is adopted as the ACMUI's recommendation,  
22 I would ask the NRC staff to please come back to this  
23 Committee next meeting with recommendations for how  
24 this can be achieved. But I think we've made the  
25 recommendation to them, but not in a way that will assure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that it will actually affect users, or licensed users.

2 Other discussion?

3 MEMBER SUH: So, just for clarification --

4 CHAIRMAN THOMADSEN: Yes, Dr. Suh?

5 MEMBER SUH: -- so the verbiage would read

6 -- just it would say "unintentional outcomes due to

7 anatomic and physiologic anomaly," period? Is that the

8 --

9 VICE CHAIR ALDERSON: No, falls into the  
10 category of. We didn't remove any of that language.

11 We just removed "and/or" --

12 MEMBER SUH: Okay.

13 VICE CHAIR ALDERSON: -- "imaging  
14 uncertainty."

15 MEMBER SUH: Okay. So it's into the  
16 category? Okay.

17 VICE CHAIR ALDERSON: Yes.

18 CHAIRMAN THOMADSEN: If there's no other  
19 discussion, I will call this question. All in favor,  
20 say aye?

21 (Chorus of aye.)

22 CHAIRMAN THOMADSEN: All opposed, say no?

23 PARTICIPANT: No.

24 CHAIRMAN THOMADSEN: Abstentions?

25 (No audible response.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 CHAIRMAN THOMADSEN: We have adopted the  
2 Subcommittee's report as the ACMUI's. And so, I will  
3 please ask the NRC staff to come back to the Committee  
4 at the next meeting with recommendations on how this can  
5 be manifested into the NRC space most effectively.

6 And with that, I see that we are on break until  
7 10:15. Please be back at that time and we can resume.

8 (Whereupon, the above-entitled matter went  
9 off the record at 9:53 a.m. and resumed at 10:17 p.m.)

10 CHAIRMAN THOMADSEN: If we can come back to  
11 order, please? Before we go on to item No. 6, training  
12 and experience, I would like to call on Mr. Costello as  
13 a follow up to our last discussion. Mr. Costello,  
14 please?

15 MEMBER COSTELLO: Okay. I spoke earlier  
16 that it's important that we have a recommendation very  
17 specific of what the NRC can do with what the  
18 Subcommittee came up with. And to clarify what we want  
19 the NRC to I would move that we request the NRC through  
20 -- and it leads up to then some generic communication.  
21 It could be an Information Notice or a RIS -- indicate  
22 that the second definition we had up there can be used  
23 to clarify the existing definition in the regulation.  
24 We are not recommending a change to the regular  
25 definition of a "patient intervention." The

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 current definition in the rule gives a couple of  
2 examples and says "such as." Okay? I don't believe  
3 that that was meant to be an all-inclusive list of things  
4 that could be patient intervention. So, I think if the  
5 NRC were to -- I'm thinking Information Notice, but I'll  
6 leave it up to them -- could indicate that that could  
7 be interpreted to be not just such as the two that are  
8 listed there, but also could include what we had in the  
9 second example that came from the subcommittee. Is  
10 that clear?

11 The first one's really out of the  
12 regulation, but the second is examples of what patient  
13 intervention could be, which could have included in the  
14 original such as, but I don't think you could list every  
15 possible such as. It would go on for pages. That could  
16 be interpreted to include not just the two examples  
17 given in Part 35, but also to include the examples that  
18 we have in the second part of the recommendation.

19 CHAIRMAN THOMADSEN: And to make clear  
20 that those are not inclusive examples.

21 MEMBER COSTELLO: Yes, all inclusive.  
22 You can't come up with all possible examples.

23 CHAIRMAN THOMADSEN: Yes.

24 MEMBER COSTELLO: And in some ways I almost  
25 wish the regulation didn't have examples, because it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 might be interpreted as those are the only all possible  
2 examples, which I don't think that everything can be  
3 mentioned. But I move that we tell them that -- ask  
4 them, request that they have a generic communication;  
5 and I think information notice, but I'll leave that up  
6 to them, so that licensees in the medical community and  
7 state can say that the rule which defines patient  
8 intervention be interpreted to include our second  
9 definition out there as being one of the examples.

10 CHAIRMAN THOMADSEN: And as part of your  
11 motion can we have them fold that into the task we've  
12 asked them --

13 MEMBER COSTELLO: Yes, I mean, report to us  
14 back in March, I guess it is, right?

15 CHAIRMAN THOMADSEN: In the next meeting.  
16 Do we have a second for that motion?

17 VICE CHAIR ALDERSON: Second.

18 CHAIRMAN THOMADSEN: We have a second.  
19 Discussion?

20 (No audible response.)

21 CHAIRMAN THOMADSEN: Hearing none, all in  
22 favor, please say aye?

23 (Chorus of aye.)

24 CHAIRMAN THOMADSEN: Opposed, say no?

25 (No audible response.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: Abstentions?

2 (No audible response.)

3 CHAIRMAN THOMADSEN: And so it's passed  
4 and you now have an addition to your task for next time.  
5 Is that acceptable to the NRC?

6 MR. BOLLOCK: Yes, it is. And just to be  
7 clear, because this deals with a definition that's in  
8 the rules, we'll have to get our Office of General  
9 Counsel to first see if this -- if that, what you're  
10 requesting is possible, that we're not reinterpreting,  
11 just understanding it's a -- that this interpretation  
12 can be used in what the definition is as --

13 MEMBER COSTELLO: Exactly.

14 MR. BOLLOCK: -- it is.

15 MEMBER COSTELLO: The regulation gives a  
16 couple of examples. It gives two examples, I think. I  
17 don't think the rule was ever intended that that be an  
18 all-inclusive list, sort of just giving it other --

19 MR. BOLLOCK: Right, and we can't --

20 MEMBER COSTELLO: And we're not supposed  
21 to be supposed to be all-inclusive either, but there  
22 might be things we haven't thought of.

23 MR. BOLLOCK: Right. Right, but we can't  
24 -- I can't say -- nobody can definitely do that. We'll  
25 need our counsel to allow that. And so we will work --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: I think it would be  
2 assumed that the counsel would be involved in --

3 MR. BOLLOCK: Yes.

4 MEMBER COSTELLO: I think the Subcommittee  
5 all along knew that whatever we discussed would have to  
6 go through the Office of General Counsel. We knew that  
7 from the beginning.

8 CHAIRMAN THOMADSEN: Very fine. Now, Dr.  
9 Palestro, I see your name tag, your name tent is up there  
10 and your slides are up. It's time for the report of the  
11 Subcommittee on Training and Experience for Authorized  
12 Users for Alpha and Beta Emitters.

13 MEMBER PALESTRO: All right. So, this is  
14 the report of the Subcommittee on Training and  
15 Experience for Authorized Users of Alpha and Beta  
16 Emitters. Members of the Subcommittee include Drs.  
17 Dilsizian, Ennis, Langhorst, Zanzonico and Ms. Weil.

18 Our charge was to determine if the current  
19 requirement of 700 hours for training and experience for  
20 authorized users of alpha and beta emitters in 10 CFR  
21 35.396, which is Training for User of Unsealed Byproduct  
22 Material for which a written directive is required,  
23 places hardship on the patient community and to make  
24 recommendations for ACMUI action.

25 Just by way of a bit of background,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 radiolabeled antibody treatment of lymphoma with beta  
2 emitters was approved by the U.S. Food and Drug  
3 Administration more than 12 years ago. Initially there  
4 were two agents available: yttrium-90 ibritumomab  
5 tiuxetan (Zevalin) and iodine-131 tositumomab  
6 (Bexxar). The use of both agents peak a few years after  
7 their introduction. Despite favorable clinical  
8 results, the use of these agents had decreased steadily  
9 over time, and in fact Bexxar was withdrawn from the  
10 market in 2014 when fewer than 75 patients were treated  
11 with this agent.

12 So what are the factors that are affecting  
13 the use? Well, certainly at one time, no longer the  
14 case, but at one time was cost. In a 2007 survey by the  
15 Society of Nuclear Medicine, now the Society of Nuclear  
16 Medicine and Molecular Imaging, Zevalin cost hospitals  
17 somewhere between 22 and \$24,000 per treatment, while  
18 Medicare's planned reimbursement was only about  
19 \$21,850, and even less for Bexxar. That, however, has  
20 been resolved.

21 There are other factors. Remember that  
22 these agents were introduced more than a decade ago and  
23 there has been the development of other effective  
24 therapies that do not use radiation that were developed  
25 after Zevalin and Bexxar. So that's certainly one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 factor that potentially affects the use of these two  
2 agents.

3 Another factor that was raised in the  
4 telephone conference call I believe by Dr. Cultrera was  
5 a lack of familiarity with these agents; that is, that  
6 hematology oncology fellows not exposed to these agents  
7 during training. That, however, really is not a  
8 regulatory issue; that's an educational issue.

9 What about a shortage of authorized users?  
10 It's been suggested that a direct result of the  
11 requirement for 700 hours of training and experience to  
12 obtain authorized user status, which went into effect  
13 shortly after these agents were introduced, is the  
14 explanation for the decreasing use of these agents.  
15 And that is a complicated issue.

16 It's difficult to determine the impact of  
17 a lack of authorized users on these agents because even  
18 at large medical centers with an abundance of clinicians  
19 and authorized users who work closely together these  
20 radiopharmaceuticals are used and have been used  
21 infrequently. And this is just some information that  
22 I obtained from members of this Committee, the ACMUI.

23 You can see that Memorial Sloan Kettering  
24 Cancer Center, New York, an institution dedicated  
25 almost exclusively to care and management of patients

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with malignant tumors, that between 2009 and 2014 a  
2 total of 190 therapies, radiolabeled antibody therapies  
3 were performed, or approximately 35 per year.

4 University of Maryland in Baltimore, over  
5 a 12-year period, a total of 25 of these therapies were  
6 performed.

7 My own institution, North Shore Long Island  
8 Jewish Health System, over a 10-year period  
9 approximately 50 of these therapies were performed.  
10 And we have a catchment area among all of our various  
11 satellite hospitals of somewhere between 2 and 3 million  
12 people.

13 And then finally, Washington  
14 University/Barnes-Jewish Hospital in St. Louis, very  
15 similar numbers over that same 10 or 11-year period,  
16 roughly 5 patients per year.

17 So the explanation for the infrequent and  
18 steadily decreasing use of radiopharmaceuticals for the  
19 treatment of lymphoma appears to be due and is likely  
20 due to many factors. Based on the currently available  
21 information the Subcommittee really isn't able to  
22 determine whether or not this can be attributed to a  
23 shortage of authorized users, if in fact there is one,  
24 caused by the current training and educational  
25 requirements.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   And just as an aside, radium-223  
2                   dichloride, also known as Xofigo, was approved for  
3                   treatment of castrate-resistant prostate carcinoma  
4                   with symptomatic bone metastases and no known visceral  
5                   metastases about two years ago. Now, there are no  
6                   trending data yet available and the factors affecting  
7                   its use cannot even be addressed at this time.

8                   So the Committee therefore requests that we  
9                   continue pursuing this charge with recommendations to  
10                  be presented at the spring 2016 ACMUI meeting.

11                  CHAIRMAN THOMADSEN: Thank you, Dr.  
12                  Palestro.

13                  Comments from the Committee? Questions?

14                  MEMBER COSTELLO: From the Committee or  
15                  Subcommittee?

16                  CHAIRMAN THOMADSEN: We could start with  
17                  the Subcommittee. Anybody on the Subcommittee wish to  
18                  make comments about the report? Dr. Zanzonico?

19                  MEMBER ZANZONICO: So, I think Dr.  
20                  Palestro made a compelling case that clearly the lack  
21                  of use of these lymphoma-targeting agents is not  
22                  attributable to a shortage or non-availability of  
23                  authorized users despite looking at the institutions  
24                  that have an abundance, some may say an over-abundance  
25                  of authorized users, and yet it was used infrequently.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           A question I have in terms of defining the  
2       scope of the charge of the Subcommittee. It seems that  
3       an additional question is, independent of the impact of  
4       the training and experience requirements on these  
5       specific agents, are we also considering whether the  
6       700-hour requirement in and of itself is excessive,  
7       unnecessarily excessive regardless of whether it  
8       impacts the use or non-use of certain specific agents  
9       as in this case? So can we get some guidance on that?

10           CHAIRMAN THOMADSEN: Yes. Do you want to  
11       address that?

12           MEMBER PALESTRO: My understanding based  
13       on the charge that I was given was that it focused  
14       exclusively on whether or not it affected this specific  
15       instance as opposed to whether or not the 700 hours in  
16       general should be looked at. That I looked at as a  
17       different topic.

18           CHAIRMAN THOMADSEN: And in answer to your  
19       question, probably after this discussion goes where it  
20       goes, I may be adding to the charge of this Subcommittee.

21           Ms. Weil?

22           MEMBER WEIL: I'd suggest a clarification  
23       to Dr. Zanzonico's statement. The training and  
24       experience requirement is not solely responsible for  
25       the lack of use of these agents.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: Thank you. Anybody  
2 else on the Subcommittee?

3 (No audible response.)

4 CHAIRMAN THOMADSEN: No? Okay. Anybody  
5 on the Committee? Mr. Costello?

6 MEMBER COSTELLO: Yes, I feel strongly  
7 really that the charge should be focused on 700 hours.  
8 Whether or not this is holding up the use of it, I don't  
9 think we'll ever know as long as this 700 hours is there.  
10 And the NRC really, their only handle on this, their only  
11 involvement in this, I think, is the requirement for the  
12 training and experience requirement. Are people doing  
13 this? So, I mean, I would definitely recommend that the  
14 charge focus on are we at the right place for T&E for  
15 this modality? Maybe 700 hours is correct. Maybe 80  
16 hours is correct. Maybe something in between is  
17 correct. But I think we need to get that correct. And  
18 then I believe the time in the market will determine how  
19 often this is used. Okay? So that's my  
20 recommendation, that the charge be modified to focus on  
21 what should the T&E requirements be for this modality?

22 CHAIRMAN THOMADSEN: Thank you, Mr.  
23 Costello.

24 Dr. Ennis?

25 MEMBER ENNIS: If we're going to approach

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it more broadly, then I think we need to be thinking  
2 about these agents plus, in terms of classes of agents,  
3 rather than these specific ones. And if you think that  
4 lesser hours might be appropriate, you need to be  
5 careful about defining what class that is and then going  
6 forward so when new agents come out we're not kind of  
7 doing a case-by-case analysis of exactly how many hours.  
8 I believe that would be practical.

9 CHAIRMAN THOMADSEN: Thank you, Dr. Ennis.

10 Other comments from the Committee? Mr.  
11 Costello?

12 MEMBER COSTELLO: Yes, I think the only way  
13 to approach this, if we wanted to change the hours, is  
14 we could put this under 35.1000. You know, 35.300 says  
15 what it says. And I think it would be hard to  
16 -- particularly if we're talking about the targeted  
17 agent thing. I don't know why. But if we could find  
18 a way to put this in 35.1000 and pick an appropriate  
19 number of hours, whatever that may be, I think from a  
20 regulatory point of view that would fit better.

21 CHAIRMAN THOMADSEN: Thank you, Mr.  
22 Costello.

23 Ms. Weil?

24 MEMBER WEIL: When Bayer came to this  
25 Committee a couple years ago with radium-223

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       dichloride, as I recall, the Committee recommended to  
2       NRC that that particular agent be licensed -- was it  
3       under 1000?

4                   CHAIRMAN THOMADSEN:   No.

5                   MEMBER WEIL:   No?

6                   CHAIRMAN THOMADSEN:   It was under 300 just  
7       as a regular radiopharmaceutical.

8                   MEMBER WEIL:   And does that particular  
9       drug require 700 hours?

10                  CHAIRMAN THOMADSEN:   It does.

11                  MEMBER WEIL:   Okay.   Thank you.

12                  CHAIRMAN THOMADSEN:   Dr. Alderson?

13                  VICE CHAIR ALDERSON:   As we begin to pursue  
14       this line of reasoning, which I think is a good one to  
15       pursue, a very important one to pursue, thus far we've  
16       talked about just the issue of are the number of hours  
17       correct?   What I think we have to be talking about is  
18       the rigor of the training that's provided.   Is the rigor  
19       of the training sufficient to provide the safety that  
20       we need to support?   So that is at least as important.  
21       Then you back into the hours from that.   And so, I think  
22       that's a key component of our concern.

23                  CHAIRMAN THOMADSEN:   Thank you for that  
24       observation, Dr. Alderson.

25                  Other comments from the Committee?   Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Suh?

2 MEMBER SUH: Chris, do you have a sense of  
3 what percent of these drugs are being administered by  
4 nuclear medicine versus radiation oncology? Do you  
5 have a sense of that?

6 MEMBER PALESTRO: No, I don't have a  
7 breakdown as to that.

8 MEMBER SUH: And I know at our institution  
9 the nuclear medicine physicians are the ones injecting  
10 the Xofigo and the Zevalin, so I was interested in what  
11 the other centers are doing, like at your center.

12 MEMBER PALESTRO: At our own center the few  
13 Zevalin's that are administered, are administered by  
14 nuclear medicine. The radium dichloride, Xofigo, is a  
15 joint administration by radiation oncology and nuclear  
16 medicine.

17 CHAIRMAN THOMADSEN: Dr. Dilsizian?

18 MEMBER DILSIZIAN: At the University of  
19 Maryland it's all done through nuclear medicine, all  
20 three of your medicines.

21 MEMBER ZANZONICO: Likewise at Sloan  
22 Kettering. All of the radionuclide therapies are  
23 administered by nuclear medicine physicians.

24 CHAIRMAN THOMADSEN: Dr. Ennis?

25 MEMBER ENNIS: For whatever it's worth, at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Mount Sinai Xofigo is done by radiation oncology. The  
2 others are done by nuclear medicine.

3 CHAIRMAN THOMADSEN: And for what it's  
4 worth, at Wisconsin Xofigo is done the same, in  
5 radiotherapy. Zevalin is done in nuclear medicine.  
6 It can also be done in radiation oncology, but there are  
7 so few of them that get done. Mostly it's nuclear  
8 medicine. Dr. Zanzonico?

9 MEMBER ZANZONICO: But just to clarify,  
10 even when it's administered by radiation oncology,  
11 those are AU radiation oncologists.

12 CHAIRMAN THOMADSEN: I'm sorry. What's  
13 that?

14 MEMBER ZANZONICO: That they are AU  
15 radiation oncologists. In other words, it's not some  
16 ad hoc arrangement for the administration by radiation  
17 oncologists. They're authorized users.

18 CHAIRMAN THOMADSEN: Oh, absolutely.

19 MEMBER ZANZONICO: Yes, so I think that's  
20 an important point.

21 CHAIRMAN THOMADSEN: Yes, yes. Of  
22 course. Yes.

23 MEMBER ZANZONICO: Regardless of  
24 departmental who's administering it, I think it's an  
25 important point to make.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: That is correct.

2 Dr. Palestro?

3 MEMBER PALESTRO: Pat, you raise a good  
4 point. In going through some of the letters and so  
5 forth, just to clarify, there's a bit of confusion  
6 regarding training and authorized user status.  
7 Nuclear medicine residency, radiation oncology  
8 residency and nuclear radiology fellowship individuals  
9 completing any one of those training courses are all  
10 qualified as authorized users because they have met all  
11 of the requirements both for diagnostic and therapeutic  
12 radiopharmaceuticals.

13 CHAIRMAN THOMADSEN: Thank you for that  
14 clarification.

15 Other comments from the Committee? Oh,  
16 I'm sorry. Mr. Mattmuller?

17 MEMBER MATTMULLER: Yes, in your examples  
18 where you listed by institution the number of total  
19 therapies, do you have a sense of this is -- given your  
20 metropolitan areas and your patient population, whether  
21 this is a lot, a small amount? Because if you were to  
22 add Kettering Medical Center in Kettering, Ohio, for  
23 about the past 10 years we've done three Bexxars and two  
24 Zevalins. So we're very frustrated that our numbers  
25 are so low. And it's not because we don't have AUs that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 are ready to go.

2 MEMBER PALESTRO: It's the exact thing. I  
3 can speak personally for North Shore LIJ. It's a  
4 fraction of the patients who are -- and I can't give you  
5 an exact number, but a small fraction of patients who  
6 are eligible to receive this sort of therapy. And  
7 again, just like your institution, we have radiation  
8 oncology, nuclear medicine and a large group of  
9 hematologist-oncologists, and we work hand in hand. It  
10 isn't a question of being concerned over stealing  
11 patients, that sort of thing. In terms of performing  
12 these procedures, the referrals just aren't there. And  
13 never had been.

14 CHAIRMAN THOMADSEN: Thank you.

15 Ms. Weil?

16 MEMBER WEIL: Irrespective of -- well,  
17 there's two issues: This is a therapy that is  
18 under-utilized. I don't pretend to know the reasons  
19 why, but as you say, there are a large number of  
20 patients, or there's a substantial number of patients  
21 who are eligible for this therapy, but it seems not to  
22 be offered to them. There are many reasons why that  
23 barrier seems to exist to patient access. But that's  
24 in the large perhaps metropolitan areas or areas where  
25 there may be an authorized user. In the community I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think it would be safe to say that there are community  
2 medical settings where it's not even an option because  
3 there isn't an authorized user.

4 So I think we're looking at two really very  
5 different issues here in this Subcommittee. One is  
6 there a barrier to access? There seem to be several  
7 barriers to access. But the other is is that a  
8 reasonable -- is the 700 hours of training and  
9 experience a reasonable barrier, or is it not? And  
10 there's such disparate situations that I'm not sure why  
11 one Subcommittee really can address these two things.

12 CHAIRMAN THOMADSEN: I would hope they  
13 could. Dr. Palestro?

14 MEMBER PALESTRO: Yes, in terms of lack of  
15 use, you mention, I think importantly, the potential for  
16 a lack of authorized users. But then there are really  
17 two parts to that: One, is there a lack of authorized  
18 users because there's now a requirement for 700 hours  
19 of training, or is there, for whatever reason, some  
20 other reason, a lack of authorized users? There's no  
21 way to answer that question with the data that we have  
22 in front of us now, but I think one of the important  
23 things that we want to try to look at if we're going to  
24 focus on that question is how many authorized users were  
25 there before the change in regulations versus after the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 change in regulations? I don't know how easy that is  
2 to come by. And then we'd want to look at a breakdown.  
3 All right?

4 MEMBER WEIL: Yes. Of course.

5 MEMBER PALESTRO: The second question I  
6 have, if you look at the public comments that are  
7 included with today's handouts and you go to the  
8 Spectrum letter, on page 8 there is a bar graph. And  
9 you'll notice that in 2006 when the new 700-hour rules  
10 and regulations were implemented, there's a decline in  
11 the use of Zevalin. And there's another decline in  
12 2007. And if you calculate it out, each of those years  
13 there's a drop of nearly 16 percent of the number of  
14 administrations of Zevalin.

15 The fact that the new hours or the new rules  
16 were implemented in 2006 I think makes it very unlikely  
17 that that initial drop of almost a third over two years  
18 can be attributed to the new regulations. Things don't  
19 change that quickly. Everyone else who was -- all of  
20 the authorized users who already were AUs, their status  
21 didn't change if they didn't meet the 700 hours. So I  
22 think that raises, at least in my mind, how much of this  
23 we can attribute to a lack of AUs and how much of that  
24 can be attributed to a lack of AUs resulting from the  
25 new training requirements.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: Dr. Ennis?

2 MEMBER ENNIS: Also, I just want to kind of  
3 reflect a little bit on just the practice of medicine  
4 in rural and urban areas. People who live in rural  
5 areas, there's a lot of great things about that, but you  
6 obviously are further away from care. And lymphoma  
7 patients, as an example, they're getting many therapies  
8 and many imaging technologies that are requiring them  
9 to travel to authorized users who can do their PET/CT  
10 scans, for example, which is crucial in lymphoma.  
11 There are other CT scans if they're getting radiation.  
12 So there, as part of rural life, you have to travel a  
13 little bit.

14 But those nuclear medicine physicians and  
15 radiation oncologists could be authorized users, and  
16 it's hard to imagine why they are not choosing to do that  
17 and it's hard to imagine it's because of training  
18 because they are already trained. So, if the community  
19 is being served by nuclear medicine people for their  
20 PET/CT scans and radiation oncologists for their  
21 external beam treatments, it's hard for me to understand  
22 how those same authorized users are not available for  
23 a specific therapy unless there's some other reason that  
24 they don't want to do it that we don't seem to understand  
25 but is getting in the way, but it's not the training

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 because they are already trained and authorized users  
2 for all kinds of radioactive materials.

3 CHAIRMAN THOMADSEN: Thank you, Dr. Ennis.

4 Other comments?

5 (No audible response.)

6 CHAIRMAN THOMADSEN: I understood that  
7 there may be members of the general public who would like  
8 to make comments. Are there? Please, step to the  
9 microphone and give your name.

10 DR. CULTRERA: My name is Dr. Jennifer  
11 Cultrera. I'm with Florida Cancer Specialists and  
12 Research Institute and I really appreciate the  
13 Committee allowing me to speak to you again regarding  
14 this topic.

15 I just want to say a few things and address  
16 a couple of points that I was hearing you discuss. I  
17 am a physician in a rural part of Florida. I'm actually  
18 probably an hour to an hour-and-a-half north of Orlando  
19 in the Villages, Florida, and Leesburg, where I have two  
20 very, very different patient populations.

21 And I've had access to beta emitters, both  
22 at an academic center when I worked at Moffitt for three  
23 years and then when I moved to The Villages to my  
24 community practice, and I feel very strongly that beta  
25 emitters are very effective for this incurable disease,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 follicular lymphoma. And knowing that there is a  
2 treatment that can prolong a cancer patient's life and  
3 improve their quality of life at the time, and these  
4 patients are now not having access to them is very  
5 disheartening.

6 And I'd like to refer back to the map that  
7 Spectrum provided for you on -- I think it's page 11  
8 where it does have a listing of all the AUs per state.  
9 In Florida we do have a large number of AUs. We have  
10 23. And if you look at the breakdown amongst those 23,  
11 they're all surrounded around academic centers, and  
12 namely Moffitt Cancer Center where I was. And it's very  
13 difficult for my patients who oftentimes they can't even  
14 go anywhere that's not golf cart accessible because they  
15 can no longer drive. They can no longer get even 10  
16 miles away from their home to get to these academic  
17 centers.

18 Luckily, I do have nuclear medicine  
19 doctors. I have one unit that does a -- there actually  
20 two places in The Villages that have a PET/CT access and  
21 one area in the Leesburg area, but neither of my nuclear  
22 medicine doctors there want to be AUs. And that's been  
23 the problem that I've been encountering.

24 We are lucky to have a nuclear medicine  
25 doctor through Florida Cancer Specialists that can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 travel to different practices that have PET/CT  
2 capability and administer Xofigo and Zevalin, but it's  
3 very difficult to get him out there unfortunately  
4 because of how large the State is.

5 And I do want to make a point as to the  
6 education. Unfortunately, I completely understand  
7 that the education is not to be determined by the role  
8 of this Committee, but by limiting access out of sight  
9 is out of mind. So unfortunately, you don't have the  
10 mentors and the attendees teaching their younger  
11 fellows that this is actually a drug available. I have  
12 new doctors entering Florida Cancer Specialists, new  
13 medical oncologists and hematologists that don't even  
14 know what Zevalin is, or when I mention  
15 radiopharmaceuticals, they go what's that, which is  
16 just as disheartening to me.

17 I just want to close in that this is a very  
18 safe highly-effective class of agents and basically  
19 just don't take away one of the drugs that we have in  
20 our arsenal for personalized medicine. Thank you.

21 CHAIRMAN THOMADSEN: Thank you for your  
22 comments.

23 Others?

24 DR. YANG: Thank you. My name is Allen  
25 Yang. I'm with Spectrum Pharmaceuticals and I lead

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 clinical development there.

2 CHAIRMAN THOMADSEN: Please stay close to  
3 the microphone.

4 DR. YANG: Okay. Sure. So, one of the  
5 things I'd like to say is that not all authorized users  
6 have the ability to give Zevalin. They have to be  
7 proctored cases. So it's not the fact that everybody  
8 who graduates from a nuclear medicine residency is ready  
9 to give Zevalin. You may disagree, but let me finish  
10 my statement and then you can respond.

11 So, the one thing I'd like to say is that  
12 I'm an oncologist by training and treating follicular  
13 lymphoma, it's a very indolent disease.  
14 Unfortunately, it's not curable. So the more therapies  
15 there are patients, the better. So what happens with  
16 a patient with a low-grade follicular lymphoma is they  
17 receive one treatment, and when the relapse they receive  
18 another treatment and so on and so forth. So the more  
19 treatments that are available, the better it is for  
20 patients.

21 The one thing I want to say about Zevalin;  
22 and you went through the numbers, Dr. Palestro, the  
23 number of uses have declined for some reason. It's  
24 probably multifactorial, very complicated. What I  
25 will say is that Xofigo, which is used quite a bit,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 probably for prostate cancer, is marketed by Bayer  
2 Pharmaceuticals. Now, Bayer is a large pharmaceutical  
3 company. Spectrum is different. Remember, Zevalin  
4 was first owned by Biogen Idec and Bayer ex-U.S. And  
5 we acquired the rights after three companies. So the  
6 annual sales of Zevalin is very small.

7 So my concern is as the number of patients  
8 who use it and the number of people who use it, this  
9 therapy might not be available. You already mentioned  
10 that Bexxar, which was another radioimmunotherapy, was  
11 pulled from the market not because of safety issues, but  
12 clearly because of lack of use and commercial viability.  
13 Even with that, our main competition in terms of  
14 radioimmunotherapy has been pulled. We're still  
15 struggling.

16 So, we would like to make this therapy  
17 available for patients. We understand that decreasing  
18 the hours is a very complicated thing. Will it lead to  
19 increased use? We don't know. But my concern is that  
20 if we don't act and act quickly, that we may lose that  
21 window to try to turn this product around.

22 CHAIRMAN THOMADSEN: Thank you. Any  
23 others? Dr. Palestro?

24 MEMBER PALESTRO: Yes. I don't want to  
25 turn this forum into a debate on resident education, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I can tell you without a shadow of a doubt -- and if you  
2 check, because I double-checked to make sure -- the  
3 ACGME program requirements for nuclear medicine,  
4 nuclear radiology and radiation oncology -- the  
5 individuals completing those training programs and  
6 being eligible for sit for the boards in their  
7 respective specialties must have completed the  
8 appropriate training for both diagnostic and  
9 therapeutic radiopharmaceuticals including alpha and  
10 beta emitters. And I know that for nuclear medicine  
11 because I helped write those program requirements. I  
12 was Chair.

13 So lots of times there are these terms about  
14 who's nuclear medicine, who's this and so forth get  
15 tossed around, but I can tell you; and, Dr. Ennis, you  
16 correct me if I'm wrong for radiation oncology, Dr.  
17 Metter for nuclear radiology, all of those individuals  
18 are in fact qualified and meet the requirements to  
19 administer these radiopharmaceuticals. Whether or not  
20 they choose to, that's a different story.

21 DR. YANG: No, I concede your point, sir.  
22 You're an expert in nuclear medicine and the training.  
23 So, I will say that one of the complexities about access  
24 is that -- I think it was mentioned before that PET scan  
25 is part of nuclear medicine and that the physicians who

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 do PET scan could also do Zevalin as well. The one thing  
2 I would say is that PET scan is a little bit different  
3 and that Zevalin is a therapeutic and there is some  
4 toxicity associated with it in terms of  
5 myelosuppression, so there may be sort of a less of a  
6 tendency in the community setting in rural areas to  
7 manage both the administration and the myelosuppression  
8 associated with that.

9 So again, clearly I think it's an issue of  
10 access and whether there is access available to patients  
11 for this product in the entire the United States.  
12 Clearly in some central sort of metropolitan areas  
13 access may be better, but in rural areas it may be more  
14 difficult. The physician who administers a PET scan  
15 may be less like to administer a therapeutic knowing  
16 that there may be some myelosuppression if that patient  
17 has to go away, etcetera.

18 CHAIRMAN THOMADSEN: Thank you. Go  
19 ahead, yes.

20 DR. CULTRERA: Thank you so much.

21 CHAIRMAN THOMADSEN: State your name  
22 again, please, just so the recorder gets it.

23 DR. CULTRERA: Yes, this is Jennifer  
24 Cultrera. And I totally agree with you and I thank you  
25 for your comments and input, but I'm a medical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 oncologist and hematologist and we're not -- ACGME does  
2 not require us to see radiopharmaceuticals. And  
3 unfortunately, the patients will come to me first and  
4 we'll be the ones that usually refer out because  
5 lymphoma is a systemic disease. So we'll refer them to  
6 the nuclear medicine doctors and to the radiation  
7 oncologists and the nuclear radiologists. And from my  
8 standpoint and those of my colleagues I know at Florida  
9 Cancer is that if I had them to refer to, they'd be there.  
10 I would be referring. As it is now that I've had some  
11 availability with my traveling one, but it's just very  
12 limited. Thank you.

13 CHAIRMAN THOMADSEN: Thank you. Dr.  
14 Palestro?

15 MEMBER PALESTRO: Just one last comment.  
16 As an aside, when the program requirements for specialty  
17 or sub-specialty are being developed, they are posted  
18 routinely for public comment. And I don't know because  
19 I didn't go through the public comments for hematology  
20 oncology, but I would certainly encourage you and all  
21 of your associates to advocate strongly that training  
22 for therapeutic radiopharmaceuticals and so forth be  
23 included in the training program.

24 CHAIRMAN THOMADSEN: And just as an  
25 observation, I don't think that decreasing the hours

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would increase the exposure of those residents, because  
2 they're being trained at the large facilities where you  
3 do have people who are trained in this.

4 Yes, please?

5 MS. LEE-ROWLEY: So, I'm Angelique  
6 Lee-Rowley. I'm from Spectrum Pharmaceuticals.

7 CHAIRMAN THOMADSEN: Yes, speak right into  
8 the microphone, please.

9 MS. LEE-ROWLEY: I'm counsel and patient  
10 advocacy for Spectrum, and we work with the American  
11 Society of Hematology that does help make those training  
12 requirements. And what they've basically told us is if  
13 it's not something that is ever going to be an option  
14 for a hematologist or oncologist to administer, then  
15 they're not likely to put in their training  
16 requirements. So if the requirements were lowered to  
17 an amount that could be incorporated into their program,  
18 they would be open to them discussing. So, just for  
19 what's worth.

20 CHAIRMAN THOMADSEN: A question to you.  
21 Do they cover anything like the importance of PET scans?

22 MS. LEE-ROWLEY: Yes.

23 CHAIRMAN THOMADSEN: Even though they  
24 won't be doing them?

25 MS. LEE-ROWLEY: Yes, that's diagnostic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       though.   Yes.

2                   CHAIRMAN THOMADSEN:   Thank you.

3                   MS.   LEE-ROWLEY:       That's   diagnostic  
4       though.   Yes.

5                   CHAIRMAN THOMADSEN:   Dr. Ennis?

6                   MEMBER ENNIS:   Okay.   So, I think this was  
7       very helpful for me because I was trying to connect the  
8       dots and there was just -- I could not really quite  
9       understand what I think I do now understand more  
10      clearly.  It seems, at least from what I understand,  
11      there are many, many nuclear medicine and radiation  
12      oncologists across the country, and even rural patients  
13      have reasonably good access to that care.  The problem  
14      that we have here is that some of those who are  
15      authorized users choose not to offer this therapy.

16                   In my view that's not a regulatory issue.  
17      It's an issue of politics and finances, sadly, that come  
18      into play.  And why the users choose not to offer it,  
19      perhaps there are just too few cases to make it worth  
20      their while.  It was suggested just a moment ago that  
21      it could be management of complications that is  
22      something authorized users are uncomfortable with.  
23      That would be disappointing to me.  But even if that's  
24      true, if a hematologist-oncologist really feels the  
25      patient needs it, then they could consider a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 collaborative arrangement with the nuclear medicine  
2 physician who has the expertise in the radiologic  
3 aspects, and then the hematologist could manage the  
4 hematologic aspects and together they could provide  
5 care without changing the regulatory requirements.  
6 So, anyway, that's kind of how I see or understand the  
7 situation. Now, it seems to me it's more of a political  
8 financial issue than a regulatory one.

9 CHAIRMAN THOMADSEN: Thank you for that  
10 comment.

11 I can also ask is there anybody on the  
12 telephone lines that would like to make a comment?

13 (No audible response.)

14 CHAIRMAN THOMADSEN: Hearing none, we'll  
15 go back to our microphone here.

16 DR. CULTRERA: This is Jennifer Cultrera.  
17 Just to kind of answer your point, one of the issues of  
18 course is that in the rural community we don't have the  
19 just basis where everybody's in the same place, in the  
20 same building. I'm kind of lucky. We are getting to  
21 that point in the not-too-distant future, but in several  
22 areas across Florida we don't have that. So that's  
23 basically politically and just physically unable to do  
24 so to have those collaborations. And I have asked. I  
25 have asked my nuclear med docs in the hospitals and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they're fairly adamant. They just don't see the need  
2 or want to have to deal with patients, unfortunately,  
3 after -- with therapeutics versus diagnostics.

4 And I just also wanted to bring up that I  
5 have a colleague at Florida Cancer Specialists, Dr.  
6 Mace, who's a hematologist and medical oncologist who  
7 was grandfathered in on an 80-hour training. And he's  
8 actually administered both Zevalin and Xofigo for 10  
9 years now with no safety incidences. And he's been able  
10 to provide that access. He's in the Tampa area where  
11 there are several AUs. And that's what we would like  
12 to do. And I'm not expecting all medical oncologists  
13 to go for this training. It's still 70, 80 hours or  
14 whatever the panel decides, but I think there will be  
15 a significant few that will be able to just fill in the  
16 holes within the country.

17 CHAIRMAN THOMADSEN: One question. Your  
18 colleague that performs these, it's a he?

19 DR. CULTRERA: Yes.

20 CHAIRMAN THOMADSEN: Is he at a facility  
21 with a medical physicist that assists with that?

22 DR. CULTRERA: I believe so, because he's  
23 in our Tampa Bay Cancer Center. So they have full  
24 access, both to radiation oncology and nuclear  
25 medicine. They have an in-house PET/CT scanner.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 CHAIRMAN THOMADSEN: Thank you very much.

2 Dr. Zanzonico?

3 MEMBER ZANZONICO: Can I ask Dr. Cultrera  
4 a question?

5 DR. CULTRERA: Yes.

6 MEMBER ZANZONICO: So given the current  
7 lack of availability of these radionuclide therapies in  
8 your area in your practice, what do you do as an  
9 alternative for these patients?

10 DR. CULTRERA: Generally if they're unable  
11 to travel, I will find an alternative. It depends on  
12 the patient's population. I will either have to find  
13 them another type of care. So if it's a follicular  
14 lymphoma that's front line, I will do rituximab  
15 maintenance. I'm hesitant to do that for some patients  
16 who haven't achieved a partial response. I don't want  
17 to get into all the medicine just because there is  
18 stronger data for the radiopharmaceutical Zevalin to be  
19 used in those patients. It gets them into a CR and helps  
20 them have larger progression for survival.

21 For my patients who are in the relapse  
22 setting, I usually have to put them on medications long  
23 term. So it would either be an IV medication where they  
24 have to come in every few weeks or I have to put them  
25 on a pill, which has significant toxicity despite being

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a targeted therapy. And this is going to be life-long  
2 for them, both costly and diminishing in time and effort  
3 and quality of life. With Zevalin it's fairly easy for  
4 me because it's a one and done. Basically they come in,  
5 they do their rituximab and the next week later their  
6 treatment dose. After that it's really just follow-up  
7 visits. And once they get out of that dangerous period  
8 for their blood counts and that we have to follow them  
9 closely, they're really coming to see me every two or  
10 three months if they go up.

11 CHAIRMAN THOMADSEN: A follow-up  
12 question. Do you see breast cancer patients?

13 DR. CULTRERA: Yes.

14 CHAIRMAN THOMADSEN: What do you do for  
15 their radiotherapy?

16 DR. CULTRERA: I send them over to a  
17 radiation oncologist. We do have a radiation  
18 oncologist in -- we have two in The Villages, and none  
19 in Leesburg. So I usually have to send them to  
20 surrounding areas.

21 CHAIRMAN THOMADSEN: Thank you.

22 DR. CULTRERA: Yes.

23 CHAIRMAN THOMADSEN: More questions or  
24 comments? Mr. Mattmuller?

25 MEMBER MATTMULLER: Yes, this will be a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 question to our Committee members who do have experience  
2 with this. As the AU administering either one of these,  
3 who does the follow-up with the patient as far as who's  
4 monitoring their blood work to see -- is it you or is  
5 it the medical oncologist who referred?

6 CHAIRMAN THOMADSEN: Dr. Palestro?

7 MEMBER PALESTRO: North Shore LIJ Health  
8 System is -- the patients are followed by the medical  
9 oncologists --

10 MEMBER MATTMULLER: Okay.

11 MEMBER PALESTRO: -- nuclear medicine  
12 physicians and radiation oncologists for Xofigo, a  
13 joint project. We manage the patients from the  
14 administration of the radioactive material, but they  
15 then are taken care of, followed up by their  
16 hematologist-oncologist.

17 CHAIRMAN THOMADSEN: Thank you. Dr.  
18 Dilsizian?

19 MEMBER DILSIZIAN: Just to echo, which  
20 makes it even simpler. As you pointed out, it's a  
21 single dose administration from the AUs perspective.  
22 Everything else is followed by the oncologist. So yet  
23 another point why you could easily be gone to an AU  
24 community hospital where the administration is given  
25 one, but the follow-up is with the oncologist.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: Thank you. Dr.  
2 Zanzonico?

3 MEMBER ZANZONICO: This is more of a  
4 comment or observation, but in this whole issue I'm  
5 still having a hard time reconciling the historical lack  
6 of use of these radionuclide therapies at academic  
7 centers like my own, like Sloan Kettering where nuclear  
8 medicine some might say is very aggressive in  
9 radionuclide therapies and has an excellent  
10 collaborative arrangement and so forth with hem-onc,  
11 with the clinical departments in radionuclide-based  
12 therapies, yet even under those ideal circumstances it  
13 simply hasn't been used.

14 And my inference is that the reason is there  
15 are better therapies. There are better alternatives  
16 clinically. And the clinicians who care for these  
17 patients have made that judgment and therefore have an  
18 equal access to both types of therapies, radionuclide  
19 versus conventional, that the new and existing  
20 therapies are in fact better. So the implication is  
21 that it's not lack of AUs, it's not lack of willing and  
22 even enthusiastic AUs to offer this therapy, but rather  
23 it's driven by clinical issues. So that's just an  
24 observation.

25 I mean, I appreciate the convenience and so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       forth, but again it seems like the lack of use is driven  
2       again more by other clinical issues than lack of AUs.  
3       And at Sloan Kettering, which is on the Upper East Side  
4       of Manhattan, we have the opposite issue in that it's  
5       probably almost as inaccessible --

6                       (Laughter.)

7               MEMBER ZANZONICO:  -- to many of our users  
8       as patients in rural areas.  Many of our patients come  
9       from New Jersey, Long Island, Westchester and it's a  
10      real hike for them to come in.  It's a real effort for  
11      them to come in to Manhattan.  Yet despite the  
12      convenience of the single administration of  
13      radionuclide therapies the clinicians caring for them  
14      have opted for conventional therapies.

15              CHAIRMAN THOMADSEN:  Thank you.  About  
16      how long does it take to get from Nassau to Memorial  
17      Sloan Kettering?

18              MEMBER ZANZONICO:  Well, it could take up  
19      to four hours depending upon the day and who is in town,  
20      the Pope or the President.

21                       (Laughter.)

22              CHAIRMAN THOMADSEN:  Thank you very much.  
23      Dr. Palestro?

24              MEMBER PALESTRO:  Yes, if you go back; and  
25      I don't have a graph in front of me, it seems back about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 20, 22 years ago with the introduction of strontium-89  
2 (Metastron), samarium-153 (Quadramet) for pain relief,  
3 so-called palliative therapy of painful bone  
4 metastases, there was an immediate upsurge in the use  
5 over about a year or two. Then as time went on you would  
6 probably find a graph very similar to what we're seeing  
7 here for Zevalin, decreasing use of those  
8 radiopharmaceuticals. And I think there the  
9 explanation -- and that was before the 700-hour training  
10 regulation went into effect. I think there the  
11 explanation was it's simply better, more effective  
12 methods of pain relief evolved over time. And here  
13 again you've got what, 12, 13 years of evolution of new  
14 agents for treatment of lymphomas. But I think  
15 somewhere in there that factors in.

16 CHAIRMAN THOMADSEN: Other comments?

17 DR. YANG: There's a comment in the back.

18 CHAIRMAN THOMADSEN: Oh, I'm sorry.

19 DR. YANG: A couple of comments.

20 CHAIRMAN THOMADSEN: Your name again, sir.

21 DR. YANG: Oh, Allen Yang from Spectrum  
22 Pharmaceuticals. So addressing the use in sort of  
23 major medical centers, one of the things that I'd say  
24 -- and we don't have statistics around this, but at major  
25 medical centers you're encouraged to patients on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 protocols and put them on experimental therapies. And  
2 there have been, as you stated, a number of different  
3 agents being approved or examined in low-grade  
4 lymphoma: PI3-kinase inhibitors, Bruton's tyrosine  
5 kinase inhibitors, et cetera.

6 The one thing that I would say is if you look  
7 at the NCCN guidelines; this is what a lot of medical  
8 oncologists, most medical oncologists use as  
9 guidelines, for follicular lymphoma, the single agent  
10 therapies that are there, Zevalin has the highest  
11 overall response rate compared to Rituxan. The other  
12 agents that are looked at of course are bendamustine  
13 lenalidomide, which is not approved, and Rituxan as a  
14 single agent. And then those recommendations, the one  
15 that is only compared into a randomized study was  
16 Zevalin versus Rituxan early on, and that was one of the  
17 registration studies for Zevalin. Zevalin was  
18 superior.

19 So, I think it really is a matter of access  
20 and I think it has to do with the physicians who treat  
21 the patients who initially get the patients, who have  
22 seen them for their follicular lymphoma, gave them their  
23 induction chemotherapy and how they're managing them.  
24 I'm not sure that oncologists are going to jump up and  
25 say I would like an additional 70 hours of training, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they definitely don't want to say that I want to do  
2 another 700 hours of training. And again, it may be okay  
3 in academic centers, major metropolitan centers with a  
4 nuclear medicine or radiation oncologist and the  
5 oncologists or hematologists have a practice in the same  
6 building, they work within the same medical school,  
7 etcetera, but in the United States where there's a lot  
8 of practicing hematologists-oncologists in the  
9 community setting, in rural settings, clearly there's  
10 an access issue.

11 One thing that I can bring up from our  
12 experience at Spectrum Pharmaceuticals, if you look at  
13 a country like Japan where hematology and oncology are  
14 separate specialties, the hematologists are sort of  
15 separate. They're usually hospital-based with the  
16 nuclear medicine or radiation therapy physicians. And  
17 there in Japan, Zevalin use is actually fairly high.  
18 And we think that it has to do with access, the fact that  
19 the hematologist, nuclear medicine physician are  
20 working together.

21 And so, clearly will dropping the training  
22 hours from 700 to 70 hours solve all the problems with  
23 Zevalin? Probably not. There are other issues with  
24 Zevalin in terms of logistics, but we think that we'll  
25 leave it to you the experts about the training hours that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 are required, but we think that dropping those training  
2 hours could be helpful especially in rural areas where  
3 the access is limited.

4 CHAIRMAN THOMADSEN: Thank you.

5 DR. YANG: Sure.

6 CHAIRMAN THOMADSEN: Mr. Costello?

7 MEMBER COSTELLO: Yes, I don't think that  
8 whether or not training dropped from 700 to 80 hours  
9 would make more authorized users is really the right  
10 question. I think the question is what's the  
11 appropriate training experience for people providing  
12 therapy? Okay. Because I don't think it's our  
13 business to have more people using Zevalin or fewer  
14 people using Zevalin. It's to make sure that the people  
15 who are providing this therapy have the appropriate  
16 training and experience.

17 And I think that would be a perfect charge  
18 for our Subcommittee because I don't think it's  
19 something that you can just calculate on the back of a  
20 piece of paper and say, well, it should be the root mean  
21 square between 100 and 700. It's something that  
22 requires thought from the type of people doing the  
23 Subcommittee. So, I don't think we should be doing it  
24 to create more authorized users. I think we should be  
25 doing it to get the training and experience requirements

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 right.

2 CHAIRMAN THOMADSEN: Thank you, Mr.  
3 Costello.

4 Dr. Alderson?

5 VICE CHAIR ALDERSON: I'll yield to Mr.  
6 Mattmuller and then I'd like to speak.

7 MEMBER MATTMULLER: Okay. I just want to  
8 second what Frank said, because that's exactly what I  
9 was going to say, is what are the appropriate hours for  
10 this therapy?

11 CHAIRMAN THOMADSEN: Thank you.

12 VICE CHAIR ALDERSON: Okay. So, yes, I  
13 was driving at the same thing with my earlier comment,  
14 and I appreciate that comment. And so, in thinking  
15 about this, I'm not going to give you all the details,  
16 but I started thinking about this. Well, what would  
17 that require? Could a Subcommittee of this group do  
18 that? And what would that require and how would you  
19 document it and so on?

20 And then I ask yet another question, which  
21 is the one I'd like the NRC to entertain, is, well, is  
22 this the NRC's space? Is this where the NRC should be  
23 in recommending educational requirements, or should  
24 this be graduate medical education organizations and  
25 specialty societies and other people who document these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 things? I don't know.

2 But I share your concern. If there were a  
3 really rigorous training program that was less in time  
4 -- I have no particular thing about 700 hours, but I  
5 don't think it's been demonstrated that the shorter  
6 courses really achieve that goal. And then again, the  
7 question is, so, whose business is that? Should we even  
8 set up a Subcommittee if that's not the NRC's business  
9 to do? I don't know.

10 CHAIRMAN THOMADSEN: Mr. Bollock?

11 MR. BOLLOCK: Well, yes, the -- it is  
12 -- because it is in our regulation. So, but we would  
13 have to reach out to medical community and you. So we  
14 wouldn't come up with that to make that determination.  
15 We would rely upon, as I said, the medical community and  
16 the ACMUI to advise us to make those changes. But it  
17 is in our regulation, so it is in our purview.

18 VICE CHAIR ALDERSON: So let me say then,  
19 so the NRC would welcome advice on that issue if it were  
20 to come from this Committee?

21 MR. BOLLOCK: Yes.

22 VICE CHAIR ALDERSON: Okay. Thank you.

23 CHAIRMAN THOMADSEN: Yes, just yesterday  
24 as I was talking with the Commissioners, they welcomed  
25 input into that. That's definitely something that we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 are the people that should making such a recommendation.

2 VICE CHAIR ALDERSON: Good. I support  
3 that recommendation of a Subcommittee.

4 CHAIRMAN THOMADSEN: Yes. Michael, do  
5 you have your hand up?

6 MEMBER O'HARA: No, I was going to ask a  
7 question. Who came up with the 700 hours?

8 (Laughter.)

9 MR. BOLLOCK: I don't know if I can defer  
10 to many of my staff that recalls where that came from.

11 CHAIRMAN THOMADSEN: Yes, Mr. Ouhib?

12 MR. OUHIB: Yes, Zoubir Ouhib, medical  
13 physicist. I think I'd like echo several people here.  
14 Actually your comments were right on the money Dr.  
15 Palestro, Frank, and so on.

16 I think these are two different issues, and  
17 the first one is you focus on the training. And I like  
18 your comment, because that was on my mind, who came up  
19 with the 700? But I also like your original comment  
20 that says, well, let's start first with what is needed?  
21 Let's work into is that 73.5 hours, or is that 89 hours,  
22 and so on and so forth.

23 Now, to go back to what Dr. Palestro said,  
24 we experience the same thing. Bexxar came and Bexxar  
25 went out, or took a nap. Zevalin came and Zevalin took

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the back seat. Here is Xofigo here, and it's taken off.  
2 But I foresee the same scenario what happened. We saw  
3 a decline using these. We use more Xofigo now, but I  
4 don't really foresee this being a hot commodity probably  
5 in 5 to 10 years from now. Who knows? We'll probably  
6 see the same track.

7 So, I think we need to separate perhaps  
8 these two and then resolve the first one, which is the  
9 training issue and identify what exactly is needed and  
10 can it be done efficiently, in a reasonable time  
11 perhaps, but people have to meet those requirements  
12 basically.

13 And then, as far as this training here, I'm  
14 not really sure if there is a lack of users per se. I  
15 mean, I've heard some clinicians saying that there's  
16 toxicity they're seeing using certain  
17 radiopharmaceuticals and they simply don't feel like  
18 using it anymore. And so, those are all my comments.

19 CHAIRMAN THOMADSEN: Thank you for that.

20 Other comments? Mr. Costello, you've had  
21 your hand up.

22 MEMBER COSTELLO: I did. We don't know  
23 why it's not being used more. We don't. There are  
24 many, many nuclear medicine physicians out there. I  
25 don't know if they're all even seeing these patients.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I mean, I don't think they naturally see these patients  
2 in the course of their treatment. They're mostly  
3 seeing their oncology physician, medical oncology  
4 physicians. And it's not our problem to figure out why  
5 they're not seeing these patients.

6 If medical oncologists want to be the ones  
7 actually providing this treatment, if they're the ones  
8 normally dealing with these patients, that's the people  
9 with Hodgkin's lymphoma, the non-Hodgkin's lymphoma  
10 see, then what's the proper amount of training for  
11 someone who has that specialty? I don't know. It may  
12 well be more than 80 hours that you expect with  
13 cardiologists, because this is a therapy as opposed to  
14 a diagnostic treatment, but I don't think that's our -- I  
15 think we should do what the NRC does, is decide what's  
16 the training so this could be done safely.

17 And this Committee I think is the best place  
18 for the NRC to get that recommendation, although they  
19 also get recommendations from the public. I'm sure the  
20 NRC, if they get information from the various societies  
21 and such, that you'll review those recommendations and  
22 take that into account as well. But I think that's the  
23 only way we're going to come up with the proper T&E  
24 requirements is from this Committee and recommendations  
25 from the various societies.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: Thank you. Mr.  
2 Bollock?

3 MR. BOLLOCK: Yes, this was the rulemaking  
4 that came out in 2005 I think that established this, so  
5 the whole process, going the ACMUI, going to public  
6 comment, that's -- I don't know where, but it was  
7 determined back in that space, the 700 hours, is where  
8 it came from. So we didn't just -- it didn't just drop  
9 from the sky.

10 So, but for something like this to change  
11 it, we want what's best. What's the appropriate  
12 training? So it would have to go through that process.  
13 And I think the Subcommittee and what you would  
14 recommend to us would be a great start for that, but it  
15 would have to go through that public comment, go out to  
16 the entire medical community, have everybody have their  
17 say. And unfortunately, that is through -- this is in  
18 the rules, so this would be rulemaking. And because  
19 this issue isn't just straightforward, change it from  
20 700 to 80, it's going to take a determination of what  
21 is correct. And so, it is a little bit more complex than  
22 just changing it. We realize this and we would rely  
23 upon you and the medical community to inform that.

24 CHAIRMAN THOMADSEN: Yes.

25 MEMBER COSTELLO: I was hoping, if we were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to change it, we could avoid rulemaking, okay, because  
2 of obvious things. Is there a way to do something under  
3 the auspices of 35.1000 where it's then in guidance  
4 space and you don't have to go through the process of  
5 rulemaking?

6 CHAIRMAN THOMADSEN: Actually, right now I  
7 think I would like to defer the question --

8 MEMBER COSTELLO: Okay.

9 CHAIRMAN THOMADSEN: -- of how any  
10 changes --

11 MEMBER COSTELLO: Sure.

12 CHAIRMAN THOMADSEN: -- should be  
13 implemented until we know if there --

14 MEMBER COSTELLO: Whether?

15 CHAIRMAN THOMADSEN: -- whether we would  
16 want to recommend --

17 MEMBER COSTELLO: Fair enough.

18 CHAIRMAN THOMADSEN: -- if there are  
19 changes.

20 And I think we -- oh.

21 DR. YANG: Sorry.

22 CHAIRMAN THOMADSEN: Yes?

23 DR. YANG: Sorry. I'll just make a  
24 comment about other therapies. So, one of the things  
25 that was mentioned is that there other therapies

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 available and oncologists are choosing other therapies.  
2 The other agent is Dilsilibs Idilic which was recently  
3 approved for a very similar indication or the same  
4 indication. So the one thing that I would say is that  
5 it may be not toxicity. You mentioned that Zevalin has  
6 toxicity and the toxicity profile is driving decisions.  
7 I would say that it is actually access.

8 So as an oncologist who's treating someone  
9 with follicular lymphoma, Zydelig is just a  
10 prescription. Now, remember that patient has to stay  
11 on that medication daily and it's associated with  
12 toxicity. A third of the patients will develop  
13 diarrhea. Whereas Zevalin does have mild suppression,  
14 but it's transient and it's one sort of therapy and then  
15 done. And so if I was looking at the toxicity profile,  
16 I don't know if that would be driving my decision or the  
17 fact that if I'm in a rural area it's hard for me to find  
18 an authorized user that will allow the patient to get  
19 access to that therapy.

20 So, in terms of training hours, again I'm  
21 not an expert on this, but the 700 hours versus the 80  
22 hours, the one thing that I would say about Zevalin is  
23 that is a beta emitter. It comes as a single  
24 ready-to-deliver dose where it can be injected by the  
25 physician. In comparison, if you look at other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 radionuclides that are treated by other physicians,  
2 iodine-131 I believe only requires 80 hours of training.  
3 And that's a gamma emitter delivered by an  
4 endocrinologist for thyroid disease.

5 And so, the question here is I just don't  
6 see Zevalin being 10 times riskier than iodine-131.

7 CHAIRMAN THOMADSEN: Thank you. I think  
8 -- oh, last comment maybe.

9 MEMBER MATTMULLER: I've got a comment and  
10 a question. And am I allowed to --

11 CHAIRMAN THOMADSEN: Oh, in that case,  
12 maybe it won't be the last --

13 (Laughter.)

14 MEMBER MATTMULLER: Am I allowed to ask a  
15 member of the public a question? I have a question for  
16 Dr. Cultrera.

17 CHAIRMAN THOMADSEN: You may.

18 MEMBER MATTMULLER: Your colleague Dr.  
19 Mace, does he work with a nuclear medicine technologist  
20 in handling the radioactive material before it's  
21 administered to a patient, or is he working just by  
22 himself?

23 DR. CULTRERA: I believe that he works by  
24 himself because it comes in a prepackaged syringe. I  
25 don't have all of the data on that. I know we have a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 nuclear physicist in that group where he is in that  
2 practice because we have the PET/CT scanner there and  
3 they aid in administration of the nuclear diagnostics  
4 with that. But I believe because it comes in that  
5 prepackaged syringe he's able to administer it based on  
6 how his training was.

7 MEMBER MATTMULLER: Okay. Well, I know  
8 there are some on this Committee whose bias is towards  
9 medical physicists be present for his therapy, but it's  
10 really in my opinion the technologist who would perform  
11 a much bigger role in the safe use of these  
12 radiopharmaceuticals.

13 CHAIRMAN THOMADSEN: Thank you for your  
14 potentially biased comment.

15 (Laughter.)

16 CHAIRMAN THOMADSEN: With that, I think  
17 we'll draw the discussion to a close, and I would like  
18 to thank the Subcommittee for their report. And I think  
19 one thing that the report has brought out and this  
20 discussion has amplified is that we really cannot say  
21 and will not be able to say why the use of this  
22 radionuclide has decreased, whether it is lack of  
23 authorized users or other factors.

24 I did look at the question of how many  
25 places could be doing this in Florida, and rather than

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the 15 on the map, I do count 20 nuclear medicine  
2 departments that employ medical physicists. I'm sorry  
3 I did not check how many employed technologists. And  
4 45 radiotherapy facilities that employ medical  
5 physicists giving 65 potential places throughout the  
6 State that could deliver this therapy if they chose.  
7 And it's not available they are choosing not to for  
8 reasons we do not understand.

9 But I will task the Subcommittee further  
10 with the question of establishing recommendations for  
11 beta and alpha emitters as far as training and  
12 experience that would be necessary to provide the  
13 therapy safely and effectively, understanding that  
14 training is one thing and experience is another, and  
15 both are separate and necessary for the safe and  
16 effective use of anything. And just the lack of  
17 opportunity does not necessarily translate into the  
18 need to reduce the necessary training and experience.  
19 We certainly would not, if they did not have  
20 neurosurgeons locally, recommend that general  
21 practitioners with a few weeks of training should start  
22 doing brain surgery.

23 If the Subcommittee will accept the  
24 expansion or redirection of their charge, we'll ask them  
25 to study this and report back at the next meeting. Is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that compatible? Yes, Dr. Palestro?

2 MEMBER PALESTRO: For clarification --

3 CHAIRMAN THOMADSEN: Please.

4 MEMBER PALESTRO: -- the charge is being  
5 changed, and I correct?

6 CHAIRMAN THOMADSEN: That is correct.

7 MEMBER PALESTRO: Okay.

8 CHAIRMAN THOMADSEN: It seems that you  
9 have done as much as you possibly can to come up with  
10 the answer to the question that you were sent to look  
11 at last time, but you raised -- or at least the issue  
12 has raised the question of what is appropriate training  
13 and experience for the use of these materials,  
14 recognizing that you're not -- because it's going into  
15 regulation possibly or something similar to regulation.  
16 We're looking at a class of materials of which those that  
17 are in use now are just examples and we do not know what's  
18 coming up. They may be different and they may be the  
19 same, but we would want to make sure that anything that  
20 would fall in that category would be appropriately  
21 addressed by the training and experience recommended.  
22 Does that make sense?

23 Yes, Ms. Weil?

24 MEMBER WEIL: One more clarification.

25 CHAIRMAN THOMADSEN: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER WEIL: So this is in rulemaking  
2 space now?

3 CHAIRMAN THOMADSEN: No, not necessarily?

4 MEMBER WEIL: Not yet? So we're going to  
5 make a recommendation that might impact rulemaking  
6 space? Is that where we're going with this?

7 CHAIRMAN THOMADSEN: Perhaps.

8 MEMBER WEIL: Okay. So the rulemaking  
9 period ends soon?

10 CHAIRMAN THOMADSEN: Never.

11 MEMBER WEIL: Well, there's --

12 (Simultaneous speaking.)

13 CHAIRMAN THOMADSEN: It goes on longer  
14 than we --

15 (Simultaneous speaking.)

16 MR. BOLLOCK: Okay. There's a current  
17 rule that's actually back with the ACMUI Subcommittee  
18 for the Proposed Final Rule, and there's a public  
19 meeting I believe what, January 6th. It was just  
20 publicly announced. So January 6th will be the next  
21 ACMUI public teleconference to address that. And then  
22 after that we will have a final proposed rule that will  
23 go to the Commission and at which time, early 2016, the  
24 rule will be going out.

25 MEMBER WEIL: So this issue does not fit

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 into that bunch?

2 MR. BOLLOCK: Right, because it's -- we  
3 would have to go -- if we're talking about what is in  
4 the rule right now with the training and experience, the  
5 700 hours versus 80 hours -- and it is more complex.  
6 Like I said, it's not a simple we can just say, yes, this  
7 is right, this is wrong. We would need advice from your  
8 Committee to even get to any kind of change. Yes, it  
9 would be a complex change. And if we put that into the  
10 rule, it would then delay --

11 MEMBER WEIL: The whole thing?

12 MR. BOLLOCK: -- because it has to be  
13 vetted through you all, the entire public, medical  
14 community, everyone and go back through public comment,  
15 go back through resolution because of the complexity of  
16 it. And it would delay the final rule, the proposed  
17 rule we have now that you all -- that the Subcommittee  
18 was -- or that was just sent at least a year-and-a-half,  
19 which would then delay any relief that the current  
20 proposed rule gives to the medical community.

21 CHAIRMAN THOMADSEN: How long has the  
22 current proposed rule been in the making?

23 MR. BOLLOCK: 2011, I believe. Is that  
24 right? Yes, 2011.

25 CHAIRMAN THOMADSEN: That would be the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 starting -- when this one is finished is when you're  
2 talking about starting the process again?

3 MEMBER WEIL: So, it's several years out  
4 that one could envision any potential changes?

5 MR. BOLLOCK: I mean, if this -- yes, it's  
6 dependent upon the complexity of the issue, how many  
7 different sides there are, the different views on what  
8 the proposed changes are. That all goes into the length  
9 of --

10 CHAIRMAN THOMADSEN: And my guess is this  
11 is a complex issue.

12 Mr. Costello?

13 MEMBER COSTELLO: Yes, just a comment on  
14 the rulemaking aspect of it. Rulemaking is normally  
15 done in a batch process. Particularly a complex rule  
16 like Part 35 that a bunch of things are changed at once.  
17 I believe the impetus for the current rulemaking goes  
18 back to a 2005 recommendation from the Commission to  
19 switch from a dose-based rule for prostate implants to  
20 -- and now it will probably become final in 2016, which  
21 is 11 years. So just to put that in context.

22 The other thing I would note, when you look  
23 at on the ACMUI recommendations and actions, that there  
24 are some that are delayed, I mean, some that are open  
25 but delayed, that will be captured in the next

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 rulemaking. And some of those recommendations go back  
2 to 2008. Okay? So, I really hope -- and really, this  
3 is a how rather than a whether, I understand that, Dr.  
4 Thomadsen, but I really hope we can manage to handle this  
5 in a way that doesn't require rulemaking.

6 CHAIRMAN THOMADSEN: Thank you. Yes?

7 MS. LEE-ROWLEY: If I could just echo  
8 what --

9 CHAIRMAN THOMADSEN: Your name, please.

10 MS. LEE-ROWLEY: Angelique Lee-Rowley  
11 from Spectrum Pharmaceutical.

12 CHAIRMAN THOMADSEN: The transcriptionist  
13 has to identify --

14 MS. LEE-ROWLEY: If I could echo what Mr.  
15 Costello just said. Spectrum had been waiting  
16 patiently for an open rulemaking period to try to  
17 address this issue, so my issue is two-fold. One,  
18 Zevalin in particular will not make it to another  
19 rulemaking period if it continues on the trajectory it's  
20 on. And secondly, there are other companies and  
21 institutions, academic institutions currently  
22 developing new radiotherapeutics that are watching very  
23 closely what transpires here and as to whether they  
24 -- and how robustly they continue that research into  
25 additional alpha and beta emitting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 radiopharmaceuticals. And it would be a shame to see  
2 those never come to fruition as options for patients  
3 because of the training and experience.

4 CHAIRMAN THOMADSEN: Thank you for your  
5 comment. Mr. Bollock?

6 MR. BOLLOCK: And as far as new  
7 radiopharmaceuticals in development that come out, if  
8 they fit into our current regulations, as I believe  
9 Zevalin, then it goes to what's in the regulations. If  
10 it does not fit, it may fall into 35.1000 space, which  
11 Mr. Costello has touched on a few times today, and which  
12 because it's new, it's outside of what's already in the  
13 regulations, we could develop guidance and everything  
14 at an accelerated rate. So it doesn't  
15 -- but unfortunately my understanding right now is that  
16 with Zevalin it does fall into our regulation as it is,  
17 so that's why it falls under the 700 hours.

18 CHAIRMAN THOMADSEN: Thank you. And  
19 thank you, Dr. Palestro. I think -- yes?

20 MEMBER PALESTRO: I'm going to ask for one  
21 more point of clarification.

22 CHAIRMAN THOMADSEN: Yes.

23 MEMBER PALESTRO: In terms of our  
24 charge --

25 CHAIRMAN THOMADSEN: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER PALESTRO: -- does this encompass  
2 only therapeutic radiopharmaceuticals, number one?  
3 And number two, if so, is our charge limited to  
4 intravenous administration of these therapeutic  
5 agents, or does it include, for example, oral  
6 administration of I-131? Are we being asked to revisit  
7 that?

8 CHAIRMAN THOMADSEN: I think at the -- you  
9 don't have enough to do?

10 (Laughter.)

11 MEMBER PALESTRO: I just want to know my  
12 assignment.

13 (Laughter.)

14 CHAIRMAN THOMADSEN: I think at the moment  
15 it would be enough to look at the use of the alpha and  
16 beta emitting radionuclides and their appropriate  
17 training. I don't know that it would be limited to  
18 intravenous and that that would not be the case with the  
19 regulation. I think I would leave iodine to follow if  
20 you find that there should be any change here. Then  
21 that would be for another round of discussions to take  
22 that up.

23 MEMBER PALESTRO: Thank you.

24 CHAIRMAN THOMADSEN: That's fine?

25 MEMBER PALESTRO: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: Yes. Okay. Right  
2 now we're supposed to be breaking for lunch. We're  
3 running behind. It's been a very useful discussion and  
4 a very important one. I think we've had to say  
5 everything that has been said. But let's go on and try  
6 to finish up if we can relatively quickly with the  
7 Radioactive Seed Localization Subcommittee report.

8 MEMBER ENNIS: Good morning, everyone. I  
9 hope you're not crashing from sugar and caffeine  
10 depravation and we can have a discussion about  
11 radioactive seed localization. I want to first thank  
12 my fabulous Committee members: Drs. Alderson, Zanzonico  
13 and Mr. Costello. We've really I think had a great time  
14 working together on this issue and a lot of good  
15 discussion has come out.

16 In terms of background, most are aware, but  
17 some may not, so we will briefly review. A procedure  
18 of placing radioactive sources into tissue to guide  
19 procedures such as biopsies has been developed in the  
20 early 2000s. Started off being used for breast  
21 cancers. NRC had its first guidance issued in 2006 and  
22 requests from users stimulated a review. The ACMUI  
23 formed a Subcommittee which presented in a June 16th  
24 meeting its findings. After further discussion among  
25 the larger Committee and the users, the Subcommittee

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       went back to make revisions. And I'm here to kind of  
2       prevent -- not prevent --

3                       (Laughter.)

4                       MEMBER ENNIS: -- excuse me, to present the  
5       whole presentation again with a focus on the changes  
6       that we have made since June.

7                       Nicely RSL has increased. Interestingly,  
8       its uses are reportedly expanding to other sites beyond  
9       breasts, at least to the axilla, which is the same type  
10      of interests that are involved in that, but there's  
11      reasons to think in some case reports of it being used  
12      elsewhere in the body. And certainly we can envision  
13      that happening. It's usually used with radioactive  
14      seeds that are the same type that are used for  
15      brachytherapy procedures, although with slightly lower  
16      activities. And the dose to the surrounding tissues is  
17      very low, particularly if they're removed by the  
18      procedure, the biopsy or the surgery shortly after  
19      placement.

20                      One of the main issues that was brought and  
21      that has been discussed at length, and probably will be  
22      again, is the authorized user. What are the  
23      requirements for an authorized user? The complexity  
24      involves the fact that physicians who are expert at  
25      placing needles into breast tissues, as an example, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 could apply to other tissues, to put wires and clips for  
2 all kinds of procedures and could potentially be used  
3 to put radioactive materials into these tissues to guide  
4 the procedures are generally radiologists, some of  
5 whom, but not all, have the training to be an authorized  
6 user. And that presents a conundrum for those who do  
7 not because they really only have the training for half  
8 of what they kind of need to do, at least by the current  
9 regulations.

10 Acquiring that training for someone who is  
11 in practice as a radiologist would be almost impossible.  
12 Very, very difficult. And hence, a question has been  
13 raised whether the authorized user rules could be  
14 modified. On the other hand, there is an understanding  
15 on the Subcommittee that there's a reason for those  
16 needs in training and the interaction between  
17 radioactivity and tissues in the body, particularly  
18 radioactive materials that have a high dose close to  
19 them, particularly if things don't go smoothly and  
20 things are not removed in a timely manner or don't end  
21 up in the right place and how one deals with that in a  
22 safe way, requires a high level of expertise and  
23 understanding.

24 There are some other specific things that  
25 are slightly more minor than that conceptual one that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the Subcommittee has modified, and they include the  
2 following: That the training requirements be modified  
3 such that someone who would be an authorized user no  
4 longer would need to be supervised for cases by a 35.490  
5 user; i.e., the radiation oncologist, but could also be  
6 a -- by a 290 user who is already him or herself an  
7 authorized user for this procedure. That seemed quite  
8 logical and appropriate.

9 Some again more minor changes about work  
10 experience requirements. So for example, the  
11 authorized user is only putting in the sources, so his  
12 or her training about removing the sources is not  
13 necessary, although it had been stipulated as such in  
14 the first guidance. Similarly, the surgeon doesn't  
15 have to worry about the placement of the sources since  
16 it's being done by the radiologist and therefore  
17 training around that is unnecessary. So that should be  
18 removed in our opinion.

19 The second big topic is the written  
20 directive and the need or not for such. There was a  
21 suggestion that perhaps it was unnecessary. The  
22 Subcommittee does not agree with that. The  
23 Subcommittee feels fairly strongly that a written  
24 directive is required. It should be tailored to the  
25 specific procedure and the requirements modeled after

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 others would be, as in one and two, that before the  
2 procedure there be a written directive that says where  
3 in the body -- the site; that is, where in the body that  
4 it will be implanted: left breast, right breast, kidney,  
5 etcetera, what isotope is going to be used and the  
6 activity that isotope. And then afterwards really what  
7 isotope was used, where it was placed, how many sources,  
8 the total activity implanted and the planned time until  
9 the source is removed.

10 These would then inform a medical event.  
11 Medical event requirements here are fairly standard for  
12 these types of things. So if you put in the wrong  
13 radioactive material, you put it inside the wrong  
14 person, you -- wrong part of the body. And again, it's  
15 20 percent more activity than you intended or 20 percent  
16 longer than you activated, or a leaking sealed source.  
17 And any intervention which leads to serious unintended  
18 permanent functional damage would also need to be  
19 considered a medical event.

20 Regarding safety, there are some  
21 recommendations that we've come back with,  
22 modifications based on the June meeting that we thought  
23 were reasonable. One is that we no longer recommend a  
24 requirement that the radioactive source's measurement  
25 be done by the user, but would allow the manufacturer's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 reported activity to be used as the activity for the  
2 user. That's number one.

3 The second issue was somewhat discussed at  
4 length, I believe, last meeting and that had to do with  
5 whether radioactive survey is required at the removal  
6 or whether an X-ray, which would see the seed, would be  
7 adequate. And the Subcommittee feels that a radiation  
8 survey is necessary to verify seed removal. Other  
9 clips could potentially confound or confuse an X-ray and  
10 the risks are too high to take that chance in our  
11 opinion, and we do feel that a radiation survey is  
12 required. However, we do not feel the need to be so  
13 precise in definition of what type of radiation survey  
14 meter is used and how its calibrated, which had been in  
15 the prior requirements, and we would recommend that that  
16 be removed and just state simply that you have to use  
17 a portable properly calibrated survey instrument  
18 capable of detecting the type of radioactivity that the  
19 source emits.

20 In terms of safety, we feel that issues  
21 regarding ruptured sources that are in the guidance  
22 ought to remain, although it's a rare and has already  
23 been reported event and therefore warrants maintaining  
24 them.

25 We do recommend some wording regarding

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 breastfeeding specifically that be included in the new  
2 guidance and say that first that a patient be advised  
3 not to breastfeed from the breast in which the source  
4 is implanted until it has been surgically removed. And  
5 then number two, if it's not going to be removed for some  
6 reason or it ruptures, then patients ought not  
7 breastfeed from that breast for 10 half-lives. And we  
8 also recommended written policies be developed for  
9 these scenarios as have been discussed previously.

10 Kind of more minor are wordsmithing issues  
11 just to make the guidance consistent with the realities  
12 of time. Any words about therapy or brachytherapy  
13 ought to be removed since it is not that. Dose be  
14 removed because we're not trying to deliver dose. It's  
15 an activity and the medical events are determined by the  
16 activity, whether you did what your written directive  
17 said.

18 And a final thing that is also of importance  
19 though is that we clarify that seeds being returned to  
20 the supplier be allowable. And also that is a change  
21 and seems a wise one.

22 Again a relatively minor thing, but the  
23 prior guidance said that the staff had to be trained  
24 about how to take care of patients including types of  
25 patients who are not going to be discharged from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 hospital, but that would never really happen with this  
2 procedure, so that kind of training is not necessary and  
3 ought to be removed from the guidance. We suggest  
4 language that is not specific only to breast, can use  
5 breast as an example, but make it clear. And in our  
6 thinking about this our Subcommittee was trying to be  
7 clear, anticipating wider use in other places in the  
8 body.

9 And lastly, and again a reminder, since  
10 it's now approved by FDA for use, as that was not the  
11 case in 2006, there should be changes to the guidance  
12 in the Change of Physical Conditions of Use section.

13 I believe that concludes my presentation,  
14 but I would be very happy to discuss any aspects.

15 CHAIRMAN THOMADSEN: Thank you very much,  
16 Dr. Ennis.

17 Questions or comments? Ms. Weil?

18 MEMBER WEIL: On slide No. 8, "a licensee  
19 shall report any event except for an event that results  
20 from patient interventions" --

21 MEMBER ENNIS: Yes.

22 MEMBER WEIL: -- this is interesting to me  
23 because it goes back to the other Subcommittee's  
24 definition of patient intervention. On the recent NMED  
25 database there were several instances cited of patients

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 who failed to return for removal of implanted seeds.  
2 And there were corrective actions alluded to, which I  
3 assume; it's an assumption, implied that there was  
4 insufficient education to the patient about the need for  
5 returning for the removal of the implanted seed.

6 And it strikes me that here we have an  
7 example of patient intervention, patient didn't come  
8 back, but it does need to be reported because it falls  
9 into the art of medical practice, whereas I think this  
10 is important. I think it's important to note that the  
11 patients were not appropriately motivated to return in  
12 a timely way to have the seed removed. And if there's  
13 anything that could be done on the physician side to  
14 appropriately motivate them to come back, I think it  
15 should be reported as a medical event. It's not patient  
16 intervention.

17 MEMBER ENNIS: It's an interesting point.  
18 It gets to the root of our prior conversation. If one  
19 is looking at a medical event from the perspective of  
20 the authorized user did something inappropriate and --

21 MEMBER WEIL: Or failed to do something  
22 appropriate.

23 MEMBER ENNIS: Fair enough. Then people  
24 would not want to view that as a medical event. If it  
25 leads to corrective action, I see there's a potential

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 gain to be said by that.

2 CHAIRMAN THOMADSEN: Dr. Dilsizian?

3 MEMBER DILSIZIAN: Yes, I agree, Laura.  
4 That's a great comment. And I guess I see this two ways:  
5 One is education to the patient; one is compliance. And  
6 I think education is a must. It should be part of your  
7 directives. And the non-compliance received also in  
8 medical practice recommends that the prescriptions.  
9 They may not take it. They may not follow up with  
10 medical therapy. You ask them not to eat salty meals.  
11 They may come back with heart failure. So that's a  
12 problem.

13 So given, however, the implications of  
14 this, I would be for having a follow-up with a patient  
15 of access by phone call or something to document that  
16 the patient was followed up over the next 48 hours or  
17 so if the patient did not return. I think that would  
18 be an important part of the directives, just like you  
19 do bioassays and make sure to follow up with I-131  
20 therapy. I would be in favor of that because -- to kind  
21 of complete the circle.

22 CHAIRMAN THOMADSEN: Thank you. Other  
23 comments? Yes, Mr. Mattmuller?

24 MEMBER MATTMULLER: I have questions and  
25 comments for you. And one may be my institution would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be a test case because we've not yet to do these, but  
2 we're looking at them.

3 So people are asking who assays the seed and  
4 who retrieves the seed? And are the current seed  
5 manufacturers very generous, or they have an easy seed  
6 return program so disposal is a little bit easier rather  
7 than holding it for decay?

8 MEMBER ENNIS: So in terms of specifics of  
9 how the programs work, you may want to talk to users who  
10 have the programs. Mr. Sheetz who has been here before  
11 might be a great resource for you. My institution does  
12 not do this. My understanding is that some of the  
13 manufacturers do do the assays for you and do have  
14 -- allow -- welcome returns.

15 CHAIRMAN THOMADSEN: All the seed  
16 manufacturers assay the seed before they send it.

17 MEMBER MATTMULLER: Okay. So most sites  
18 then just rely on label calibration? And so there's not  
19 --

20 CHAIRMAN THOMADSEN: That is what this  
21 report is recommending --

22 MEMBER MATTMULLER: Okay. Yes.

23 CHAIRMAN THOMADSEN: -- since the goal is  
24 not to give a dose -- the precision and the accuracy of  
25 the calibration of the seed is not of paramount

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 importance.

2 MEMBER MATTMULLER: Okay. I agree.

3 CHAIRMAN THOMADSEN: Dr. Zanzonico?

4 MEMBER ZANZONICO: Could I point out that  
5 the way the seeds are packaged really is not compatible  
6 with a reliable independent assay. They're provided in  
7 a sterile catheter sort of thing, and so it's really not  
8 the geometry that's compatible and reliable anyway. So  
9 you really want to rely on the manufacturer's assay in  
10 any case.

11 CHAIRMAN THOMADSEN: And that is a problem  
12 that also occurs in prostate brachytherapy with seeds  
13 that are in sterile needles.

14 Mr. Mattmuller?

15 MEMBER MATTMULLER: I'm glad you made that  
16 statement in regards to the actual calibration isn't  
17 that important, because that was my concern with the one  
18 medical event criteria, that the activity must be within  
19 20 percent. That seems rather arbitrary because as I  
20 understand it you could have a planned procedure where  
21 it's the properly calibrated seed for up to seven days,  
22 and to me that wouldn't make much of a difference if you  
23 had a seed that was over 20 percent but only in for one  
24 day. I mean, the procedure itself would still be  
25 completed properly.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ENNIS:    Yes, I mean, this is a  
2                   tricky area.    The 20 percent is something we've  
3                   inherited essentially that's out there as a definition  
4                   for medical events in similar settings.   And we were not  
5                   able to come up with a better definition that would still  
6                   create a space of what would be reasonable to do and what  
7                   is not safe.

8                   CHAIRMAN THOMADSEN:   Any other comments?  
9                   Yes?

10                  MEMBER MATTMULLER:   Again, are we limited  
11                  by what's -- I mean, do we have to use that 20 percent  
12                  --

13                  CHAIRMAN THOMADSEN:   No.

14                  MEMBER MATTMULLER:   -- for all?

15                  CHAIRMAN THOMADSEN:   If you have something  
16                  else to propose now -- I mean, you would not want it to  
17                  be 100 times what you propose, I mean, what you've  
18                  prescribed.

19                  MEMBER MATTMULLER:   Well, I mean, because  
20                  there's another in the medical events as far as 20  
21                  percent longer than planned.   And it seems like one of  
22                  the great advantages of this procedure is that once it's  
23                  implanted it can be there for a day, it can in there for  
24                  seven days.   So if the original plan was for a day but  
25                  for whatever reason it goes to two days, which would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 more than 20 percent than the original plan, 24 hours,  
2 again that seems rather arbitrary to say, oops, that's  
3 now a medical event. And it almost seems like it limits  
4 one of the advantages of this very useful procedure.

5 CHAIRMAN THOMADSEN: Dr. Alderson?

6 VICE CHAIR ALDERSON: Yes, I was a member  
7 of the Subcommittee and I'm sort of remembering some of  
8 the debates we had. I don't think this Committee or any  
9 committee can regulate the kind of things you just  
10 talked about, Steve. I mean, the local group has to  
11 make its own decision, make its plans appropriately. I  
12 think the reason that we're more rigorous here than we  
13 might have been in our earlier discussions is because  
14 in this case a radioactive source which is being used  
15 just for localization is being put into a patient and  
16 it's going to stay there. And if you don't follow up  
17 on these things this way, what if that patient, a woman,  
18 later develops another breast cancer and you didn't ever  
19 worry. She just -- she's, well, I never came back.  
20 They didn't tell me it was a problem.

21 And so, now the liability -- there's a  
22 health issue and a liability issue. So we're being more  
23 rigorous here. So I think the Committee thought we had  
24 to be more rigorous and I think that's why this is that  
25 way and why it's somewhat perhaps you might feel

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 inconsistent with our earlier discussions. It's a  
2 special case.

3 CHAIRMAN THOMADSEN: Mr. Costello?

4 MEMBER COSTELLO: I think we're trying to  
5 get away from dose, when you say we're taking dose out  
6 of here. And so, putting the activity and the time is  
7 somewhat the surrogate for those. Right? And I'm okay  
8 with that. I would imagine that when they have the  
9 written directive they can take that into account, maybe  
10 when they put it down. And maybe with the time they  
11 could be a little generous in how long it could be. But  
12 that's how we got there. It's a surrogate for dose.

13 CHAIRMAN THOMADSEN: Yes, my guess is most  
14 practitioners will be very generous on the time that  
15 they --

16 MEMBER COSTELLO: I assume that they will,  
17 yes.

18 CHAIRMAN THOMADSEN: Other comments?

19 (No audible response.)

20 CHAIRMAN THOMADSEN: Do we have a comment  
21 from the -- yes?

22 MR. SHEETZ: Yes. Hi, Mike Sheetz,  
23 radiation safety officer at University of Pittsburgh.  
24 We have a very active RSO program. We do about 100 -- or  
25 a 1,000 cases per year. I want to thank the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Subcommittee for reviewing the RSL guidance document.  
2 They have a lot of good recommendations. However, I  
3 have three comments on some issues that I think warrant  
4 some further consideration.

5 One is with respect to you've outlined the  
6 pathway for an authorized user. That's good. You've  
7 identified what training should and should not be  
8 included for the surgeon removing the lesion and for the  
9 pathologist or pathology assistant extracting the seed  
10 from the specimen, but you haven't addressed training  
11 and experience requirements for the radiologist who  
12 doesn't meet the AU requirements as you've identified,  
13 but should be able to implant seeds under the  
14 supervision of an authorized user as permitted in 35.27  
15 and as is done in lots of other diagnostic procedures  
16 in nuclear medicine.

17 The other one is in the written directive  
18 as we discussed here, the requirement of the seed being  
19 left in 20 percent longer. That becomes problematic in  
20 that if the surgery is scheduled that day, say five hours  
21 later. If the surgery goes six hours later, it becomes  
22 a medical event. So there has to be -- and then 5 hours  
23 for a 24-hour survey. So there has to be some other  
24 criteria there.

25 I know you wanted to eliminate dose, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 maybe you want to go back to dose to the tissue and a  
2 conversion provides -- you get those tables and you take  
3 one centimeter for the seed for the activity and should  
4 that dose exceed say 50 rads or a current tissue dose  
5 threshold for a medical event, then it would be  
6 appropriate to report as a medical event. But just  
7 leaving it 20 percent longer than the plan will be  
8 problematic because surgeries are changed all the time.

9 The other is there was a question on the 20  
10 percent of the activity prescribed. Typically, we  
11 prescribe a dose range. So you may want to add outside  
12 of the dose range. And our dose range is 50 microcuries  
13 to 250 microcuries.

14 CHAIRMAN THOMADSEN: When you say "dose,"  
15 you mean activity?

16 MR. SHEETZ: Activity. I'm sorry. Thank  
17 you very much.

18 (Laughter.)

19 MR. SHEETZ: We prescribe an activity of 50  
20 microcuries to 250. And most institutions will do  
21 that. The seeds are supplied, you know prepacked,  
22 sterilized in a needle. They come with a shelf life of  
23 90 days due to their sterility from the company and from  
24 FDA approval. And someone will basically keep that for  
25 the full 90 days so not to endure that cost. And so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they'll have a range of activities that's appropriate.  
2 And anywhere between the 300 and 50 is appropriate for  
3 doing this study.

4 CHAIRMAN THOMADSEN: Why would you not  
5 just use the activity on that day when you implant it?

6 MR. SHEETZ: We do state that in the  
7 record, but as far as a prescribed activity we have a  
8 protocol in the prescription just like we would do for  
9 lung scans. Lots of our nuclear medicine studies we  
10 prescribe a dose range, not a dose activity due to the  
11 short half-life of the nuclear medicine.

12 MEMBER ENNIS: But again, if you have the  
13 isotope and you survey it the day you're doing the  
14 procedure, then your written directive would reflect  
15 the activity of that day. I don't know why that would  
16 be a problem.

17 MR. SHEETZ: Yes, that's okay. I mean, I  
18 guess that's workable.

19 CHAIRMAN THOMADSEN: Lung scans don't need  
20 a prescription. They're following a protocol. That's  
21 all. But this doesn't --

22 MR. SHEETZ: This has a written directive,  
23 so you'd --

24 CHAIRMAN THOMADSEN: A written directive,  
25 so that wouldn't --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Simultaneous speaking.)

2 MR. SHEETZ: So, right. I mean, everybody  
3 uses a spreadsheet to evaluate the current activity of  
4 seeds so that does not become problematic.

5 And then the other was with the survey  
6 post-excision of the seed and that you identified using  
7 any instrument that you want because there's the Geiger  
8 counter, the sodium iodide probe and the gamma probe  
9 used for the survey. But most of these procedures are  
10 performed in conjunction with technetium-99 and sulfur  
11 colloid for sentinel node biopsy, and therefore that  
12 would preclude the Geiger counter or sodium iodide probe  
13 from being used because they cannot discriminate  
14 between the two isotopes.

15 And so, really the only instrument that  
16 would be able to be used for a survey post-explant would  
17 be the gamma probe where the surgeon would identify the  
18 seed in the specimen and what we do is identify -- you  
19 don't get a reading in the cavity where the specimen has  
20 been removed, but you still do get some signal from the  
21 gamma probe, even from technetium because it does  
22 scatter down into that window. So you'll never really  
23 be able to see small amounts of activity.

24 So then I go back to the radiograph. It  
25 gives you 100 percent confirmation that there is the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 seed, the seed is intact and it's going to be much more  
2 reliable than a radiation survey. And radiologists are  
3 very trained to identify clips from seeds. That's what  
4 they do for a living.

5 And then in response to Mr. Mattmuller's  
6 question on disposal, there are two companies now with  
7 FDA approval for the prepackaged seeds and needles.  
8 One does accept the seeds back; the other one does not.  
9 So those -- using the one company will store them for  
10 decay.

11 CHAIRMAN THOMADSEN: I would take issue  
12 with anything giving you 100 percent confidence.

13 MR. SHEETZ: As was discussed earlier, the  
14 practice of medicine is an art. No, nothing is 100  
15 percent.

16 CHAIRMAN THOMADSEN: Right. Dr.  
17 Alderson?

18 VICE CHAIR ALDERSON: I think when we had  
19 discussed this particular it; and Dr. Metter may wish  
20 to comment, I think that the interpretation of  
21 mammograms is an art, and it's very difficult,  
22 especially in patients who have a lot of fibrosis in  
23 their breast. And people also do post-op radiographs  
24 to find foreign bodies left in after surgery, and  
25 despite the fact that radiologists are very well trained

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to do that, once in a while the conditions that are  
2 present in the body cause them to miss those things. So  
3 I just don't think that what you just said is correct  
4 at all. I disagree.

5 Dr. Metter, do you want to comment?

6 MEMBER METTER: There was an article  
7 recently in the *Journal of the ACR* that talked about  
8 radiographs of like surgeons that have instrument  
9 miscounts. And there's a 10 percent -- that's a fairly  
10 notable percentage that they miss them because they  
11 can't see them. Usually they're small needles that are  
12 about a centimeter or less. And so, you're looking at  
13 that sort of item.

14 And so, other institutions have instituted  
15 policy where they actually take a radiograph of a lost  
16 item and compare it with that. But that still hasn't  
17 been as effective. It's 100 percent.

18 CHAIRMAN THOMADSEN: Dr. Zanzonico?

19 MEMBER ZANZONICO: I also take some issue  
20 with the assertion that even in the presence of a  
21 post-sentinel node biopsy that a survey would not  
22 reliably find seeds. We're talking about a minimum of  
23 a 200-microcurie focal source. So you can take a  
24 background measurement or an initial measurement and  
25 you'll get some very significant count rate or exposure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 rate and then verify that the exposure rate has gone down  
2 subsequent to removal of the seed. So I think there is  
3 some value certainly to doing a survey measurement to  
4 test for the removal and accounting for the all the seeds  
5 even in the presence of some significant background  
6 activity from a sentinel node procedure.

7 CHAIRMAN THOMADSEN: Dr. Ennis?

8 MEMBER ENNIS: No, I think we should open  
9 up. As Mr. Sheetz perceptively noted, we actually were  
10 not clear about what our recommendation was vis-à-vis  
11 the authorized user issue. And that is because the  
12 Subcommittee actually did not come to consensus. And  
13 there were two opinions. So one was that it ought to  
14 remain as is and that the use of a radioactive source  
15 and its interaction with human tissue requires a high  
16 level of training. And certainly for many cases where  
17 it goes smoothly and simply and everything goes  
18 properly, even for a lesser-trained individual such as  
19 a radiologist that doesn't have that full training would  
20 be fine, but part of regulation space is to really  
21 protect from those cases where things don't go so  
22 smoothly and that at least happen with some regularity  
23 and that that requires a higher level of training as is  
24 currently in the guidance.

25 However, others on the Subcommittee felt

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       that perhaps just supervision of an authorized user,  
2       even if the individual actually placing the seed was not  
3       one, would be sufficient. And I think the Committee as  
4       a whole ought to discuss it.

5               CHAIRMAN THOMADSEN: Yes, let's start  
6       -- well to follow the process, I assume that the  
7       Subcommittee is making a motion to adopt its report.  
8       We'll get that on the floor.

9               MEMBER ENNIS: Yes.

10              CHAIRMAN THOMADSEN: It doesn't need a  
11       second because it's coming from the Subcommittee. And  
12       now I think what we need is to have a particular motion  
13       that we can discuss as far as the authorized user  
14       supervision situation. What would you like to propose?

15              MEMBER ENNIS: I would propose a motion  
16       that we discuss the specific --

17              CHAIRMAN THOMADSEN: No.

18              MEMBER ENNIS: No? Sorry.

19              CHAIRMAN THOMADSEN: We don't need that.

20              MEMBER ENNIS: Oh, sorry. Okay. I would  
21       propose that the guidance remain intact and that the  
22       authorized user be -- the person who places the seeds  
23       be an authorized user.

24              CHAIRMAN THOMADSEN: Okay. Do we have a  
25       second to that motion?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (No audible response.)

2 CHAIRMAN THOMADSEN: We have no second for  
3 that motion. Would somebody like to make a  
4 counter-motion?

5 MEMBER COSTELLO: Oh, for the sake of  
6 discussion, I'll second the motion.

7 CHAIRMAN THOMADSEN: Okay.

8 (Laughter.)

9 CHAIRMAN THOMADSEN: Thank you. We have a  
10 motion, we have a second. Discussion, please? Those  
11 of you who didn't second the motion may want to tell why.  
12 Yes, Dr. Zanzonico?

13 MEMBER ZANZONICO: I mean, I think there's  
14 going to be a significant number of radiologists who are  
15 not going to be AUs, and those are the folks that are  
16 most experienced in placing these sorts of devices.  
17 One is not the dealing with high-activity sources where  
18 there's a real time pressure for corrective action if  
19 a source were lost or even misplaced or whatever. And  
20 given those considerations, mainly the logistical  
21 consideration that the folks most expert at placing  
22 these sources will most likely be radiologists and  
23 non-AUs and the fact that an emergent situation  
24 regarding the sources could be safely dealt with over  
25 a period of time I think is such that the person placing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the source does not need to be an AU.

2 What we do at Memorial is virtually none of  
3 our radiologists, who are the people who place these,  
4 are AUs. And what we have is have them proctor three  
5 cases where they're trained on radiation safety issues  
6 and -- they're proctored three cases where they go over  
7 radiation safety issues, so forth and so on. And then  
8 the department will authorize them or certify them as  
9 users. We haven't had any issues. And I think in  
10 general people would expect that it's going to be a very  
11 low frequency of issues in any case. But I just think  
12 the circumstances of this procedure are such that  
13 there's really not a compelling need to have the  
14 individuals who place the sources actually be AUs, but  
15 rather to work under the supervision of an AU.

16 CHAIRMAN THOMADSEN: And that is what we do  
17 at Wisconsin, likewise.

18 Dr. Alderson?

19 VICE CHAIR ALDERSON: Yes, I'd like to  
20 support that particular position. And I think that -- I  
21 was the Chairman of an large academic radiology  
22 department for 20 years and worked with a lot of great  
23 breast imagers, and I would want the patient to have the  
24 ability for those experts who do this sort of thing all  
25 the time to put that source exactly where it needs to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be. And I feel it's quite adequate for them to be  
2 working under the supervision of an AU.

3 MEMBER ZANZONICO: And I think it's  
4 important to point out as well those individuals do have  
5 a great deal of relevant training even though they're  
6 not AUs. It's not as if it's an internist or some person  
7 such as that with little to no training and experience  
8 in working with radiation generally.

9 CHAIRMAN THOMADSEN: Yes?

10 MR. BOLLOCK: Sorry. I apologize for  
11 interrupting this great discussion, but I just want to  
12 make you aware we're 35 minutes over.

13 CHAIRMAN THOMADSEN: Understood.  
14 Believe me, I've been watching that clock very  
15 carefully.

16 MR. BOLLOCK: Yes, and we should have some  
17 time the next presentation following lunch with that  
18 half hour for that. We don't believe it will take the  
19 full half hour. So I'm just making you aware we should  
20 have time in the afternoon to continue discussions if,  
21 at your discretion, you'd like to break.

22 CHAIRMAN THOMADSEN: Well, we should take  
23 care of this motion before we adjourn, although believe  
24 me, there are other pressing matters that I would like  
25 to take care of also.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Dr. Ennis?

2 MEMBER ENNIS: Yes, I just do want to at  
3 least, make the case. So, first, I would not certainly  
4 advocate that someone who is not good at placing needles  
5 do that just because he or she is an authorized user.  
6 I think what I'm looking for is people doing this who  
7 have both levels of expertise that are required.

8 And for example, under the guidance now if  
9 a surgeon wants to do this in a part of the body and he  
10 or she has absolutely radioactive training, but he's an  
11 expert at sticking needles into that part of the body,  
12 is that going to be okay? And I envision a lot of  
13 scenarios particularly outside the body where the  
14 source is going to be placed somewhere near a vessel,  
15 or might be, and not having a good understanding of how  
16 radioactivity interacts with these body tissues can  
17 lead to significant medical events. So, that's my  
18 source. When it goes smoothly in a breast, it's easy  
19 and it's fine, but I foresee potential medical events  
20 because of a lack of understanding of that aspect of it.

21 CHAIRMAN THOMADSEN: Other comments?

22 (No audible response.)

23 CHAIRMAN THOMADSEN: Hearing none, we'll  
24 vote on the motion, which is -- can you restate the  
25 motion?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER ENNIS: Oh, the motion that was  
2 accepted was --

3 CHAIRMAN THOMADSEN: Yes. Right.  
4 Exactly. Which was that the person placing the sources  
5 --

6 MEMBER ENNIS: That places the source be  
7 allowed to do that under the supervision of an  
8 authorized user.

9 CHAIRMAN THOMADSEN: Oh, I thought it was  
10 exactly the --

11 (Simultaneous speaking.)

12 MEMBER ENNIS: No, my motion was not  
13 seconded.

14 CHAIRMAN THOMADSEN: I think the --

15 MEMBER COSTELLO: I seconded it.

16 MEMBER ENNIS: Oh, you did second it?  
17 Okay. So, my motion was that an authorized user must  
18 be the one placing the sources.

19 CHAIRMAN THOMADSEN: Correct. And that's  
20 as I remember it. All in favor, say aye?

21 MEMBER ENNIS: Aye.

22 CHAIRMAN THOMADSEN: All opposed, say no?

23 (Chorus of no.)

24 CHAIRMAN THOMADSEN: Abstentions for  
25 that?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 PARTICIPANT: I abstain.

2 CHAIRMAN THOMADSEN: One abstention.

3 That has been voted down. Do we have another motion on  
4 this since we need to come to resolution? Anybody?  
5 Yes, Dr. Alderson?

6 VICE CHAIR ALDERSON: Well, I want to move,  
7 but what I think Pat was saying is that the person who  
8 places the seed should be under the supervision of an  
9 AU, but they need not be themselves an AU.

10 CHAIRMAN THOMADSEN: Is that --

11 MEMBER ZANZONICO: Yes.

12 CHAIRMAN THOMADSEN: Do we have a second  
13 for that?

14 MEMBER ZANZONICO: Seconded.

15 CHAIRMAN THOMADSEN: We have the second  
16 for that. Discussion? Ms. Weil?

17 MEMBER WEIL: So, under the supervision,  
18 does this mean in the same room, or does this just mean  
19 that -- that doesn't mean that?

20 CHAIRMAN THOMADSEN: Not necessarily.

21 MEMBER WEIL: So, that is of course another  
22 opportunity we could explore, whether the placing of the  
23 seed could be done by someone who's not an AU. If the  
24 AU is in the room directly supervising reminds me a  
25 little bit of the Gamma Knife in the Perfexion units

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 where you want the authorized user in the room at the  
2 console.

3 MEMBER SUH: That is a little different  
4 because you're talking about therapy versus diagnosis  
5 purposes.

6 MEMBER WEIL: Okay.

7 MEMBER ZANZONICO: I mean, right, just to  
8 echo that comment, again we're talking about low-  
9 activity, long-lived sources. So you have the luxury  
10 of time, of a considerable amount of time to deal with  
11 an issue that you don't have in the case of Gamma Knife.

12 CHAIRMAN THOMADSEN: Other discussion?  
13 Yes, Dr. Metter?

14 MEMBER METTER: With the ACGME; and,  
15 Chris, you can correct me, they have definitions of  
16 supervision, direct or indirect supervision. And my  
17 question would be if you have an individual who is not  
18 an authorized user, should they have for example three  
19 cases with direct supervision, then followed by  
20 indirect supervision just so that they can -- for the  
21 first time you should actually have somebody who might  
22 understand the radiation safety aspects of things.

23 CHAIRMAN THOMADSEN: And that was the  
24 situation that Dr. Zanzonico discussed and that we have  
25 in the University of Wisconsin. We have a comment.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. SHEETZ: Mike Sheetz again. We have  
2 the same type of program as Dr. Zanzonico has at Memorial  
3 Sloan Kettering. We have our authorized users and then  
4 we have approved radiologists who can implant seeds  
5 under the supervision of the authorized user. The  
6 individuals who implant seeds under the supervision, I  
7 think, one, should be a radiologist. They can't be a  
8 surgeon. They can't be an internist. And they also  
9 have to have radiation safety training on the procedure  
10 and they also have to have supervised case study  
11 requirements. That's my recommendation.

12 CHAIRMAN THOMADSEN: Would you accept as  
13 an amendment to your motion that the person implanting  
14 the seeds would have to have the typical 80 hours of  
15 radiation safety training plus three proctored courses  
16 by the supervising authorized user?

17 VICE CHAIR ALDERSON: What do you think,  
18 Pat? I'm not sure.

19 MEMBER ZANZONICO: I would not go as far as  
20 that as all.

21 VICE CHAIR ALDERSON: Yes, I think that's  
22 too far, also.

23 MEMBER ZANZONICO: Yes, I think that's too  
24 far.

25 VICE CHAIR ALDERSON: No, I won't accept

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       that.

2                   CHAIRMAN THOMADSEN:   Okay.

3                   VICE CHAIR ALDERSON:   I won't accept that.

4                   MEMBER ZANZONICO:   And the reality, these  
5       are -- at least for -- these would be breast radiologists  
6       and --

7                   MEMBER WEIL:   Not necessarily.

8                   MEMBER ZANZONICO:   Well, for the current  
9       context.

10                  CHAIRMAN THOMADSEN:   And that's why the 80  
11       hours of training in radiation safety would be satisfied  
12       by the breast radiologist?

13                  MEMBER ZANZONICO:   Yes, for sure.   I mean,  
14       this gets into the area of granting clinical privileges,  
15       which is often a departmental or institution-specific  
16       issue.   And I would leave it to the institutions and the  
17       departments to define what "under supervision" means at  
18       their respective institutions, proctored cases and so  
19       forth.   I would not be overly prescriptive about this.

20                  VICE CHAIR ALDERSON:   Yes, I agree.

21                  CHAIRMAN THOMADSEN:   Okay.

22                  MEMBER ZANZONICO:   I think just saying  
23       "under supervision" is adequate.

24                  VICE CHAIR ALDERSON:   I agree.   And it  
25       leaves latitude.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: Yes, Dr. Metter?

2 MEMBER METTER: And as a radiologist you  
3 already have 80 hours or more, so --

4 CHAIRMAN THOMADSEN: That's why I --

5 MEMBER METTER: Yes, so I think --

6 CHAIRMAN THOMADSEN: Yes.

7 MEMBER METTER: -- a radiologist should be  
8 the one placing it.

9 CHAIRMAN THOMADSEN: Although without  
10 anything like what we've said, we have not specified  
11 that in this motion and a surgeon could be the person  
12 doing that.

13 MEMBER ZANZONICO: Actually that's a  
14 slippery slope because someone can jury-rig 80 hours who  
15 is not a radiologist.

16 CHAIRMAN THOMADSEN: Absolutely true.

17 MEMBER ZANZONICO: I would leave it to the  
18 respective institutions to define their clinical  
19 privilege requirements.

20 CHAIRMAN THOMADSEN: Okay. Other  
21 comments? Yes, Dr. O'Hara?

22 MEMBER O'HARA: I have a question. With  
23 respect to the seed, I thought I heard you say that could  
24 be implanted any place in the human body.

25 CHAIRMAN THOMADSEN: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER O'HARA: Okay. So it's not --

2 MEMBER ENNIS: Hence my concerns.

3 MEMBER O'HARA: -- just to breast?

4 CHAIRMAN THOMADSEN: No.

5 MEMBER ENNIS: Right now it's mostly being  
6 used to breast, but it's already being in theory  
7 anything and anywhere, either with some kind of imaged  
8 guidance or not necessarily, just by touch, which is why  
9 I had my view.

10 CHAIRMAN THOMADSEN: Ms. Weil?

11 MEMBER WEIL: I think we also have to  
12 consider that these are procedures that may not be  
13 happening in the academic medical center, that they  
14 could be happening in community settings, community  
15 cancer centers where the credentialing issues may be  
16 less effective in making sure that the appropriate  
17 training has taken place.

18 MEMBER ENNIS: I think this is exactly what  
19 NRC is supposed to be doing, not leaving it up to the  
20 department when it comes to radiation safety. It  
21 requires a higher level of oversight in care. This is  
22 why we exist, why the NRC exists as opposed to just  
23 regular medical procedures.

24 CHAIRMAN THOMADSEN: Mr. Costello?

25 MEMBER COSTELLO: Yes, I would be in favor

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the new approach. I think what we're doing is  
2 putting our faith in the authorized user, but when the  
3 authorized user -- is doing under the supervision of the  
4 authorized user, that they're only going to choose  
5 someone to supervise who's been properly trained, that  
6 they're not going to pick an internist and say could you  
7 do this for me? And so, if the authorized user is doing  
8 this and supervising this, that they're only going to  
9 be doing it because they're somebody that is trained to  
10 do it properly.

11 CHAIRMAN THOMADSEN: Mr. Bollock?

12 MR. BOLLOCK: I'm sorry, but if we're going  
13 to continue this, I'd like to --

14 CHAIRMAN THOMADSEN: I was just --

15 MR. BOLLOCK: Yes.

16 CHAIRMAN THOMADSEN: Yes, I was --

17 MR. BOLLOCK: Because we have to keep in  
18 mind this is a public meeting.

19 CHAIRMAN THOMADSEN: Yes.

20 MR. BOLLOCK: We're on a schedule, so we  
21 don't want to --

22 CHAIRMAN THOMADSEN: I understand. And  
23 --

24 MR. BOLLOCK: -- be speaking outside of the  
25 schedule time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: Right. So at this  
2 moment, I close the discussion and take a vote on this  
3 motion and see if we have a decision yet.

4 MS. HOLIDAY: And which motion is this?

5 CHAIRMAN THOMADSEN: This motion is that  
6 the person implanting the sources can do so under the  
7 supervision of the authorized user. All in favor, say  
8 aye?

9 (Chorus of aye.)

10 CHAIRMAN THOMADSEN: And opposed, no?

11 MEMBER ENNIS: No.

12 CHAIRMAN THOMADSEN: Abstentions?

13 PARTICIPANT: Yes, abstention.

14 CHAIRMAN THOMADSEN: So that motion  
15 carries. One last motion, which at this point should  
16 be perfunctory, which is the motion to accept this  
17 report as the report for the ACMUI. I think we've had  
18 enough discussion on this. We can just call the  
19 question. All in favor, say aye?

20 (Chorus of aye.)

21 CHAIRMAN THOMADSEN: And opposed, say no?

22 (No audible response.)

23 CHAIRMAN THOMADSEN: Abstentions?

24 (No audible response.)

25 CHAIRMAN THOMADSEN: All right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Clarifying, we are well behind schedule. If you can,  
2 please try to eat and be back by no later than about five  
3 after 1:00. Thank you very much.

4 (Whereupon, the above-entitled matter went  
5 off the record at 12:16 p.m. and resumed at 1:05 p.m.)

6 CHAIRMAN THOMADSEN: And we start this  
7 session with discussion of the Interagency Working  
8 Group on Alternatives to High-Activity Radioactive  
9 Sources, GARS by Mr. Herrera.

10 MR. HERRERA: Yes, hi. Good afternoon.  
11 My name is Tomas Herrera. I am the Sealed Source and  
12 Device Review Team leader here at the NRC. I was asked  
13 to provide an overview of this new working group, GARS  
14 that the NRC is supporting. It is a relatively new  
15 working group.

16 The working group was established by action  
17 by the White House's National Science and Technology  
18 Council. And as you can see, it is a Committee on the  
19 Homeland and National Security Subcommittee on Nuclear  
20 Defense Research and Development.

21 Essentially, the reason for establishing  
22 this working group, again, goes back to the overall  
23 security concerns about the potential for diversion of  
24 high radioactive sources and the potential use in a  
25 radiological dispersal device. And by high-activity

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433



1 sources, we are referring to Category 1 and Category 2  
2 sources that come under the NRC's security requirements  
3 in 10 CFR Part 37.

4 Now, this working group is focused on the  
5 federal agencies and the uses by the federal agencies.  
6 The idea is to look and assess at what the federal  
7 agencies currently use in terms of higher active  
8 radioactive sources and other non-radioactive  
9 alternatives. To do this, the working group is made up  
10 of several different government agencies and they are  
11 going to work to develop ideas on how to potentially  
12 transition to alternative technologies.

13 Now one of the main drivers behind this new  
14 working group comes from a recommendation that was made  
15 in the radiation source protection and security task  
16 force report. Their last recommendation came out in  
17 2014. Basically, the idea behind that recommendation  
18 is that the government should look at ways to transition  
19 to alternative technologies with the focus on the  
20 government should lead by example with the government  
21 looking at the government's current uses of the  
22 high-active sources and transitioning to potential  
23 alternatives.

24 The working group is co-chaired by three  
25 agencies. It is the DOE's National Nuclear Security

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Administration, the National Institutes of Health, and  
2 the NRC. Josie Piccone is the co-chair of this working  
3 group.

4 To date, there has been two meetings. As  
5 I mentioned, they are relatively new. We are looking  
6 at, right now, developing and finalizing an outline of  
7 what the working group will be looking at and looking  
8 at the scope and what areas the federal agencies are  
9 interested in.

10 To date, we have two different  
11 presentations; one by the Department of Homeland  
12 Security. They are looking at -- they also have a  
13 parallel working group looking at alternative  
14 technologies and also a presentation by the NNSA and  
15 looking at the research that they have been doing in  
16 terms of alternative technologies.

17 So, as I mentioned, the idea is the federal  
18 government is looking at leading by example. The  
19 focus, though, is mainly on medical applications. And  
20 by medical applications, again, looking at the higher  
21 radiation sources that are used, whether it is for blood  
22 irradiation, sterilization, or stereotactic  
23 radiosurgery.

24 And the idea is they are looking at the  
25 current -- doing an assessment on the current state of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 research and development of alternative technologies  
2 compared to the current uses of radioactive sources and  
3 looking at ways to explore -- to support transition to  
4 these alternative technologies. The ideas are what  
5 kind of incentives can be shared with the other federal  
6 agencies in terms of maybe any type of administrative  
7 hurdles or potentially any kind of procurement hurdles  
8 that the agencies could encounter when potentially  
9 trying to look at transitioning to alternative  
10 technologies.

11 One of the issues or topic areas is  
12 basically the working group will look for a way to start  
13 looking to enhance competency on building effective,  
14 nonradioactive technology, also looking at supporting  
15 their commercialization and availability.

16 Now, from the NRC standpoint, we are a  
17 co-chair, however, as you are more than aware, we don't  
18 promote the use of radioactive material; we just  
19 regulate the safe use of it. So, this is something that  
20 we would not really have much input on but it is  
21 something that we are obviously staying engaged in so  
22 you will be aware of the current status.

23 The end product, essentially, is to develop  
24 a Best Practices Guide to share with the different  
25 federal agencies to potentially transition away from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 the use of radioactive material over to alternative  
2 technologies with the idea, however, that it does meet  
3 the technical needs, operational, and are  
4 cost-effective.

5 As you can see, the membership is mainly  
6 made up of just about all the different departments in  
7 the government. We would have HHS, which does have  
8 representations from the CDC, NIH, as well as the FDA.  
9 And there is also a couple of groups from the Department  
10 of Energy, the Office of Science, as well as the NNSA.

11 The time line, essentially, the working  
12 group is chartered through December 2016. The idea is  
13 to have a completed draft by July -- excuse me, the  
14 document is finalized by July 2016. The idea is because  
15 there will be a change in administration, so they want  
16 to complete this work before the national elections.

17 There is also some discussion of reaching  
18 out to outside groups to potentially have a meeting  
19 later in 2016. So, that is something that is still  
20 being finalized at this point.

21 It is really, as I mentioned, still early  
22 stages. They are developing, as I mentioned,  
23 finalizing the outline and also working to develop  
24 writing teams in the different sections.

25 So, at this point, if there are any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 questions.

2 CHAIRMAN THOMADSEN: Dr. Ennis.

3 MEMBER ENNIS: I have two. First, so the  
4 issue, as I understand it, is the concern that what more  
5 can we do that we haven't already done. Now, the  
6 conclusion of what to do about that seems to already have  
7 been, I would hope that this group would discuss what  
8 to do about that problem as opposed to what sounds like  
9 a foregone conclusion that the solution is to just try  
10 and eliminate high activity sources from being used.

11 So, that disappoints me and I am confused  
12 why enhanced security, and a variety of other potential  
13 solutions that one could be thinking about beyond just  
14 eliminating high-activity sources. That is number  
15 one.

16 Number two is in your list of impacts, you  
17 did not list brachytherapy.

18 MR. HERRERA: Right -- oh, excuse me.

19 MEMBER ENNIS: And that would have a huge  
20 impact and one of the biggest challenges, if one is  
21 trying to think about ways to eliminate sources and come  
22 up with alternatives. That would be, I believe, one of  
23 the greatest challenges.

24 DR. PICCONE: Tomas, let me respond to  
25 that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           It is the NRC's position and has been the  
2           NRC's position that the sources we have regulations in  
3           place to ensure the safety and security of these  
4           sources. So, NRC is not promoting either the use of  
5           sources or the disuse of these sources.

6           And yes, this is one area where NNSA  
7           believes that eliminating the risk completely, okay,  
8           would eliminate the problem. This effort is meant, as  
9           Tomas indicated, to have the federal government family  
10          show by example to the rest of the community that this  
11          can be done or what are the issues in doing this.

12          So, this document is going to look at the  
13          challenges, also, in going from one technology to the  
14          other. It is very, very limited in what it is looking  
15          at and what it is promoting with the other agencies.  
16          So, they have limited this to blood irradiators, where  
17          there is some alternative technology, and medical  
18          device sterilization, and stereotactic radiosurgery,  
19          so Gamma Knife. And there are no federal facilities,  
20          that we know of right now, that have a Gamma Knife  
21          facility.

22          So, what they are really looking at right  
23          now for this working group or to show by example would  
24          be in the blood irradiator and sterilization. But the  
25          document, per the outline, is going to look at or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 identify the challenges, as well. And as Tomas  
2 indicated, there is another DHS working group just  
3 looking at that. And some of you might be involved in  
4 that effort as well in determining what are the  
5 challenges. There are many challenges, depending on  
6 who you talk to. There are challenges in the research  
7 area, the medical area, in procurement. So, this  
8 document is meant to cover many of those challenges, how  
9 would you go about doing it.

10 How I see NRC's role in this whole effort  
11 is -- and I am one of the three co-chairs -- there is  
12 much of this document that we cannot contribute to. We  
13 don't, as NRC, we don't procure these sources. So, they  
14 want the folks who are involved in procuring this  
15 technology to help write this document. But what we can  
16 contribute to is to ensure the scope remains the scope  
17 as chartered by the White House and also on what are the  
18 regulatory requirements or what would need to be done  
19 in decommissioning a radioactive source to one of these  
20 alternative technologies.

21 CHAIRMAN THOMADSEN: Mr. Costello.

22 MEMBER COSTELLO: Well, I would like to, I  
23 just might agree with you, Josie, Dr. Piccone, that our  
24 current regulations, that Part 37 and with managed  
25 States still increase controls, and the efforts of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 NSA around the country to further secure these devices  
2 result in a situation where they are perfectly secure  
3 there and, in my mind, there is not a problem that needs  
4 to be fixed. And so this whole effort I will know about.

5 My only advice to the NRC when it  
6 participates as co-chair, is to make sure that the  
7 document that comes out makes it very clear that they  
8 are currently secure and safe and protected against  
9 unauthorized use and not to let anyone in this document  
10 exaggerate the risk that exists today, because today it  
11 is under control.

12 DR. PICCONE: And in fact, those were our  
13 opening presentations at the start of this effort. And  
14 I think the presentation today was just an informational  
15 presentation for you to know that this effort is going  
16 on and we happen to sit on this group as well and FDA  
17 is on this as well.

18 CHAIRMAN THOMADSEN: Are you using the  
19 report from the ACMUI on the irradiators at all in this  
20 work?

21 DR. PICCONE: The report on what?

22 CHAIRMAN THOMADSEN: The ACMUI report on  
23 cesium irradiators.

24 DR. PICCONE: No, that hasn't come into  
25 play. We will take a look at that to see.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 CHAIRMAN THOMADSEN: I can send you that.

2 DR. PICCONE: Yes.

3 MS. COCKERHAM: This is Ashley. I was  
4 going to say I know what you are talking about, Dr.  
5 Thomadsen and we can get that to Tomas. I think it is  
6 very relevant to what this project is about and what the  
7 ACMUI's position would be on the effects in medicine.

8 CHAIRMAN THOMADSEN: Could you get that to  
9 us?

10 MEMBER COSTELLO: Well, we could say what  
11 it was, not what it would be now because the technology  
12 has changed.

13 DR. PICCONE: Yes.

14 CHAIRMAN THOMADSEN: I think a lot of the  
15 points that were made in that report could be used on  
16 this, too.

17 DR. PICCONE: And there are a lot of  
18 efforts going on right now, in terms of developing  
19 alternative technologies, many of these through  
20 Department of Energy, as well.

21 So, we certainly will take a look at it.

22 VICE CHAIRMAN ALDERSON: Just as another  
23 informational comment, I think that the recent news this  
24 week is current, about people having been captured over  
25 in the Mideast trying to get radioactive sources to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 people who would do ill with them, I think that is more  
2 likely going to be the source than the various medical  
3 things that you, that this agency currently protects.  
4 But I do think that a big void, and it is not an issue  
5 probably that the NRC is going to address, or even GARS,  
6 but is education, education both of the public and of  
7 responders to things like this. Because despite what  
8 these good efforts are going to be, I think there is  
9 still a reasonable risk that at some point something  
10 like this will happen in this country.

11 DR. PICCONE: Right. The other thing that  
12 I will just piggyback a little bit on is Tomas indicated  
13 that the group is looking at how to bring in input from  
14 other external organizations. And because that would  
15 involve a public meeting, they are working on the  
16 details of that but I think I can say at least this much.  
17 That the co-chairs and some of the other members of the  
18 working group have identified other groups that can  
19 provide valuable information to this effort. So, we  
20 have identified groups like the Organization of  
21 Agreement States, the CRCPD, because most alternate  
22 technologies would require licensing by the State  
23 organizations, the Health Physics Society, AAPN, ASTRO.  
24 So, all of these groups have been identified -- did I  
25 cover your organization -- okay, have been identified

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 as valuable in providing input to this effort.

2 CHAIRMAN THOMADSEN: Dr. Suh.

3 MEMBER SUH: Just to clarify. So, you had  
4 mentioned on the slide it says initial focus on medical  
5 applications and it states stereotactic radiosurgery.  
6 Then you made a comment that in the government there is  
7 not a Gamma Knife unit. So, is this still going to be  
8 evaluated as an alternative approach? Because as you  
9 know, Gamma Knife radiosurgery is used at over 100  
10 centers right now and has been shown to be very  
11 clinically effective for a number of disease sites.  
12 And I would hate to see a report saying that because of  
13 its potential risk, we should switch to some other  
14 alternative technology.

15 DR. PICCONE: No.

16 MEMBER SUH: Just for clarification.

17 DR. PICCONE: The document is supposed to  
18 be a best practices guide in transitioning from  
19 radioactive material to alternative technology. They  
20 identified these -- and it is meant for federal  
21 agencies, for the federal agencies to transition.

22 So, you see VA is on here, Health and Human  
23 Services, whatever. But when we went to see are there  
24 any Gamma Knife units out there in the federal agencies,  
25 our records do not show any. And they probably did not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 know that when they put this together. We notified them  
2 of this.

3 MR. HERRERA: We notified them once we were  
4 able to confirm that federal agencies don't have the  
5 experience with Gamma Knife.

6 MEMBER SUH: Sure. So, one of the  
7 concerns would be the trickle-down effect of having this  
8 report come out and then to insinuate that technologies  
9 such as Gamma Knife radiosurgery, which, again, has been  
10 shown to be very clinically effective for a treatment  
11 of a variety of conditions within the brain all of a  
12 sudden gets relegated because a document comes out.

13 And that is why when I saw stereotactic  
14 radiosurgery that is a big -- at least for me, being very  
15 involved with radiosurgery, it is a big red flag for me  
16 because that would be a huge disservice to the nation  
17 and to physicians.

18 DR. PICCONE: Yes. And again, the scope  
19 of this document is not intended to mandate anything to  
20 the federal agencies but to encourage them to consider  
21 going from RAM to alternative technologies and to  
22 provide some best practices on how they could do that.

23 And there is no document yet. There is an  
24 outline that is still being worked on but what we did,  
25 NRC, is we pointed out that if they wanted to focus on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       these areas, that there are no Gamma Knife's in federal  
2       facilities right now.

3               But there are blood irradiators, many of  
4       them.       There are many sterilization, other  
5       sterilization units. They may not be to sterilize  
6       medical products but Department of Agriculture has  
7       many.

8               MEMBER COSTELLO: I know they have one.

9               DR. PICCONE: They have several.

10              MEMBER COSTELLO: Okay.

11              CHAIRMAN THOMADSEN: Dr. Zanzonico.

12              MEMBER ZANZONICO: One source that I know  
13       that was missing I think was industrial radiography  
14       systems. I mean some of those use very high activity  
15       sources and it is kind of a low profile application of  
16       high activity sources but it is one that does exist. Is  
17       that incorporated into your game plan?

18              DR. PICCONE: No. No, this effort was  
19       very specific and narrowly focused and they called it  
20       medical applications, using cesium-137 and cobalt-60.

21              MEMBER ZANZONICO: It just strikes me as an  
22       overly narrow focus. I mean it leaves unaddressed a  
23       large number, a lot of resources that are as susceptible  
24       to theft and so forth as others.

25              DR. PICCONE: Yes, but I think I mean I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 can't say what they were thinking. Okay? I can  
2 surmise that some of the thinking behind keeping the  
3 focus in this narrow area is that there are known  
4 alternative technologies for these two things, for  
5 blood irradiators and sterilization. And, again, the  
6 focus is on federal agencies. Can we get some of the  
7 federal agencies to use some of these alternative  
8 technologies? And then if they are great, they work  
9 out, they are wonderful, that the word would get out.

10 So, that is why I believe there is this  
11 narrow focus.

12 CHAIRMAN THOMADSEN: Dr. Ennis.

13 MEMBER ENNIS: Do you anticipate the  
14 report presenting the NRC's view that the safety of the  
15 sources is adequate and that transitions are not  
16 necessarily needed?

17 DR. PICCONE: We don't go as far as to say  
18 transitions aren't needed. That is not our call. That  
19 is your call and the researchers' call and the  
20 organization's call. But we continually stress that  
21 the sources are safe today.

22 MEMBER ENNIS: I think it would be  
23 important that language like that is included to get to  
24 Dr. Suh's point. It could easily be understood, if not  
25 that all three organizations endorse the idea that we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 need to transition and could have a cascading effect  
2 that was not necessarily intended.

3 DR. PICCONE: Point taken.

4 CHAIRMAN THOMADSEN: Other questions or  
5 comments? Hearing none, thank you for your update.

6 DR. PICCONE: Thank you, Tomas.

7 Dr. Palestro, you are back in this chair.  
8 And we will be hearing about the Subcommittee on  
9 Yttrium-90 Microsphere Brachytherapy Medical Event  
10 Criteria.

11 MS. HOLIDAY: Dr. Thomadsen?

12 CHAIRMAN THOMADSEN: Yes?

13 MS. HOLIDAY: This is Sophie.

14 CHAIRMAN THOMADSEN: Yes.

15 MS. HOLIDAY: Before we jump into Dr.  
16 Palestro's presentation, I just wanted to make a  
17 comment.

18 CHAIRMAN THOMADSEN: Yes.

19 MS. HOLIDAY: I know that we ran over time  
20 discussing the last two presentations before lunch and  
21 the last thing we were talking about was the Radioactive  
22 Seed Localization Guidance.

23 CHAIRMAN THOMADSEN: Yes.

24 MS. HOLIDAY: As some of you or most of you  
25 are aware, there was an NRC/Agreement State Working

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Group that was formed to look at revising this guidance.  
2 So, the report that the Committee endorsed today will,  
3 of course, be fed to this working group, of which I am  
4 one of the co-chairs. All of the working group members  
5 were watching the meeting via webcast. So, I just  
6 wanted to let you guys know that your efforts,  
7 obviously, were not in vain. But as with most things,  
8 staff, in this respect, the working group, will consider  
9 what was outlined in the report as part of our looking  
10 to revise the new guidance.

11 Thank you.

12 CHAIRMAN THOMADSEN: Well, thank you.

13 Dr. Palestro.

14 MEMBER PALESTRO: All right, well this is  
15 in follow-up to a very comprehensive report that was  
16 presented, I think, about a year ago, perhaps a little  
17 bit more, by the then-chair of this subcommittee, Mickey  
18 Guiberteau, about the potential for revising the  
19 criteria for medical events.

20 So, the subcommittee members include Frank  
21 Costello, Sue Langhorst, and Bruce Thomadsen, in  
22 addition to myself.

23 And our charge was to review and provide  
24 comments on proposed revisions to the Yttrium-90  
25 Microsphere Brachytherapy Licensing Guidance.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 Recommendation 1 that had been named was  
2 that the specification of acceptable GI tract and lung  
3 dose or activity in the written directive prior to  
4 yttrium-90 microsphere embolization procedure should  
5 not be required. Instead, the total treatment activity  
6 of yttrium-90 microspheres to be infused or  
7 administered should be to require compliance measure.

8 And in the proposed revised guidance, the  
9 statement, the written directive should specify the  
10 maximum dose or activities that would be acceptable to  
11 the specified site or sites outside the primary  
12 treatment site due to shunting, for example, lung and  
13 gastrointestinal tract, has been removed.

14 Recommendation 2, GI and lung irradiation  
15 for yttrium-90 microsphere brachytherapy should be  
16 considered known risks of the procedure. Revised  
17 guidance reads as follows.

18 The revised medical event reporting allows  
19 an exception for shunting outside the authorized user's  
20 control. Exceptions for documented stasis and  
21 emergent patient conditions clarified, criteria for  
22 wrong radionuclide, patient, route or mode of treatment  
23 maintained.

24 Recommendation 3, that implantation of the  
25 microsphere brachytherapy sources is considered to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in accordance with the written direction, if the total  
2 administered or infused activity does not vary from the  
3 activity prescribed in the written directive by 20  
4 percent or more, except in situations in which activity  
5 administered is limited by determination of the  
6 procedure due to stasis.

7 The revised guidance allows for an  
8 exception to medical event reporting when the  
9 administered or infused activity varies from that  
10 prescribed in the written directive by more than 20  
11 percent because of stasis or emergent patient  
12 conditions provided that this is documented.

13 And the subcommittee's recommendation:  
14 The subcommittee unanimously agrees with and endorses  
15 the changes made in response to the subcommittee's  
16 original recommendations.

17 On review, the subcommittee has additional  
18 recommendations. In the training and experience under  
19 A.3.iii.e, reference is made to an appendix in  
20 NUREG-1556, Volume 9, Revision 2. The subcommittee  
21 recommends changing to Appendix S to the current  
22 revision of NUREG-1556, Volume 9 and so forth.

23 Similarly for A.3.iii.f, reference is made  
24 to an appendix in NUREG-1556, Volume 9, Revision 2 and  
25 we recommend changing Appendix N to the current revision

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of NUREG-1556, Volume 9.

2 And the rationale for this is that in the  
3 updates of NUREG-1556 volumes, the appendices letter  
4 designation kept constant. The proposed change would  
5 clarify that licensees could use the most up-to-date  
6 revision in applying the licensing guidance.

7 CHAIRMAN THOMADSEN: Thank you very much.  
8 Comments and questions from the committee? Dr.  
9 Zanzonico.

10 MEMBER ZANZONICO: It all sounds very  
11 reasonable.

12 (Laughter.)

13 CHAIRMAN THOMADSEN: Thank you for that  
14 comment. Hearing no others, I again -- oh, Ms. Weil.

15 MEMBER WEIL: I keep finding that I want to  
16 make the same comment. Again, this is aligned with the  
17 patient intervention definitions, the passive patient  
18 intervention techniques that were discussed earlier.

19 So, we are talking about anatomical or  
20 physiologic abnormalities that cause shunting. I mean  
21 that is what this is sort of after, that there may be  
22 patient-sited conditions that cause shunting to the GI  
23 tract or the lung.

24 And again, there is pretreatment stuff that  
25 has to happen to determine whether or not those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 abnormalities exist and how they might best be  
2 mitigated. And I am not comfortable that that isn't  
3 alluded to in a statement. Can somebody help me here?  
4 It doesn't vary from the activities described.

5 Emergent patient conditions are clarified  
6 -- it just troubles me that we aren't putting front and  
7 center that there are certain predetermined activities  
8 that should take place when infusing these things, if  
9 we are assuming that there is a certain acceptable risk  
10 of shunting to the GI tract or the lung.

11 CHAIRMAN THOMADSEN: In the written  
12 report, although I don't know if this is the current one  
13 or the one that this is following, that was discussed  
14 in great detail as being expected.

15 MEMBER WEIL: Okay.

16 CHAIRMAN THOMADSEN: Dr. Zanzonico.

17 MEMBER ZANZONICO: I also think that an  
18 implication of this report is that, and this in fact  
19 occurs, when all of the pretreatment dosimetry and so  
20 forth has been done and done properly, there are  
21 instances where the procedure is overtaken, stasis is  
22 encountered or other problems are encountered, despite  
23 everyone doing everything properly. And the  
24 prescribing information, the package insert and so  
25 forth describes all of the required pretreatment

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 analysis. But again, despite that, you sometimes have  
2 to stop the procedure or something because of unforeseen  
3 and impossible to know circumstances.

4 MEMBER PALESTRO: All of that, I'm almost  
5 sure was in the guidance and these really are excerpts  
6 looking at our specific recommendations. But all of  
7 that information is provided in the comprehensive  
8 guidance.

9 MR. BOLLOCK: Actually Ashley can answer a  
10 lot of these questions.

11 CHAIRMAN THOMADSEN: Oh, hi. I couldn't  
12 see you.

13 MS. COCKERHAM: That's okay. No, I just  
14 raised my hand. So, this is Ashley Cockerham.

15 We did specifically tie it back to the  
16 manufacturers' procedures for the pre-implantation  
17 diagnostic imaging.

18 MEMBER PALESTRO: Thank you.

19 CHAIRMAN THOMADSEN: Other comments? I  
20 am assuming, again, the subcommittee is moving that the  
21 full committee accept and endorse its report as its own.  
22 Do you want to make that into a motion?

23 MEMBER PALESTRO: Yes.

24 CHAIRMAN THOMADSEN: Fine. Any  
25 discussion before we vote? No more than there was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 before. In that case, all in favor say aye.

2 (Chorus of aye.)

3 CHAIRMAN THOMADSEN: Opposed say no.

4 (No audible response.)

5 CHAIRMAN THOMADSEN: Abstentions?

6 (No audible response.)

7 CHAIRMAN THOMADSEN: It passes.

8 MS. COCKERHAM: Dr. Thomadsen, can I just  
9 make one -- I just wanted to thank the Committee for  
10 looking at the guidance again. I know that you have  
11 seen it several times but I hope that we implemented what  
12 you intended us to implement. I think we are in a good  
13 place. And just as a heads up on next steps, the  
14 guidance will go out to the Agreement States for their  
15 review and comment. And so we will hear what our  
16 Agreement State partners have to say about these same  
17 topics. And then the working group will reconvene,  
18 consider those comments and then we hope to issue final  
19 guidance in December of this year.

20 CHAIRMAN THOMADSEN: Thank you for the  
21 clarification of the procedure.

22 Yes?

23 MEMBER COSTELLO: I think we should really  
24 compliment the staff. I think they took what our  
25 subcommittee came up with and made it better. So, it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was really a joint between us and the agency and what  
2 they came up with is, I think, a very significant  
3 improvement. I thank the staff for all the work on  
4 this.

5 CHAIRMAN THOMADSEN: Thank you. And I  
6 think we will all appreciate your comments to the staff  
7 and agree with that.

8 Well, now strangely enough, after going so  
9 late and rushing lunch, I'm going out in a blaze of  
10 glory. This is completely out of control.

11 (Laughter.)

12 CHAIRMAN THOMADSEN: We have a topic  
13 coming up where we may have people coming in on the  
14 bridge lines at two o'clock. So, we will be on break  
15 now for about the next 18 minutes. Please don't wander  
16 too far away so that we can start that on time.

17 (Whereupon, the above-entitled matter went  
18 off the record at 1:42 p.m. and resumed at 2:01 p.m.)

19 CHAIRMAN THOMADSEN: Dr. Daibes, welcome.  
20 And it is good to have another update on the current  
21 status of the Germanium/Gallium-68 Generators.

22 DR. DAIBES: Thank you, Dr. Thomadsen.  
23 First of all, thank you for the opportunity provide you  
24 an update on where you are. Let me start with an  
25 overview of our intent today. I am going to provide you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a brief overview and a very brief background behind  
2 gallium-68, as well as the current status of our  
3 initiatives, what we are intending on doing, our  
4 regulatory option and recommendation as well.

5 I'm going to be very brief on the utility  
6 behind gallium-68. I believe this has been brought up  
7 to the Committee multiple times. So, I am going to be  
8 very, very brief.

9 As we have heard from ACMUI in the past, and  
10 especially Mr. Mattmuller, the advantages of gallium-68  
11 currently are superior to current clinical agents for  
12 neuroendocrine disease, in this case, neuroendocrine  
13 tumors. We understand that gallium-68 PET imaging  
14 provides greater sensitivity and specificity for this  
15 type of disease.

16 Despite being very widely available in  
17 Europe, in the States it is still an investigational new  
18 drug in at least 11 centers around the States.

19 We understand as well as the FDA's review  
20 and application, because they have said so in SNM and  
21 a few other professional meetings. However, we also  
22 understand that they have not acknowledged this, as is  
23 their policy.

24 Facilitating this review is a very vast,  
25 large amount of data and mainly from the research done

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 in Europe. In addition, the FDA has designated this an  
2 orphan drug, which in this case it provides to a sponsor  
3 further support for moving or, in any case, reviewing  
4 this potential agent.

5 So, what is happening is behind this?  
6 Well, basically in order to generate a gallium-labeled  
7 radiopharmaceutical, a site will need a generator, in  
8 this case, a germanium/gallium-68 generator. However,  
9 the gallium-68 produced from this generator is, in its  
10 nature, is a radiochemical and is not a  
11 radiopharmaceutical yet.

12 So, what happens is that this has to -- it  
13 is extracted and it is basically further processed to  
14 generate this gallium-labeled radiopharmaceutical and  
15 the generator itself, it operates very closely or  
16 resembling in a similar manner to a tech-99m generator.  
17 So when you can visualize it, it is something close to  
18 that. At least, based on what we have seen in  
19 professional organization meetings.

20 So, the current status of staff's  
21 initiatives. So the parent radionuclide in this  
22 generator system is germanium-68, which has a half-life  
23 of 270 days because of this specific half-life, which,  
24 in this case, is a very long half-life and the fact that  
25 this is an unsealed radioactive material per 10 CFR

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 30.35, a decommissioning funding plan is needed and it  
2 must be developed in order for a licensee to possess or  
3 be able to possess this generator.

4 What is a DFP? A DFP is a financial  
5 assurance plan that is based on a site-specific cost  
6 estimate for decommissioning the licensed facility.  
7 And this DFP must incorporate every single radionuclide  
8 in the facility.

9 So, why is it that a DFP is needed? Well,  
10 the situation stems from the change to the regulations  
11 in 2005, when the definition of byproduct material was  
12 revised to include accelerator-produced radionuclides,  
13 such as fluorine-18, cobalt-57, and lesser known  
14 radionuclides as germanium-68. During the rulemaking  
15 process, a value for germanium-68 was then added to  
16 Appendix B of 10 CFR 35.30. However, this was a missed  
17 opportunity, since there is no value in 10 CFR Part 30,  
18 Appendix B for germanium-68, the default quantity of ten  
19 millicuries is used. Because if a typical gallium-68  
20 generator contains approximately 50 millicuries of  
21 germanium-68 upon delivery, at least this is what we  
22 heard during the SNM meeting, a DFP requirement is  
23 triggered.

24 We have heard as well, and we have heard  
25 this from multiple attendees at SNM and from ACMUI as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 well, that a DFP may be costly and it may create  
2 limitations to access and this is based on what ACMUI  
3 said in its report.

4 So, our regulatory options. Staff  
5 believes that granting an exemption from their  
6 requirement for a licensee to develop a DFP is justified  
7 in this case and in the best interest of public and  
8 safety. An exception in this case will allow more  
9 access to the gallium-68 radiopharmaceuticals that  
10 could be generated from this generator. An exemption  
11 will be granted to the DFP requirement with a specified  
12 limited scope applicable only to the possession and use  
13 of the germanium/gallium generator and only when we, in  
14 turn, place a guarantee that the generator manufacturer  
15 or distributor will remove the old generator when a new  
16 one is delivered.

17 Staff is developing a plan that will enable  
18 the NRC regions to provide this exemption to licensees  
19 and applicants who request it and provide the  
20 information necessary to ensure that these certain  
21 conditions are in place. If this plan is approved, it  
22 will be allowed for the exemption to be granted in  
23 licensing space, rather than rulemaking space. I need  
24 to make that clear.

25 Staff believe that the plan of action will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be sufficient to ensure public health and safety until  
2 a more permanent regulatory solution is reached through  
3 rulemaking in the near future.

4 So, our recommendation. Staff recommends  
5 that NRC regions be authorized to grant an exemption  
6 from the DFP requirements, when requested under certain  
7 conditions. And if approved, guidance will be  
8 generated providing licensee radiation safety  
9 recommendations for safe generator handling and  
10 concurring to this initiative appropriate generator  
11 communications and outreach activities will be  
12 implemented to inform licensees of special regulatory  
13 requirements associated with this licensing of this  
14 generator.

15 And this is our plan forward to the  
16 committee or what we intend right now to pursue in the  
17 short-term. And we believe this is something more  
18 practical and it will be less time that on direct final  
19 rule when we see it in a time frame or we evaluate it  
20 from that perspective. Questions?

21 CHAIRMAN THOMADSEN: Thank you. Mr.  
22 Costello.

23 MEMBER COSTELLO: Yes, a number of  
24 questions.

25 First of all, with the exemption, exempt

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 licensees from all financial assurance considerations  
2 for the generators. For example, if a licensee has  
3 other materials and the possession of the generator put  
4 them over the amount necessary for a statutory amount  
5 of financial assurance, you know \$300,000 or \$1 million,  
6 or whatever it may be, will the exemption mean they don't  
7 have to consider these things in determining whether  
8 financial assurance is necessary?

9 DR. DAIBES: That will not be the case. We  
10 are currently working on the plan and as soon as we have  
11 it available, we will make that available to the  
12 committee.

13 MEMBER COSTELLO: Because it is not just  
14 DFP.

15 DR. DAIBES: That is correct.

16 MEMBER COSTELLO: It is all of the other  
17 levels of financial assurances. Okay.

18 And the second thing is, and so, yes, I was  
19 hoping for a direct or final rule, but that's okay, most  
20 of these facilities you are talking about are Agreement  
21 State facilities. Right?

22 So, I assume that you are going to be  
23 sending something out to the States encouraging them to  
24 do the same thing. Because in order to really have this  
25 effect like 90 percent of the licensees in the country,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it has to be implemented by the Agreement States and they  
2 would have to be the ones really given the exemptions,  
3 not the regions.

4 DR. DAIBES: This relationship will  
5 definitely consider Agreement States and we are going  
6 to work very closely with them.

7 MEMBER COSTELLO: Thank you.

8 CHAIRMAN THOMADSEN: Other questions?  
9 Dr. Zanzonico.

10 MEMBER ZANZONICO: I have a question that  
11 is somewhat off topic but this concept of a  
12 license-specific exemption seems awfully powerful.  
13 And I know, again, it is off topic but we got into the  
14 issue of the training and experience for radionuclide  
15 therapy and we were told that the change from the  
16 700-hour regulatory requirement would require  
17 rulemaking.

18 Why is that qualitatively different than  
19 this instance? Why not a license-specific -- if one  
20 agreed that 700 hours was not the optimal amount of  
21 training, what would prevent implementing a  
22 license-specific exemption for licensees in that  
23 respect?

24 MR. BOLLOCK: Basically, in this case, what  
25 actually your subcommittee provided was a safety

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 analysis in the previous subcommittee report  
2 teleconference. And that is the other piece. You know  
3 after reviewing I believe Dr. Langhorst came up with it,  
4 after reviewing that, we are still in the process of  
5 getting to this point. But basically that makes sense  
6 showing the safety analysis that is not a safety concern  
7 and that will allow us to -- that basically is a big help  
8 in allowing us to do this, giving guidance to an  
9 exemption because we do have that.

10 So, there is a couple that, Mike, you might  
11 want to add.

12 MR. FULLER: Actually, can you all hear me?  
13 This is Mike Fuller, Team Leader of Medical Radiation  
14 Safety Team. And Doug is correct.

15 Saying it another way is that the hurdle,  
16 the regulatory hurdle for granting an exemption,  
17 whether it be this type of an exemption or something more  
18 general is very, very high. You have to really make the  
19 case that in doing so in no way will public health and  
20 safety be compromised and, as Doug said, the safety and  
21 risk analysis that was done by this body of the  
22 subcommittee and reported out in June -- no -- August  
23 was really the piece that was missing on  
24 germanium/gallium generators and was very, very helpful  
25 to us.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So, we think, we believe at this point, we  
2           still have a ways to go, but we believe, at this point,  
3           that we have what we need to meet that regulatory or to  
4           get over that regulatory hurdle of demonstrating that  
5           this is in the best interest of public health and safety  
6           and in no way will safety be diminished.

7           And so whether or not it would apply in all  
8           cases that is the hurdle that must always be overcome.  
9           And most of the time, that is a very, very difficult  
10          thing to do.

11          MEMBER ZANZONICO: Yes, I understand but  
12          my understanding has been that there was sort of an  
13          absolute distinction between what required rulemaking  
14          from what did not. And it seems that that distinction  
15          is not as absolute as I understood it.

16          MR. BOLLOCK: Yes, to make the long-term  
17          solution to this is rulemaking.

18          MEMBER ZANZONICO: Right but the  
19          short-term solution --

20          MR. BOLLOCK: It is a case-by-case basis.  
21          Now, they would have to, in their license, say that they  
22          are going to, for instance, they get two generators in,  
23          they return it to the vendor who supplied it. Those  
24          things in the license that we can hold them to, hold them  
25          accountable to.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           So, there are a number of things that make  
2           this like I said not really, you know get over that  
3           regulatory hurdle, that it is not a safety issue.

4           In bringing up the training requirements,  
5           right now the training requirements are 700 hours. In  
6           the last case they are 700 hours and we don't have that  
7           analysis to say --

8           MEMBER ZANZONICO: Right but that is not to  
9           say that new charge of the subcommittee, if I understood  
10          correctly, was to address the issue of what was the  
11          adequate training and experience. And presumably a  
12          component of that would be a safety analysis in some  
13          form.

14          So, again, I'm just trying to understand  
15          what -- this seems like a mechanism which would not  
16          require the rule changing, if it were decided, and I'm  
17          not arguing in favor of that but if it were decided that  
18          for radionuclide therapies, like Bexxar and Zevalin,  
19          less than 700 hours was acceptable or would not  
20          compromise public safety, et cetera, et cetera, that  
21          license-specific exemptions could be pursued.

22          MR. BOLLOCK: In just general terms,  
23          exemptions are exactly that, it is an exemption. So,  
24          it would be a case-by-case basis that have to be shown  
25          in each case and this is each license.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   With the germanium, how many licenses do we  
2                   have? This is, just for the licensees, if you limit the  
3                   licensees, they are each going to have to do this, this  
4                   is something that is widespread, every hospital, in the  
5                   case of the training requirements, each one of them  
6                   would have to put in a license exemption. That would  
7                   be up to them. It is an exemption and it is right there.  
8                   It is generally speaking, if shown to get over those  
9                   hurdles, there could be an exemption. That is why we  
10                  have the ability to do that but it is rare, extremely  
11                  rare.

12                 In this case, we believe that ACMUI, that  
13                 you all have shown a lot of good scientific data, all  
14                 reasonable, to show the assurances and it is like Mike  
15                 said, we are not done yet with our evaluation but it  
16                 looks like this is something that we can do to get over  
17                 those hurdles because it is for the good of the public  
18                 without that risk.

19                 CHAIRMAN THOMADSEN: Oh, Mr. Costello.

20                 MEMBER COSTELLO: It is rarely done  
21                 because regulating by exemption isn't a very good idea.  
22                 That is why it is rarely done.

23                 For short-term, I am okay with this,  
24                 although I really, really like the rulemaking because  
25                 the way it is right now, you will have, not counting the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 NRC, 37 different regulators evaluating exemption  
2 requests from more than the licensees. And I am sure  
3 that the NRC, when they come out with the exemption, will  
4 have suggested criteria that the Agreement States will  
5 use but different reviewers look at things differently  
6 and the chance of having lack of uniformity in the  
7 approach that is taken by the 37 Agreement States is  
8 pretty good.

9 And Doug, I agree with you on your question  
10 about the 700 hours and the alpha and beta emitters.  
11 The reason not to do that is regulating by exemption is  
12 a really bad idea. It really is a bad idea. You could  
13 do it in some very limited but it is a slippery slope  
14 because it is a way of avoiding of the rulemaking  
15 process. There is lots of reasons why you don't want  
16 to do it that way.

17 Again, I am okay with doing it -- I am  
18 personally okay with doing it now but it is an addictive  
19 thing you don't want to get used to doing. They say  
20 well, we will just exempt everybody from it and just have  
21 bad rules in the rulebook.

22 So, I encourage the NRC to work with some  
23 vigor and direct a rulemaking and then the 37 Agreement  
24 States won't be fielding these like every other week.

25 CHAIRMAN THOMADSEN: Mr. Bollock.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. BOLLOCK: Thank you. And to address  
2 that, sorry I didn't indicate the end goal is to get this  
3 in the rulemaking. We understand that but that is the  
4 best thing that is the permanent solution so that we are  
5 not continuing for years and years having to do this by  
6 exemption. It is rare.

7 And that is our goal but given the fact that  
8 this is something that is coming out short-term in the  
9 next maybe year or so, it is a way for us to not be a  
10 hindrance when this is for the public good and not a  
11 safety concern.

12 MEMBER COSTELLO: There is a good reason  
13 why it's rare.

14 MR. BOLLOCK: Yes, absolutely. And I  
15 believe Mike, do you want to --

16 MEMBER ZANZONICO: But rare is not never.  
17 To think that making available a treatment for a fatal  
18 disease, if that is not a compelling reason, I don't know  
19 what is.

20 And again, I'm not endorsing that but this  
21 strikes me as a mechanism that become very relevant to  
22 that issue because part of the argument against that was  
23 that it would delay the ongoing rulemaking. And it  
24 seems an option that circumvents that difficulty.

25 MR. BOLLOCK: Right but we don't have the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information to say that it is safe now.

2 MEMBER ZANZONICO: Right, but that is not  
3 to say that the information is going to accruing.

4 MR. BOLLOCK: And also in that case, that  
5 is something has been in practice for ten years. We  
6 know there are authorized users available that could use  
7 it and do use it. The case with the germanium, this is  
8 new here in the U.S., other than basically essentially  
9 research trials, not in use.

10 So, there are some specific differences.  
11 I mean I see your point. I absolutely see your point  
12 but yes, it is a rarity. There are enough differences  
13 here. And again, a lot of what helped us, realizing we  
14 have known all along the only way to change the tables  
15 that were discussed and I know Mr. Mattmuller has  
16 discussed in previous meetings that Part 30 tables would  
17 be -- we have to change them in rulemaking and that is  
18 the final answer.

19 But knowing that could take, even direct  
20 final rule, perhaps a year, there is a lot that factors  
21 into that. And this is just -- so I admit he spoke --  
22 I don't know if it was in the slide, but that is the end  
23 goal is to continue to go more towards rulemaking. But  
24 in the world that we are in right now, I don't know that  
25 we are going to be able to get to step two and do that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 And this would be a separate rulemaking  
2 than the draft final rule we have in place now, just to  
3 be clear.

4 CHAIRMAN THOMADSEN: Dr. Daibes.

5 DR. DAIBES: If I could add, going back to  
6 what Doug was saying, there is a very, very vast body  
7 of data of peer review scientific papers that provide  
8 basis on the efficacy of this radiopharmaceutical. And  
9 we are trying to work and find a pathway that allows  
10 access. We are just simply working with licensees in  
11 finding something that allows immediate access. And  
12 there is quite a bit of data to support that. And your  
13 data or analysis provides even further basis for that.  
14 I believe that is why we have opted to pursue this  
15 option.

16 If you see the regulatory options that the  
17 NRC has in its framework, an exception is one that if  
18 you go to their website you can see this information and  
19 we are simply following the process and seeing what  
20 options we have we are pursuing that and seeing if,  
21 indeed, we can work with licensees and others to make  
22 this available.

23 MEMBER ZANZONICO: I appreciate that and I  
24 don't want to belabor the point but, for example, Dr.  
25 Cultrera quoted data that indicated, for example that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Bexxar is among, if not the most effective single region  
2 treatment for non-Hodgkin's B cell lymphoma. So, the  
3 point is, it sounds like all the conditions that have  
4 been satisfied for the license exemption in the case of  
5 the germanium-68, can potentially be satisfied with  
6 some instance.

7 DR. DAIBES: And I think we differ from  
8 that opinion in this case that we, if I may, like when  
9 we see this from the patient, from the public and safety  
10 perspective and access to a patient, there is a full  
11 spectrum of different aspects that have been evaluated  
12 and we did that. And at least I don't have that  
13 information available based on the presentation today,  
14 so I cannot comment on that specific. But we definitely  
15 did our homework and made sure that we are complying with  
16 what we needed in order to pursue this.

17 MR. BOLLOCK: Right. And in the case, if  
18 I may, based on their case with the Zevalin, they didn't  
19 make the case. They haven't made a strong enough case  
20 to say that the 700 hours or the 80 hours is enough. I  
21 mean we don't know. That is why we are looking forward  
22 to the subcommittee's report on that evaluation and come  
23 spring-time because it is that type of information that  
24 we would need to be able to make a decision based on the  
25 size, based on evaluation to be able to move forward with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 something like that. So, in that case, that is missing.

2 CHAIRMAN THOMADSEN: Mr. Costello.

3 MEMBER COSTELLO: That's why I brought up  
4 regulating by exemption is not a good idea because it  
5 could be very subjective. It is a way of avoiding the  
6 rulemaking process. And there will be many cases on a  
7 case-by-case basis where providing exemptions to  
8 regulations will appear much faster and much more  
9 attractive than following the rulemaking process  
10 because few things are less attractive following the  
11 rulemaking process.

12 One comment on the germanium and the  
13 gallium, as far as the technical basis goes, I think the  
14 fundamental technical basis is that the risk implied on  
15 a Part 30 value of germanium-68 overstates it by a factor  
16 of a thousand because properly, from the safety point  
17 of view, the proper value is in Part 20. It was a  
18 thousand times higher than the value in Part 30.

19 So, I think that I am fine with exempting  
20 it but I think it is not just the fact that this is a  
21 very good treatment but for a risk-based point of view,  
22 you don't require financial assurance of DFPs for the  
23 amount that would be required by the amount that is  
24 currently in Part 30.

25 But I'm agreeing with your terms.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 CHAIRMAN THOMADSEN: Yes, Mr. Mattmuller.

2 MEMBER MATTMULLER: Yes, I was the chair  
3 for this committee and I don't know if when we last spoke  
4 if I took the time to thank my individual committee  
5 members, which I would like to do now.

6 Doctors Langhorst, Palestro, Zanzonico,  
7 and Mr. Costello who helped tremendously in this effort.  
8 So, I am very appreciative of that.

9 Just one slight correction I would like to  
10 make in regards to Said's or Dr. Daibes comments is that  
11 he said that the DFP may restrict the use. And I would  
12 say it already, and I think our report indicated this,  
13 it already has limited the use of this generator.

14 And then a promise to the Committee. As  
15 you all know, I am on the hot seat and I will be gone  
16 by the next meeting. If you can have this done by the  
17 last meeting, I will go very quietly.

18 (Laughter.)

19 CHAIRMAN THOMADSEN: Any other comments?  
20 Well, thank you very much.

21 MS. HOLIDAY: There might be someone on the  
22 phone.

23 CHAIRMAN THOMADSEN: Oh, do we have  
24 somebody on the phone who would like to comment?

25 (No audible response.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN THOMADSEN: Well hearing none --

2 MEMBER COSTELLO: I have one last  
3 question, if I could.

4 CHAIRMAN THOMADSEN: Yes, please.

5 MEMBER COSTELLO: This approach of doing  
6 this by exemption, has this been run by the Office of  
7 General Counsel?

8 DR. DAIBES: I made something very clear  
9 and I said that, if approved, this will be passed by that  
10 office.

11 MEMBER COSTELLO: My experience is that  
12 OGC is often not thrilled with the idea of regulating  
13 by exemption.

14 MR. BOLLOCK: Yes, we agree and we will  
15 have to. But part of it will be us making the best case.  
16 And so we do have a process to send it up to them.

17 CHAIRMAN THOMADSEN: I think on behalf of  
18 the Committee I can express gratitude to the NRC for  
19 picking up this problem and trying to come up with the  
20 most expedient solution as possible.

21 DR. DAIBES: And that is the objective.  
22 Yes, that is the main objective.

23 MR. MAILMAN: This is actually someone on  
24 the phone.

25 CHAIRMAN THOMADSEN: We do! Okay, very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 fine. Please go ahead. Identify yourself first.

2 MR. MAILMAN: Sure. This is Josh Mailman.  
3 I am the President of NorCal CarciNET Community and also  
4 the past Chair of the Society for Nuclear Medicine and  
5 Molecular Imaging Patient Advocacy Advisory Board.  
6 And I would like to thank the Committee and the NRC for  
7 taking this up and making this available or working on  
8 making the availability of the germanium-68 generator  
9 by exemption for the centers that need to use that as  
10 this is a very important upcoming diagnostic test that  
11 will be available for patients in, hopefully, in the not  
12 too distant future.

13 So, I wanted to thank you on behalf of the  
14 patient community.

15 CHAIRMAN THOMADSEN: Thank you for your  
16 comment. We appreciate that.

17 Any other comments from the committee? In  
18 that case, again, thank you. And at this moment we  
19 stand adjourned for the public session.

20 We return here, the Committee does, at  
21 three o'clock for the closed session on training.

22 (Whereupon, the above-entitled matter went  
23 off the record at 2:30 p.m.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701