

# **Official Transcript of Proceedings**

## **NUCLEAR REGULATORY COMMISSION**

Title: Meeting of the Advisory Committee  
on the Medical Uses of Isotopes

Docket Number: (n/a)

Location: Rockville, Maryland

Date: Thursday, October 6, 2016

Work Order No.: NRC-2647

Pages 1-219

NEAL R. GROSS AND CO., INC.  
Court Reporters and Transcribers  
1323 Rhode Island Avenue, N.W.  
Washington, D.C. 20005  
(202) 234-4433

UNITED STATES OF AMERICA  
NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

+ + + + +

FALL 2016 MEETING

+ + + + +

THURSDAY,

OCTOBER 6, 2016

+ + + + +

The meeting was convened in Room T-02B3 of  
Two White Flint North, 11545 Rockville Pike, Rockville,  
Maryland, at 8:00 a.m., Philip O. Alderson, M.D., ACMUI  
Chairman, presiding.

MEMBERS PRESENT:

PHILIP O. ALDERSON, M.D., Chairman

PAT B. ZANZONICO, Ph.D., Vice Chairman

FRANCIS M. COSTELLO\*, Agreement State  
Representative

VASKEN DILSIZIAN, M.D., Nuclear Cardiologist

RONALD D. ENNIS, M.D., Radiation Oncologist

SUSAN M. LANGHORST, Ph.D., Radiation Safety  
Officer

DARLENE F. METTER, M.D., Diagnostic Radiologist

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

MICHAEL D. O'HARA, Ph.D., FDA Representative  
CHRISTOPHER J. PALESTRO, M.D., Nuclear Medicine  
Physician

JOHN H. SUH, M.D., Radiation Oncologist

LAURA M. WEIL, Patients' Rights Advocate

NON-VOTING: RICHARD GREEN

NON-VOTING: ZOUBIR OUHIB\*

\*via telephone

NRC STAFF PRESENT:

DANIEL COLLINS, Director, Division of Material  
Safety, State, Tribal and Rulemaking Programs  
PAMELA HENDERSON, Deputy Director, Division of  
Material Safety, State, Tribal and Rulemaking  
Programs (MSTR)

DOUGLAS BOLLOCK, ACMUI Designated Federal  
Officer

SOPHIE HOLIDAY, ACMUI Alternate Designated  
Federal Officer and ACMUI Coordinator

MARYANN ABOGUNDE, NMSS/MSTR/MSEB

SAID DAIBES, Ph.D., NMSS/MSTR/MSEB

CLIFF DOUTT, NRR/DLR/RASB

MICHAEL FULLER, NMSS/MSTR/MSEB

VINCENT HOLAHAN, Ph.D., NMSS/MSTR

ESTHER HOUSEMAN, OGC/GCLR/RMR

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

DONNA-BETH HOWE, Ph.D., NMSS/MSTR/MSEB

ANGELA MCINTOSH, NMSS/MSTR/MSEB

GRETCHEN RIVERA-CAPELLA, NMSS/MSTR/MSEB

REANN SHANE, COMM/OCMJB

MICHELLE SMETHERS, NMSS/MSTR/MSEB

KATHERINE TAPP, Ph.D., NMSS/MSTR/MSEB

TORRE TAYLOR, NMSS/MSTR/RPMB

JENNY WEIL, OCA

MEMBERS OF THE PUBLIC PRESENT:

BETTE BLANKENSHIP, American Association of  
Physicists in Medicine (AAPM)

SUE BUNNING, Society of Nuclear Medicine and  
Molecular Imaging (SNMMI)

ASHLEY COCKERHAM, Sirtex

ROBERT DANSEREAU, New York State Department of  
Health

WILLIAM DAVIDSON, University of Pennsylvania

ADAM DICKER, American Society for Radiation  
Oncology (ASTRO)

JENNIFER ELEE, Conference of Radiation Control  
Program Directors (CRCPD)

LYNNE FAIROBENT, American Association of  
Physicists in Medicine (AAPM)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

SANDRA GABRIEL, International Atomic Energy  
Agency

KSENIJA KAPETANOVIC, American Society for  
Radiation Oncology (ASTRO)

CAITLIN KUBLER, Society of Nuclear Medicine and  
Molecular Imaging (SNMMI)

JOSEPH MACE, Florida Cancer Specialists

RICHARD MARTIN, American Association of  
Physicists in Medicine (AAPM)

SHAHIN NASSIR KHANI, Walter Reed National  
Military Medical Center

ERIC PERRY, Kentucky Department for Public  
Health

MICHAEL PETERS, American College of Radiology

KAREN SHEEHAN, Fox Chase Cancer Center

MICHAEL SHEETZ, University of Pittsburgh

DAVID SMITH, Medstar Georgetown University  
Hospital

BRUCE THOMADSEN, Center for the Assessment of  
Radiological Sciences (CARS)

CINDY TOMLINSON, American Society for Radiation  
Oncology

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## TABLE OF CONTENTS

Opening Remarks.....	6
Old Business.....	15
Open Forum.....	27
Event Reporting Mechanisms, ASTRO/AAPM, CARS, CRCPD, IAEA.....	44
Medical Events Subcommittee Report.....	100
Medical Event Reporting for All Modalities Excluding Permanent Implant Brachytherapy.....	145
10 CFR Part 35 Rulemaking Update.....	183
NRC Comments on "Patient Intervention".....	192
Adjourn.....	220

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## P R O C E E D I N G S

8:07 a.m.

MR. BOLLOCK: Good morning, everyone.

We'll begin now that we have everybody seated. As the Designated Federal Officer for this meeting, I'm pleased to welcome you to this fall meeting of the Advisory Committee on Medical Uses of Isotopes. My name is Doug Bollock. I'm the Branch Chief of the Medical Safety and Events Assessment Branch and I've been designated as the Federal Officer for this Advisory Committee in accordance with 10 CFR Part 7.11.

Present today is the Alternate Designated Federal Officer, Sophie Holiday, our ACMUI coordinator.

This announced meeting of the committee is being held in accordance with the rules and regulations of the Federal Advisory Committee Act and the Nuclear Regulatory Commission.

This meeting is being transcribed by the NRC and it may also be transcribed and reported by others. This meeting was announced in the August 3, 2016 edition of the Federal Register, Volume 81, page 51216 through 51217.

The purpose of the committee is to advise the staff on issues and questions that arise in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 medical use of by-product material. The committee  
2 provides counsel to the staff, but does not determine  
3 or direct the actual decisions of the staff or the  
4 Commission. The NRC solicits the views of the  
5 committee and values their opinions.

6 I request that whenever possible we try to  
7 reach consensus on the various issues that will be  
8 discussed today, but I also recognize there may be  
9 minority or dissenting opinions. If you have such  
10 opinions, please allow them to be read into the record.

11 At this point, I'd like to perform roll  
12 call of the ACMUI members participating today. Dr.  
13 Phil Alderson, Chair.

14 CHAIRMAN ALDERSON: Here.

15 MR. BOLLOCK: Thank you. Dr. Pat  
16 Zanzonico.

17 VICE CHAIR ZANZONICO: Here.

18 MR. BOLLOCK: Thank you. Mr. Frank  
19 Costello, are you joining us via the phone?

20 MEMBER COSTELLO: I am here now.

21 MR. BOLLOCK: Thank you, Frank. Dr.  
22 Vasken Dilsizian.

23 MEMBER DILSIZIAN: Here.

24 MR. BOLLOCK: Thank you. Dr. Ronald  
25 Ennis.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 MEMBER ENNIS: Here.

2 MR. BOLLOCK: Thank you. Dr. Sue  
3 Langhorst.

4 MEMBER LANGHORST: Here.

5 MR. BOLLOCK: Thank you. Dr. Darlene  
6 Metter.

7 MEMBER METTER: Here.

8 MR. BOLLOCK: Thank you. Dr. Michael  
9 O'Hara.

10 MEMBER O'HARA: Here.

11 MR. BOLLOCK: Thank you. Dr. Christopher  
12 Palestro.

13 MEMBER PALESTRO: Here.

14 MR. BOLLOCK: Thank you. Dr. John Suh.

15 MEMBER SUH: Here.

16 MR. BOLLOCK: Thank you. And Ms. Laura  
17 Weil.

18 MEMBER WEIL: Here.

19 MR. BOLLOCK: Thank you. Also at the  
20 table we have Mr. Richard Green.

21 MR. GREEN: Here.

22 MR. BOLLOCK: Thank you. And on the phone  
23 we may have Mr. Zoubir Ouhib joining us. Zoubir, have  
24 you joined us on the phone? Unfortunately, Mr. Ouhib  
25 is in Florida, so we may or may not hear from him given

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the current situation in Florida.

2 Mr. Zoubir Ouhib has been selected as the  
3 ACMUI Therapy Medical Physicist and Mr. Richard Green  
4 has been selected as ACMUI Nuclear Pharmacist. Both  
5 Mr. Ouhib and Mr. Green are pending security  
6 clearances, but may participate in the meeting.  
7 However, they do not have voting rights.

8 I'd also like to add that this meeting is  
9 being webcast, so other individuals may be watching on  
10 line. We have a bridge line available and that phone  
11 number is 888-831-8979. The pass code to access the  
12 bridge line is 9959317 followed by the # sign.

13 Individuals who would like to ask a  
14 question or make a comment regarding a specific issue  
15 the committee has discussed, should request permission  
16 to be recognized by the ACMUI Chairperson, Dr. Philip  
17 Alderson. Dr. Alderson, at his option, may entertain  
18 comments or questions from members of the public who  
19 are participating with us today. Comments and  
20 questions are usually addressed by the committee near  
21 the end of the presentation after the committee has  
22 fully discussed the topic.

23 We ask that one person speak at a time as  
24 this meeting is being closed captioned. I would also  
25 like to add that the handouts and agenda for this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 meeting are available on the NRC's public website.

2 At this time, I ask that everyone who is  
3 on the call who is not speaking to place their phones  
4 on mute. If you don't have the capability to mute your  
5 phone, please press \*6 to utilize the conference line,  
6 mute, and un-mute functions.

7 At this point, I'd like to turn the meeting  
8 over to Mr. Dan Collins, Director of the Division of  
9 the Material Safety, State, Tribal and Rulemaking  
10 Programs for some opening remarks.

11 MR. COLLINS: Thank you, Doug.  
12 Hopefully, everybody can hear me. Thank you to all the  
13 committee members for your time and for traveling out  
14 here to attend this meeting. You've got a couple of  
15 very important topics on the agenda today. I'll talk  
16 a little bit more about it in a minute, but just by way  
17 of some general information for you, since the last time  
18 you met in the spring, the Office of NMSS has a new  
19 Office Director. Mr. Marc Dapas reported to that  
20 position in late July. Mr. Dapas has extensive  
21 experience in power reactor regulation, security and  
22 in nuclear materials. He was formerly -- his last job  
23 he was the Regional Administrator for NRC Region IV out  
24 of Dallas. Prior to that he was the Deputy Office  
25 Director in the Office of Nuclear Security and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Response. Prior to that he was the Deputy Regional  
2 Administrator in Region I and prior to that he was the  
3 Division Director for the Division of Nuclear Material  
4 Safety in Region III. So he's brought experience,  
5 familiar with both the medical and commercial and  
6 industrial applications of nuclear materials from both  
7 the safety and security viewpoint. So he's no stranger  
8 to the topics that we're going to be discussing today.  
9 And it's nice to have him. So I just wanted to let you  
10 know that.

11 And then secondly, just kind of if you're  
12 following the press, you may be aware that security of  
13 radioactive materials is in the press again. There was  
14 a GAO report issued in mid-July which covered another  
15 undercover operation that they had conducted in 2015  
16 in which they had posed as a fictitious company and  
17 submitted applications to two Agreement States and one  
18 NRC region for license to obtain a Category 3 well  
19 logging source. In two of the attempts they were  
20 unsuccessful in obtaining a license that was from one  
21 Agreement State and from the NRC region that they  
22 applied to. In the third case, another Agreement  
23 State, they were successful in obtaining a license.  
24 They then used that license and got a commitment from  
25 a source supplier to provide a Category 3 well logging

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 source. After they had that commitment, they modified  
2 a copy of the license to change the source, make, and  
3 model number that they were approved for and were able  
4 to get a commitment from another supplier for another  
5 Category 3 source.

6 In total, they never did take possession  
7 of the material, but if they had, the two sources that  
8 they would have obtained would have aggregated to a  
9 Category 2 quantity of material. So NRC has been  
10 working diligently over the past almost year now to take  
11 a look at the vulnerabilities that were exposed by that  
12 undercover operation, both in terms of our licensing  
13 process, but also looking at ways to address the  
14 accountability aspect of Category 3.

15 We're expecting to get some further  
16 direction from the Commission to direct the staff to  
17 do a further reassessment of our regulatory  
18 infrastructure for accountability for Category 3  
19 sources. So that's just going to continue to be  
20 something that we're working on.

21 And we do have a Commission meeting coming  
22 up on October 27th, that's going to be talking about  
23 the staff's assessment of the adequacy of 10 CFR Part  
24 37 which is security for Category 1 and 2 quantities  
25 of material but there's some overlap with the security

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 for Category 3 sources. So something that's still in  
2 the works and that you should probably be aware of.

3 Moving on into the ACMUI specific topics,  
4 we did send the Part 35 rule up to the Commission in  
5 June. And so that's still under Commission  
6 consideration. We don't have a direction or decision  
7 from the Commission yet.

8 Towards the end of June, on June 24th,  
9 ACMUI held a teleconference to discuss the draft ACMUI  
10 Subcommittee report for revisions to the radioactive  
11 seed localization guidance and the committee did  
12 unanimously approve the report at the June meeting.  
13 That guidance has not been issued yet. It's with OMB  
14 right now for a Congressional Review Act review.

15 During that same teleconference, we also  
16 heard a presentation from NRC staff regarding the  
17 potential rulemaking to expand the financial assurance  
18 requirements to include Category 1 and 2 radioactive  
19 sealed sources tracked in the national source tracking  
20 system. There's a staff recommendation that just went  
21 up to the Commission last week related to that. So we  
22 don't have a decision from the Commission yet.

23 ACMUI held a teleconference in August on  
24 August 10th to discuss the subcommittee's report and  
25 comments on the draft ACMUI germanium-68/gallium-68

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 generator licensing guidance. The staff issued the  
2 licensing guidance last week on September 28th and so  
3 we thank the working group or actually the working group  
4 thanks ACMUI rather for their review and comments on  
5 the draft guidance. And Dr. Tapp will be discussing  
6 this more tomorrow morning in her presentation.

7 On July 29th of this year, a memorandum was  
8 provided to the U.S. Nuclear Regulatory Commission  
9 Regional Administrators that delegate to them the  
10 authority to grant specific license exemptions from the  
11 decommissioning funding plan requirements for medical  
12 germanium-68 and gallium-68 generators and we'll also  
13 hear more on that tomorrow from Dr. Daibes.

14 Tomorrow, we'll also hear a presentation  
15 from Dr. Palestro related to his subcommittee's efforts  
16 and possible revisions to the training and experience  
17 requirements for all modalities under 10 CFR Part 35.  
18 And immediately following his presentation, we'll hear  
19 a presentation from Spectrum Pharmaceuticals regarding  
20 the training and experience requirements related to  
21 authorized users of alpha and beta emitters.

22 Dr. Dilsizian will give a presentation on  
23 ACMUI's comments on the draft RadioGenix, moly-99,  
24 technetium-99 generator system, which I guess is the  
25 NorthStar Medical Radioisotopes LLC, 10 CFR 35.1000

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       licensing guidance.

2                   And Dr. Metter, we're looking forward to  
3       your presentation on ACMUI's comments on the draft  
4       revision 10 of the NRC licensing guidance on yttrium-90  
5       microsphere, brachytherapy sources and devices.

6                   And lastly, I'd like to mention that while  
7       Dr. Langhorst is not scheduled to rotate off of ACMUI  
8       until September of next year, the solicitation for  
9       nominations for the Radiation Safety Officer position  
10      on ACMUI was published in the Federal Register on August  
11      30, 2016 and any nominations are due by the end of  
12      October, October 31st of this year. And with that, Dr.  
13      Alderson, I'll turn the meeting back over to you.

14                   CHAIRMAN ALDERSON: Thank you very much.  
15      I think we're ready to move to old business and Michelle  
16      Smethers who has joined the group will speak to us.

17                   MS. SMETHERS: Good morning. It's nice  
18      to be here with you today. I'm new to this, so I'm still  
19      learning how to use the microphone, but I am Michelle  
20      Smethers and it's good to be here with you today.

21                   The next portion of our agenda that I will  
22      go over is a familiar piece that we do at each meeting.  
23      At every meeting we go over old business which recapped  
24      all of the recommendations and actions put forth by the  
25      committee and/or staff and noting any changes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 With that said, much of what you heard  
2 today will be very similar to our previous meeting in  
3 March.

4 So getting started, for the 2007 chart, all  
5 of the items that are listed as open are included in  
6 the current Part 35 rulemaking and open and delayed  
7 means they will be considered in future rulemaking.

8 Sophie is going to have to help me out with  
9 moving these forward.

10 Moving on to 2008, again all of these items  
11 that are listed as open are included in the current Part  
12 35 rulemaking and again open and delayed means they will  
13 be considered in future rulemaking.

14 For 2009, we have two items on the chart,  
15 and again both of these items are also included in the  
16 current Part 35 rulemaking.

17 Moving to 2010, oh, there is no 2010.  
18 Please note then that in 2010 -- please note that 2010  
19 is not included because all recommendations and actions  
20 were closed previously.

21 Continuing on to 2011, items 11, 13, 14,  
22 and 15 are included in the current Part 35 rulemaking.  
23 Going back to item 1, item 1 and 16 had to do with the  
24 patient release criteria. Both these are pending  
25 because there are two patient release efforts going on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 at the NRC, one in the Office of Research and one in  
2 the Office of Nuclear Material Safety and Safeguards.

3 Moving to the end for item 32, Dr. Oxenberg  
4 will provide an update tomorrow morning on the proposed  
5 revision to the Abnormal Occurrence Criteria Policy  
6 Statement.

7 We did go over item 6, the last one is the  
8 indefinite open action item for the committee to review  
9 its reporting structure on an annual basis. The next  
10 review of this item will be next spring meeting.

11 Moving on to 2012, all items for 2012 were  
12 closed or addressed in the March 2016 spring meeting.

13 So moving on to 2013, items 1 through 13  
14 are part of the current Part 35 rulemaking. Item 21  
15 pertains to the Germanium-68/Gallium-68 generator  
16 where the ACMUI recommended relief from the  
17 decommissioning funding plan requirements. Staff  
18 issued a memorandum to the NRC regions in July 2016 that  
19 grants them the authority to issue licensing exemptions  
20 from the decommissioning funding plan. Requirements  
21 for Germanium-68 provided that certain conditions are  
22 met. You will hear from Dr. Daibes regarding this  
23 topic tomorrow.

24 I would like to make a motion to close this  
25 item since staff issued the exemption memo in July 2016.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: A motion has been  
2 made. Is there further discussion?

3 MEMBER LANGHORST: Don't we have to make  
4 a motion?

5 CHAIRMAN ALDERSON: Do we have to make the  
6 motion?

7 MS. SMETHERS: I request that we make a  
8 motion.

9 MEMBER LANGHORST: I'll so move. Sue  
10 Langhorst.

11 CHAIRMAN ALDERSON: Is there a second?  
12 All in favor. Opposed. Abstentions.

13 (Committee votes.)

14 That passes unanimously.

15 Back to you.

16 MS. SMETHERS: Thank you. Please note  
17 that item 25 will be removed after this meeting, since  
18 it was closed last meeting and NRC staff sent up the  
19 rule to the Commission for votes.

20 We'll move on to 2014. Again, item 6  
21 pertains to the same Germanium-68/Gallium-68 topic.  
22 Again, I would like to request that we make a motion  
23 to close this item since staff issued the  
24 decommissioning funding plan exemption memo in July  
25 2016.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER LANGHORST: Sue Langhorst, I so  
2 move.

3 CHAIRMAN ALDERSON: Second. Further  
4 discussion? Hearing none, all in favor? Any opposed?  
5 Any abstained?

6 (Committee votes.)

7 None, passes unanimously. Back to you.

8 MS. SMETHERS: Thank you. This now  
9 closes the 2014 recommendation and action chart.

10 Moving on to 2015, item 7 is still listed  
11 as open as we are waiting on staff's review and  
12 evaluation to revise the NRC's Abnormal Occurrence  
13 Criteria Policy Statement. You will hear more on this  
14 from Dr. Oxenberg.

15 For items 12 through 15, we will hear a  
16 presentation later today from Mr. Fuller in response  
17 to the committee's remarks on the term "patient  
18 intervention."

19 For item 18, item 18 deals with the  
20 comments and recommendations provided by the  
21 Radioactive Seed Localization Subcommittee. The  
22 ACMUI recommended that the individual who implants the  
23 source for radioactive seed localization procedures  
24 can do so under the supervision of an authorized user.  
25 Staff accepted this recommendation in its revision of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the radioactive seed localization guidance. However,  
2 this is not final yet and is pending congressional  
3 review.

4 For item 22, like item 7, item 22 has to  
5 do with the NRC's Abnormal Occurrence Criteria Policy  
6 Statement. As I mentioned earlier, we will hear a  
7 presentation from Dr. Oxenberg tomorrow regarding an  
8 update on the Abnormal Occurrence Criteria Policy  
9 Statement.

10 Yes?

11 MEMBER LANGHORST: You said on item 18 it  
12 was awaiting congressional review and do you mean  
13 Commission review?

14 MR. BOLLOCK: No, it's Congressional  
15 Review Act review.

16 MEMBER LANGHORST: Oh, okay. I wondered  
17 why Congress was going to review it. Okay.

18 MR. BOLLOCK: No, and Dan spoke on that  
19 one. OMB is reviewing for Congressional Review Act.  
20 So if they make a determination that it needs to be  
21 reviewed by Congress, then it will go to Congress.

22 MEMBER LANGHORST: Got it. Thank you.

23 MR. BOLLOCK: We don't foresee that.

24 MEMBER LANGHORST: Thank you.

25 MS. SMETHERS: Thank you, Dr. Langhorst.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Okay. Item 23, is that where we were? Okay. Item 23,  
2 the ACMUI endorsed the NUREG-1556, Volume 9  
3 Subcommittee Report. We have left this item open  
4 because as you are aware, the NUREG-1556, Volume 9 has  
5 not been finalized yet. I was notified by Dr. Tapp that  
6 they will let the ACMUI know when it is issued for public  
7 comment.

8 Moving on to 2016. Items 1 through 15 all  
9 deal with the Part 35 Rulemaking Subcommittee Report  
10 that had the recommendation related to the draft final  
11 rule. Staff transmitted a response memorandum to the  
12 ACMUI on August 2, 2016 which conveys staff's reasons  
13 for partially accepting or not accepting the  
14 committee's recommendation. The Part 35 rulemaking  
15 package is sitting with the Commission for vote as Dan  
16 mentioned. We'll hear an update later today from Ms.  
17 Torre Taylor.

18 Item 16, for item 16, we will hear from Dr.  
19 Palestro tomorrow for an update on the work done by the  
20 new Training and Experience for All Modalities  
21 Subcommittee.

22 Item 17 through 19 are closed, but remain  
23 on the list pending recommendations from the Training  
24 and Experience for All Modalities Subcommittee.

25 Item 20 is the commitment by the NRC to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 provide data to the ACMUI for medical events reported  
2 over a five-year span for trending purposes. Ms.  
3 Holiday provided this data to the ACMUI for the time  
4 period of fiscal years 2010 through 2015 on October 3,  
5 2016. Consequently, I would like to request that this  
6 action be closed.

7 CHAIRMAN ALDERSON: Is there a motion to  
8 close this out?

9 VICE CHAIR ZANZONICO: So moved.

10 CHAIRMAN ALDERSON: And second?

11 MEMBER LANGHORST: Second.

12 CHAIRMAN ALDERSON: Further discussion?  
13 Hearing none, all in favor. Any abstaining? Any  
14 opposed?

15 (Committee votes.)

16 Hearing none, unanimously approved.

17 MS. SMETHERS: Thank you. And please  
18 note going forward, staff will continue adding to the  
19 list provided by Ms. Holiday.

20 CHAIRMAN ALDERSON: I would just like to  
21 make a comment that I thought the summary was excellent.  
22 It was clear. It really summarized the trends very  
23 well, so thank you to Ms. Holiday for providing this  
24 information.

25 MS. SMETHERS: Thank you. Item 21

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       pertains to the formation of the Medical Event  
2       Reporting and Impact on Safety Culture Subcommittee.  
3       The subcommittee will report at the Spring 2017  
4       meeting.

5               For item 22, item 22 is an NRC action to  
6       provide the draft final 35.1000 licensing guidance for  
7       the Leksell Gamma Knife Perfexion and Leksell Gamma  
8       Knife Icon to the committee. Ms. Holiday provided the  
9       draft final guidance to the ACMUI on April 19, 2016.  
10      The final guidance was issued on May 25, 2016. And  
11      consequently, I would like to request that this action  
12      item be closed.

13              CHAIRMAN ALDERSON: Would someone like to  
14      move in that regard?

15              MEMBER LANGHORST: So moved.

16              CHAIRMAN ALDERSON: Second. Discussion?  
17      Hearing none, all in favor. Opposed? Abstained?

18              (Committee votes.)

19              It unanimously passed. Thank you.

20              MS. SMETHERS: Thank you. Item 23 was an  
21      NRC action to provide the ACMUI with the total number  
22      of medical use licensees within the United States. Ms.  
23      Holiday provided the requested information on March 18,  
24      2016. Again, I would like to request that this item  
25      be closed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 VICE CHAIR ZANZONICO: So moved.

2 CHAIRMAN ALDERSON: And a second?

3 MEMBER DILSIZIAN: Second.

4 CHAIRMAN ALDERSON: Discussion? All in  
5 favor? Opposed? Abstaining?

6 (Committee votes.)

7 It passes unanimously. Thank you.

8 MS. SMETHERS: Thank you. Item 24 was an  
9 ACMUI recommendation to reach out to professional  
10 organizations to encourage interactions and  
11 communications between these organizations, the NRC,  
12 and the ACMUI. We will hear a presentation from Dr.  
13 Alderson tomorrow reporting on these outreach efforts  
14 by the ACMUI.

15 Would you like to close this item at this  
16 time or keep it on the list for now?

17 CHAIRMAN ALDERSON: I'd like to keep it on  
18 the list.

19 MS. SMETHERS: Okay. Thank you. Item 25  
20 was an ACMUI action to hold the Fall 2016 ACMUI meeting.  
21 And since we are all here today and we are holding this  
22 meeting today and tomorrow, October 6th and 7th, I would  
23 like to request that we close this item.

24 CHAIRMAN ALDERSON: Yes, and I assume  
25 since you're all here, one of you who is here can make

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 such a motion.

2 MEMBER METTER: So moved.

3 MEMBER DILSIZIAN: Second.

4 CHAIRMAN ALDERSON: All in favor? None  
5 opposed or abstaining.

6 (Committee votes.)

7 Please carry on.

8 MS. SMETHERS: Thank you. For items 26  
9 through 30, management approved the radioactive seed  
10 localization guidance in August 2016, but it is pending  
11 a review against the Congressional Review Act. Once  
12 OMB has made the determination that the guidance is not  
13 considered a major rule, it will be distributed to the  
14 regions, Agreement States, and ACMUI. Staff will also  
15 post it on the medical tool kit and send an announcement  
16 out on the medical list serve.

17 For items 31 through 37, staff issued the  
18 Germanium-68/Gallium-68 Eckert & Ziegler GalliaPharm  
19 guidance on September 28, 2016. Dr. Tapp will discuss  
20 this further on Friday.

21 This concludes my portion of old business.  
22 Are there any questions or comments?

23 CHAIRMAN ALDERSON: Dr. Langhorst?

24 MEMBER LANGHORST: Yes. Some things were  
25 in red, some things in black and I was confused whether

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it had any meaning at all.

2 MS. SMETHERS: I asked about this as well  
3 because I worked on this with Sophie Holiday and she  
4 said that red items are things that have changed since  
5 last meeting. Is that correct? Do you want to add  
6 anything to that, Sophie?

7 MS. HOLIDAY: That is correct. So red  
8 text indicates anything, any actions that may have  
9 changed whether we accepted, did not accept, if an  
10 action was closed or moved to open and delayed.

11 MEMBER LANGHORST: So the closed items  
12 were closed last time, but they remain on the list  
13 because they're black.

14 MS. HOLIDAY: They may have been closed  
15 last time or an action may have occurred between the  
16 March meeting and this meeting that would have resulted  
17 in a closed action.

18 MEMBER LANGHORST: Okay. And I wanted to  
19 make one comment. I really appreciate the size of the  
20 font because it used to be so teeny tiny to read and  
21 I really thank you.

22 MS. SMETHERS: That was all Sophie.

23 MS. HOLIDAY: If I may before we adjourn  
24 from the old business portion, it did not make it as  
25 a change on the old business chart at the time because

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 these were printed September 16th, but as Ms. Smethers  
2 indicated, the Germanium/Gallium-68 GalliaPharm  
3 Eckert & Ziegler generator licensing guidance was  
4 issued on September 28th. I would like to request if  
5 the ACMUI would like to make a motion to close those  
6 items on the list related to the Germanium/Gallium-68  
7 Subcommittee's recommendation.

8 CHAIRMAN ALDERSON: Is there a motion to  
9 that effect?

10 MEMBER LANGHORST: So moved.

11 CHAIRMAN ALDERSON: And second?

12 MEMBER COSTELLO: Second.

13 CHAIRMAN ALDERSON: Further discussion?  
14 Hearing none, all in favor? Opposed? Abstaining?

15 (Committee votes.)

16 It passes. Thank you. Thank you, Ms.  
17 Smethers for your report.

18 MS. SMETHERS: Thank you.

19 CHAIRMAN ALDERSON: This moves us to the  
20 open forum where the floor is open for the ACMUI to  
21 identify medical topics of interest for further  
22 discussion. Is there any -- yes?

23 MEMBER LANGHORST: Sue Langhorst, yes,  
24 thank you. I just wanted to echo Mr. Collins' comments  
25 on the Category 3 sources. This is something that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 medical licensees have to keep a close eye on because  
2 this will impact the need to track more sources. And  
3 Senator Schumer has sent a letter to the Chairman  
4 calling for a ban on licensing any new Category 3  
5 sources. I mean so the Commission has a lot of pressure  
6 on it in regard to this.

7 Right now, the discussion is tracking  
8 those sources in the National Source Tracking System,  
9 the NSTS. It hasn't been mentioned about putting it  
10 into Part 37 where you have to have additional security.  
11 What that impacts for medical licensees is primarily  
12 the HDR sources in radiation oncology. It would be a  
13 lot more effort in the security end of things.

14 Now all of the ploys to get licensing have  
15 been for industrial gauges for those -- oh, gosh -- help  
16 me with that, what's the licensees -- they're the --  
17 yes, the well loggers and so on. There's a lot more  
18 effort to get a medical clinic up and running and I don't  
19 think a storefront would pass as a medical clinic. But  
20 still, this has big impact. So I just -- I think that's  
21 something that we need to pay close attention to and  
22 know what's happening in the NRC world about this topic.

23 CHAIRMAN ALDERSON: Good. Other  
24 comments on that? I think, yes, Ron Ennis.

25 MEMBER ENNIS: We may have addressed this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a little bit the last time, but there are efforts in  
2 other parts of the Federal Government, like the  
3 Department of Energy.

4 I think we may have touched on this a little  
5 last time, but there is an effort underway in the  
6 Department of Energy to also introduce a variety of  
7 regulations about radioactive sources for security  
8 concerns, but I and other medical specialists are quite  
9 concerned about the impact it might have particularly  
10 in the area of oncology and Gamma Knife and HDR, but  
11 depending on where the line is drawn and a concern that  
12 it could not make it illegal to have such sources, but  
13 create such barriers as to essentially de facto remove  
14 those sources from medical practice. It's well-known  
15 to this subcommittee that this committee that these are  
16 providing really critical treatments for people and I  
17 think we need to be aware of it and maybe we need to  
18 express something as a committee about our concern on  
19 the potential impact on cancer care.

20 CHAIRMAN ALDERSON: Thank you, Dr. Ennis.  
21 Would anyone like to follow up that comment or have  
22 another comment in that regard?

23 John Suh.

24 MEMBER SUH: I also just want to echo what  
25 Ron just mentioned. The modalities that we use for a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 high dose rate brachytherapy as well as gamma knife  
2 radiosurgery are really important and proper treatment  
3 of cancer patients for a lot of different diseases. So  
4 I also think it's important as a subcommittee we follow  
5 this very carefully because I believe it would be  
6 detrimental to patient care if those modalities were  
7 very difficult to utilize because of regulations.

8 CHAIRMAN ALDERSON: Those are excellent  
9 comments. I'd like to keep those in mind. I don't  
10 think we're going to stop right now and form a new ad  
11 hoc subcommittee to look at this, but it is an issue  
12 that we could consider in the future if this continues  
13 to be out there.

14 I also appreciate Dr. Langhorst bringing  
15 it forward because I think if you can go back to when  
16 I started on the committee, I asked about the security  
17 of various radiation sources used in medicine and with  
18 the suggestion that this committee know more about  
19 them, be informed, be talking about and engaged in that  
20 issue. So I think with this further interest now that  
21 we may wish to do so.

22 There's a comment here in the room, yes?

23 MS. FAIROBENT: Thank you, Dr. Alderson.  
24 Lynne Fairobent with the American Association of  
25 Physicists in Medicine. I just wanted to mention that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the community at large is very much actively involved  
2 in this issue and has created a new group on source  
3 security working group with many organizations that are  
4 members of that. There is a 12 member steering  
5 committee and AAPM is one of the steering committee  
6 members.

7 We have been on the Hill recently and we'll  
8 continue to do so in an education and outreach to Hill  
9 staffers. It was mentioned that there are or have been  
10 pieces of legislation that have been promulgated and  
11 we have been working actively to keep them from going  
12 forward, but also should something go forward to have  
13 it be appropriately reflected.

14 But I also did want to mention as a take-off  
15 on the press articles that was written, I don't know  
16 how many of you watch TV, and this goes to Sue's comments  
17 that -- and I totally agree -- that we've been  
18 fortunate, it's a little harder to stand up a storefront  
19 hospital or medical facility than it is a storefront  
20 licensee to receive industrial sources. But NCIS LA,  
21 their opening season issue this year involved the theft  
22 of several cesium chloride blood irradiators for dirty  
23 bombs. If you have not seen that episode, you might  
24 want to look at it. All of this publicity does not help  
25 our case.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 I firmly believe, as does AAPM, that we are  
2 using radioactive materials safely and securely in  
3 medical applications and that they are actually  
4 critical to patient care in the overall scheme of  
5 things. But we cannot afford to lower our guard, shall  
6 we say, on watching what is going on and being proactive  
7 and not simply reactive every time an article appears  
8 in the paper. Thank you, Dr. Alderson.

9 CHAIRMAN ALDERSON: Thank you, Ms.  
10 Fairbent. I think that that was an excellent comment  
11 and I think overall we've got to establish a balance  
12 in the committee to look for that balance between  
13 availability for medical needs and safety and security  
14 for the nation. So we'll clearly be revisiting this  
15 topic.

16 Any other comments at this time? All  
17 right. We'll move on then, if there's no other  
18 comments. This is still the open forum.

19 Yes, Pat Zanzonico.

20 VICE CHAIR ZANZONICO: Yes, there's two  
21 issues I'd like to bring to the committee's attention  
22 for those of you who may not be aware of it, but recently  
23 the Nuclear Medicine Technology Certification Board,  
24 which is the national board which professionally  
25 certifies nuclear medicine technologists, are creating

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a special competency area in radiation safety and it  
2 will have a certifying exam.

3 And I was recently at their meeting  
4 formulating the curriculum and drafting questions for  
5 that exam. And the intent is not to have any regulatory  
6 significance attached to this new certification.  
7 Their intention is not to create a subcategory of  
8 technologists, RSOs, so to speak. That's what they're  
9 saying publicly. I frankly find it hard to believe  
10 that that's not the ultimate intent, but their public  
11 stance is that that's not the intent.

12 What it is designed to do is recognize the  
13 reality, frankly, that in small practices and in  
14 private offices often it is the nuclear medicine  
15 technologist who performs the radiation safety tasks,  
16 wipe tests, so forth and so on. Of course, under the  
17 direction of typically the AU RSO and the thinking is  
18 that a technologist who had the certification would be  
19 more employable by having demonstrated expertise in  
20 this area, again, not to large hospitals, not to major  
21 medical centers where there's a radiation safety staff,  
22 but again, rather to private practices, small offices,  
23 even small community hospitals where there may not be  
24 that sort of support.

25 And as I said, it's the medical AU who is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 listed as the RSO and so they would have to document  
2 this competency. And it would not just be for  
3 radioactive materials. It extends into radiation  
4 safety generally for radiographic sources, so forth and  
5 so on. So that is moving forward.

6 There seems to be a lot of support and a  
7 lot of interest among the nuclear medicine technology  
8 community in this competency because again, it does  
9 look like it would enhance and I imagine it will, their  
10 employability. So that's where that stands.

11 CHAIRMAN ALDERSON: One clarification  
12 point, Dr. Zanzonico, if I heard this correctly and this  
13 is the issue to clarify, you just said that the nuclear  
14 medicine technologists were going to be involved with  
15 the safety of radiation sources that are not  
16 radionuclide sources?

17 VICE CHAIR ZANZONICO: Well, they would --  
18 in a practice, for example, where there might be PET/CT,  
19 there might be radiation generating machines.

20 CHAIRMAN ALDERSON: Such as CT?

21 VICE CHAIR ZANZONICO: Right, not just  
22 radioactive sources. The nuclear medicine  
23 technologists who had this competency or were granted  
24 this competency could do this while the certification  
25 board would also have to demonstrate competency in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       those areas.    So it's not strictly for radioactive  
2       materials and the curriculum and the exam will include  
3       material on non-radioactive source radiation safety  
4       issues.

5                   CHAIRMAN ALDERSON:    Thank you.    That  
6       point is clarified.   Thank you.

7                   MEMBER DILSIZIAN:       It's   extremely  
8       important and I'm actually surprised that this is not  
9       even part of their training and certification to begin  
10      with.    I would think that any nuclear medicine  
11      technologist should be aware of radiation safety  
12      issues, wipe testing and all -- not the CT portion, but  
13      all the others.    So not only do I think this is  
14      important, but I think that it should actually be  
15      included in their training, not in separate  
16      certification.   I think everybody should have it.

17                  VICE CHAIR ZANZONICO:   Agreed.   And I  
18      think the notion is that this is to create a recognized  
19      competency area.   It's an issue of marketability and  
20      employability.

21                  There is a component in the general nuclear  
22      medicine technologist certification on radiation  
23      safety and related issues.   I think this to formalize  
24      it, to recognize it, to expand it, but certainly that  
25      is part of their training.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: Yes, Dr. Palestro.

2 MEMBER PALESTRO: I actually have two  
3 comments. One is -- I think it's an excellent idea and  
4 I think it's not only good for marketability, I think  
5 it's good for radiation safety and patient safety.

6 My question is individuals who already are  
7 certified, can they go back and take an examination that  
8 will add this competency to their certification or an  
9 additional certification?

10 VICE CHAIR ZANZONICO: I'm not speaking  
11 for the NMTCB. I'm not part of that organization. I  
12 was just enlisted to help draft a curriculum and  
13 questions. But that is my understanding that in fact,  
14 an individual would first have to be certified by a  
15 nuclear medicine technology board before they become  
16 eligible for this additional certification. And it  
17 would include an in-residence requirement as well.

18 So in other words, they would have to have  
19 an AU RSO or an equivalent individual attest to the fact  
20 that they've been involved, I believe, for at least one  
21 year in radiation safety related activities. So yes,  
22 not only would existing certified techs be eligible,  
23 that would be a requirement for this additional  
24 competency.

25 MEMBER PALESTRO: So this is a separate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 examination?

2 VICE CHAIR ZANZONICO: It's a separate  
3 examination.

4 MEMBER PALESTRO: Thank you.

5 CHAIRMAN ALDERSON: Yes, Mr. Green.

6 MR. GREEN: I think this is a worthwhile  
7 endeavor. My concern is that the NMTCB takes  
8 appropriate measures to make sure it's in the  
9 educational materials, as candidates take this course  
10 work, that they understand that they are becoming  
11 skilled and knowledgeable in assisting the RSO in  
12 health physics and radiation safety functions, but this  
13 does not grant them an authorized user status where they  
14 can independently make choices and decisions without  
15 the guidance and direction of the RSO.

16 VICE CHAIR ZANZONICO: Right, and as I  
17 have said, they've been very explicit in stating that  
18 NMTCB be very explicit in stating this is not a pathway  
19 to become recognized to act as an RSO. Again, that's  
20 what they're stating and hopefully, they'll stick to  
21 that. But I have a suspicion that as this moves forward  
22 that may become an effort on their part, but that's not  
23 the case at the moment. They would not be RSOs. They  
24 would not be listed on -- or licensed as RSOs, and so  
25 forth and so on.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: Further comments on  
2 this issue? Yes, Dr. Ennis.

3 MEMBER ENNIS: I guess, too, just kind of  
4 for informational purposes, is there an effort then on  
5 the board, whoever does their basic training, to  
6 incorporate this level just into the initial training  
7 so that this becomes maybe not necessary in the long  
8 run?

9 VICE CHAIR ZANZONICO: Again, I'm not  
10 speaking for the Board, but my impression is that at  
11 least in the initial roll out of this certification,  
12 and it's something brand new, that would not be the  
13 case. Now that may just be a logistical issue because  
14 they have obviously a large number of currently  
15 certified techs in the field, so they're not going to  
16 go back and retake the entire training. But I think  
17 the intention is that that would not be the case. In  
18 other words, they would take their normal training.  
19 They would take the normal or general nuclear medicine  
20 technology certification and then subsequently take  
21 this exam.

22 Now being eligible for the exam, as I said,  
23 has a residency requirement, one year working in the  
24 field, but it doesn't have a didactic training  
25 requirement so that once a tech is certified and working

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in the field, presumably there is an AU or AU RSO who  
2 would attest their length of experience in that area.  
3 Then they can just sit for the exam without any  
4 additional didactic training.

5 MEMBER ENNIS: The question was the  
6 inclusion of nonradioactive source training, I'm not  
7 sure what's the rationale for that?

8 VICE CHAIR ZANZONICO: I think it's in the  
9 spirit of recognizing that in certain types of  
10 situations, like private offices, there may be multiple  
11 modalities involving radiation. And again, the RSO  
12 would be the position AU, but the individuals -- sort  
13 of the boots on the ground who would be implementing  
14 a lot of the regulatory compliance and that would  
15 include, for example, CT, doing certain measurements  
16 on that and so forth -- might be a technologist.

17 I think the nuclear medicine tech  
18 certification board sort of wants to state their claim  
19 that their constituency is often the individuals doing  
20 those safety checks beyond those for radioactive  
21 materials. I was a little surprised myself when I  
22 first saw how the broad scope of what they were aiming  
23 at, but that's their intent.

24 Again, since it doesn't have any legal  
25 standing or regulatory standing at this point, rather,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 and you know, the Certification Board is a  
2 self-governing body, they can do what they want. They  
3 can make the rules and that's what they're doing at the  
4 moment.

5 MEMBER ENNIS: Does that reflect the  
6 reality on the ground that such technologists are doing  
7 CT, QA, things like that?

8 VICE CHAIR ZANZONICO: You know, I raised  
9 that question when I was at this recent meeting, because  
10 that's not my experience at all. And my experience may  
11 be skewed because I'm at a large academic medical  
12 center.

13 We have a large radiation safety group and  
14 medical physics group and there are sort of  
15 subspecialists for the different modalities, but  
16 according to the folks on the board, that is a reality  
17 that in certain small community settings, private  
18 offices and so forth, that is often a tech who -- a  
19 nuclear medicine tech who is doing these sorts of things  
20 beyond radioactive material.

21 CHAIRMAN ALDERSON: Dr. Langhorst.

22 MEMBER LANGHORST: Sue Langhorst. I think  
23 it also recognizes the fusion technologies and forgive  
24 me, Dr. Zanzonico, if you've already said that, but the  
25 PET/CT, there's always a question well, it's PET/CT

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       who's doing the CT and the CT measurements can be done  
2       under the authority of a qualified expert, but the techs  
3       may be doing those measurements and then it's reviewed  
4       by that qualified expert, so kind of a similar situation  
5       as rad material and RSOs.

6               VICE CHAIR ZANZONICO:   That's a very good  
7       point and that was my inference that the reason this  
8       competency certification was extending beyond  
9       radioactive materials was because of multi-modality,  
10      not just PET/CT, but MR/CT also. But they're also  
11      including fluoroscopy, interventional radiology and  
12      even MRI and safety aspects of that. So it's a very  
13      broadly aimed competency certification at this point.

14             CHAIRMAN ALDERSON:   I would just state  
15      that although it is of interest, obviously, to our  
16      panelists that such an idea is out there. It relates  
17      to safety which is something that we're engaged with.  
18      The ACMUI is, in fact, engaged with radionuclide  
19      safety, so the fact that this political action, it may  
20      in fact be a political action, it's extending beyond  
21      that realm, although of interest, it probably isn't in  
22      the core interest of this particular committee.

23             There's someone at the microphone. Thank  
24      you very much.

25             MS. BLANKENSHIP:   Hi, Dr. Blankenship,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Bette Blankenship, AAPM, a physicist also an RSO. And  
2 I just wanted to comment to the group that we do have  
3 nuclear medicine technologists that work in a hybrid  
4 setting whether it's PET/CT or SPECT/CT and they are  
5 currently doing the CT testing, the quality assurance  
6 for those pieces of equipment, although they don't  
7 routinely do stand alone CT. They do get -- can be  
8 granted by their state -- to be a CT technologist on  
9 a hybrid device, so they have expanded their role. So  
10 I could see the importance of them wanting to have an  
11 understanding of the safety implications of working  
12 with that device, so they have just by nature of their  
13 work experience have been kind of thrust into a new  
14 environment for themselves. So I think it's a great  
15 idea, too. So thank you so much.

16 CHAIRMAN ALDERSON: Thanks very much.  
17 Good comment. Thank you. Are there further comments  
18 on this subject?

19 VICE CHAIR ZANZONICO: Again, I think what  
20 the board is thinking of and appropriately so, as a  
21 number of people have said, is that often the nuclear  
22 medicine technologists in reality are addressing and  
23 to some extent responsible for some of these  
24 safety-related issues. And this is a mechanism for  
25 formalizing that and recognizing it. But I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       that's the motivation for this at the moment.

2                   CHAIRMAN ALDERSON:   Good.   The floor is  
3       open for other items.   Dr. Zanzonico.

4                   VICE CHAIR ZANZONICO:   So this is a  
5       completely separate item and very recently at Sloan  
6       Kettering one of the new nursing leaders at the  
7       institution is a real advocate for breast feeding and  
8       has asked us to review and, if necessary, revise  
9       guidance on breast feeding, cessation of breast  
10      feeding, so forth and so on among patients receiving  
11      radioactive materials.   There's all sorts of guidance  
12      included in the regulatory guides on cessation of  
13      breast feeding with administration of different  
14      radioactive materials.

15                  And the issue has come up is should a  
16      recommendation be created for discontinuing breast  
17      feeding in advance of receiving a radioactive material.  
18      Apparently, because of the stimulation of lactation,  
19      there can be an increase deposition of radioactive  
20      materials in breast milk in women who are actively  
21      breast feeding.   And so to reduce that uptake and  
22      presumably reduce any radiogenic risk associated with  
23      that uptake, there's now a question of whether there  
24      should be a recommendation to discontinue breast  
25      feeding for some period of time prior to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 administration of radioactive materials -- of the order  
2 of several weeks perhaps.

3 So that's not included at the moment in any  
4 of our institutional guidance and I don't see it in any  
5 regulatory guidance and I wonder if that's an issue that  
6 we should perhaps address.

7 CHAIRMAN ALDERSON: I think that is a very  
8 interesting subject to raise. We're in the open forum  
9 which is a relatively limited time period and this is  
10 not a subject that you just raised that can be discussed  
11 effectively in a very limited amount of time. So I'm  
12 going to ask you to set that aside and we'll think about  
13 bringing that back on to a future agenda or later in  
14 the meeting.

15 And I think at this time if there's no other  
16 questions we should move on to the medical event forum  
17 which is a major portion of our morning meeting. And  
18 Dr. Langhorst has agreed to introduce this segment.  
19 She helped organize to introduce this segment and give  
20 us a lead as we get started. Dr. Langhorst.

21 MEMBER LANGHORST: Thank you. As our  
22 panelists come up to the front, I just wanted to remind  
23 the committee that at last meeting, Dr. Alderson formed  
24 a subcommittee, the Medical Event Reporting and Safety  
25 Culture Impact Subcommittee. We haven't come up with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a catchy title yet on that, but that's what it is. And  
2 just to remind you, our charge is to explore the impact  
3 of medical event reporting and its impact on  
4 self-reporting safety culture, identify potential ways  
5 to improve the effectiveness of self-reporting in  
6 support of a culture of safety, and suggest ways to  
7 share medical event reports and lessons learned with  
8 the medical community to promote safety.

9 And so our subcommittee felt like it would  
10 be nice to have in attendance the groups that had  
11 reported to the committee, it's been a couple years now,  
12 who have event reporting systems that they have people  
13 reporting on.

14 And we've asked our panels to address these  
15 questions. How has your event reporting system grown?  
16 How do you share your results and with whom? Do you  
17 include near-miss reports? What do you think is the  
18 most important thing you have learned to date? What  
19 do your participants think of the feedback they  
20 receive? And what can ACMUI and NRC learn from your  
21 event reporting system?

22 Well, I want to thank the panelists that  
23 we have to help talk about this. Dr. Adam Dicker is  
24 here to present the ASTRO system. Ms. Jennifer Elee  
25 is here to talk about the CRCPD system. Dr. Bruce

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Thomadsen is on the phone. He'll be talking about his  
2 system known as CARS. And Dr. Sandy Gabriel is on the  
3 phone. I hope those both are on the phone. She'll be  
4 speaking about the IAEA system.

5 And I will -- let's see, I guess Dr. Dicker  
6 will be the first one to present and so I'll let you  
7 take it away. Thank you.

8 DR. DICKER: Thank you, good morning.  
9 Just for those who are present with the help of Ms.  
10 Holiday, we are using the revised document. So it's  
11 my pleasure to present what we've learned from the  
12 RO-ILS system. I'm going to talk about where we've  
13 been, where we are, and where we're going.

14 I just want to first start out by what makes  
15 a smarter team. It's not intelligence. It's not IQ.  
16 I would contend in the RO-ILS system, there's been a  
17 lot of research by groups at MIT, Carnegie-Mellon  
18 talking about the subject and it boils down to three  
19 things. If you have three components for a team,  
20 you'll outperform other teams in problem solving. So  
21 it's about emotional intelligence of the team. It's  
22 about making sure that someone does not overtake the  
23 others and dominate the conversation. And the last  
24 part is having women. If you don't have women on your  
25 team, you're dead.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 (Laughter.)

2 So this is incontrovertible. There's an  
3 updated paper in Science about this. There's a book,  
4 Smarter, Faster, Better. This is incontrovertible.  
5 And I'm contending the RO-ILS system is not the  
6 smartest, but is trying to get smarter.

7 Okay, this is the only medical specialty  
8 sponsored instant learning system for radiation  
9 oncology. It's a joint partnership with ASTRO and  
10 AAPM. It does receive some industry support. So the  
11 goal is really to have an environment which is safe,  
12 where people can share information in a non-punitive  
13 way, and that we can then bring it back to the community.  
14 I mean the community in the greatest collective sense  
15 of the word.

16 So as you know, this works under AAHRQ.  
17 There's a specific Patient Safety Act that prevents  
18 medical litigation and other types of lawyers from  
19 finding out about this stuff. We've been in existence  
20 for two years. We have over 224 institutions. We have  
21 2300 submitted reports. We've issued seven quarterly  
22 reports and in the remaining time, I'm going to show  
23 you a little bit of our data mining and the examples  
24 that we've observed through this data mining and  
25 thematically what we've disseminated and I'll tell you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 futuristically or what we're doing right now to prepare  
2 for the future.

3           So we're building on the important work of  
4 the NRC. So I'm going to show you two examples of a  
5 number of examples that relate to over reliance on  
6 technology. In this particular case, it's using cone  
7 beam CT, an over reliance on cone beam CT. So in the  
8 first case, it's a hypofractionated treatment for  
9 vertebral body. Unfortunately, the wrong vertebral  
10 body was treated for two out of five fractions because  
11 the cone being locked on to the wrong vertebral body.  
12 It was appreciated on the third fraction.

13           In another, as part of our data mining, we  
14 look for other examples of this and this is a situation  
15 where there was a large field size or there was a large  
16 shift of five centimeters and it was appreciated by the  
17 physicist who was doing the weekly check that the cone  
18 beam was too small for this type of field that was used  
19 and it wouldn't be accurate enough and suggested  
20 complementary approaches.

21           So we came out with a recommendation from  
22 these two examples and a number of other ones, the  
23 policies and procedures, when there are large shifts,  
24 how do we -- what can people learn from these examples,  
25 how to use cone bean CT maybe more appropriately, maybe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       need to be larger when there are opportunities for  
2       making mistakes, especially when vertebral bodies can  
3       look alike, and having complementary types of imaging  
4       such as kilo voltage imaging or megavoltage imaging  
5       where it's appropriate.

6               I'm going to show you just again,  
7       everything that we're going to show you is the public  
8       domain regarding these quarterly reports. And the  
9       quarterly reports really reflect our evolution as we've  
10      learned and as we've gotten better and as we've  
11      reflected on what we've observed.

12             We initially talked about severe or almost  
13      severe medical events. We give case examples of time  
14      out procedures. We then talked about near misses,  
15      unsafe conditions, miscommunications, what happens  
16      when staff is rushed. And again, I've got to credit  
17      all the facilities for sharing all this information and  
18      not holding back on what they shared with the RO-ILS  
19      system.

20             As we got a little more evolved, we  
21      discussed issues about communication, electronic  
22      versus verbal because there were numerous instances we  
23      observed where we were seeing thematically the same  
24      thing again and again. I'll give you a couple of  
25      examples in a moment.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           We got into near misses. In trying to  
2 categorize the near misses, we talk about -- there were  
3 some equipment issues. We got into a priority-scale  
4 issue because we appreciated the taxonomy had to  
5 evolve. For now, for near misses, we have a scale that  
6 goes from one to five in terms of severity just for near  
7 misses alone.

8           We talk about mistreatments and  
9 prescriptions, a number of issues that relate to HDR  
10 and IGRT, pacemaker policies and procedures, other  
11 things like that. And then finally, we came across  
12 equipment -- vendor/vendor issues and particularly  
13 with HDR and we shared this in our quarterly reports.

14           We have a categorization system about  
15 since it reached it patient there are near misses or  
16 we perceived as our unsafe conditions. And I mentioned  
17 for the near misses we've expanded that to a five point  
18 scale.

19 For a number of cases, what was planned and what was  
20 treated didn't exactly match and I'm just going to show  
21 you a couple of examples, but this is just from the data  
22 mining. These are repeat things that we find  
23 repeatedly that we're trying to disseminate to the  
24 community.

25           So the physician gave incorrect

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 instruction. The physician wrote 5 gray times 6  
2 instead of 6 gray times 5. Sometimes in a hierarchical  
3 system this gets -- this doesn't get questioned,  
4 sometimes it does get questioned in trying to show that  
5 everyone makes mistakes and to empower and create a safe  
6 environment for reporting within a facility.

7 Sometimes the plan did not match the  
8 prescription and it was unappreciated at the time of  
9 approval and sometimes it's the last component of a  
10 safety chain. It's the therapist who is at the linear  
11 accelerator which picks up on this. Sometimes the  
12 planner wrote the prescription, so the written  
13 directive was not written by the physician. We've seen  
14 this in a number of cases and it creates all sorts of  
15 opportunities. For example, 12 and 2, so the  
16 dosimetrist receives a verbal order from the radiation  
17 oncologist to treat a shoulder 12 and 2. The  
18 dosimetrist wrote a written directive for 6 treatments  
19 of 2 gray each for a total of 12 gray. It was approved  
20 and it should have been 2 fractions of 6 gray for a total  
21 of 12 gray. It was picked up at chart rounds and then  
22 it was ultimately rectified. But it just highlights  
23 a number of things that we've observed as we've data  
24 mined the system.

25 Wrong hepatic lesion treated, especially

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in the hypofractionated area with SBRT. We're seeing  
2 this more and more. So this isn't a teaching facility,  
3 and a physician resident reviewed the case. The  
4 resident ultimately contoured the wrong lesion. So  
5 the QA was completed and hemangioma was treated for five  
6 fractions. Only after the patient was followed up and  
7 showed progression in the liver, that they went back  
8 and looked at what had happened and they appreciated  
9 there was a geographical miss because they treated the  
10 wrong area and this eventually was treated. These are  
11 not isolated cases.

12 So the facility in this case appreciated  
13 there were a number of contributing factors. We've  
14 incorporated the facilities' observations and how  
15 they've changed practices and in our quarterly reports  
16 we emphasize a number of issues so this shouldn't happen  
17 at any facility.

18 So how do we further build on Subpart M?  
19 So as the RO-ILS system started taking hold and more  
20 and more facilities started reporting, we recognized  
21 that we needed to modify our forms. People are  
22 reporting -- the richness of the story is in the  
23 vignette. It's not in any particular field in the  
24 relational database. We also appreciated we were  
25 getting overwhelmed with data and we needed a better

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 way to try to evaluate things.

2 So we appreciated that there are different  
3 types of triggers that will allow one to bin data, data  
4 that reached the patient, data that was a near miss,  
5 data that represents an unsafe condition and then  
6 within these bins have different levels of significance  
7 so we can really spend our time more effectively in  
8 trying to figure out where can we connect the dots, how  
9 can we disseminate this, and what are the teaching parts  
10 from the database. So we've just implemented this and  
11 we're now starting to see -- we haven't yet seen the  
12 fruits of this labor.

13 The RO-ILS system is only two years old and  
14 I think it's a credit to the people at ASTRO and AAPM,  
15 as well as the volunteers, as well as the member  
16 institutions who through this labor of love, who are  
17 submitting information. At some places, it's the  
18 therapist, it's the physicians, it's the dosimetrists.  
19 At some places, it's mostly the physicists.

20 The way the system is designed at the  
21 initial level, anyone can submit-- it could be nursing.  
22 It doesn't really make a difference. Anyone should be  
23 able to submit to the RO-ILS system and then there's  
24 a second layer where you can provide the richness of  
25 the incident that happened.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           At the Society meetings, we have various  
2 informational opportunities. We conduct educational  
3 webinars. We have tips of the month. We provide  
4 specific reports to each institution. We have safety  
5 alerts. We provide to the vendors reports in a  
6 de-identified way that explains what we found. Again,  
7 everything that we do is in the public domain and we  
8 certainly receive a lot of advice and suggestions from  
9 the community as to how we can make it better.

10           I'll just point out that Cindy Tomlinson,  
11 who's from ASTRO, who's in the audience can answer some  
12 specific questions regarding the RO-ILS system and with  
13 that, I thank you.

14           CHAIRMAN ALDERSON: Thank you. Thank  
15 you, Dr. Dicker. Because we have speakers on the phone  
16 who may be in their home institutions and have other  
17 scheduling issues, I'm going to ask us to hold the  
18 questions on the individual reports until we go through  
19 all of the presentations and then everything will be  
20 open and at that time we will ask the people on the phone  
21 if any of them are going to need to leave the session  
22 early or at some particular point and would direct the  
23 initial questions, if any, to them. So we'll carry on  
24 now with Dr. Elee.

25           MS. ELEE: Hi, I'm Jennifer Elee. I'm here

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 representing the CRCPD, Conference for Radiation  
2 Control Program Directors. It's been a while since  
3 I've talked to you in the past and I think there's some  
4 new faces. So is everybody familiar with who CRCPD is?  
5 Okay, if I needed to go into that.

6 I still chair the H38 Committee on Medical  
7 Events and I'm also the Healing Arts Council Chair now  
8 for the conference for two more years. I'm serving a  
9 three-year term as that.

10 So just a little background. In 2011, we  
11 did conduct a pilot and collected machining events for  
12 the first time. Since then, we've been collecting  
13 events from all states who have requirements. It's  
14 important to note that some states have no reporting  
15 requirements and some have therapy only, not  
16 diagnostic. And we only collect our events from the  
17 states themselves. We do not take them from  
18 facilities. So these are events that have been  
19 reported to a state and the state reports them into our  
20 system.

21 In 2013, we entered into an MOU with AAPM  
22 to further analyze the information that we get, the  
23 specific information on the event. We redact the  
24 facility and state information so they don't know where  
25 the events come from and they provide that back as an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433



1 annual report to CRCPD and the AAPM Boards and we both  
2 present a summary at the CRCPD annual meeting.

3 Why we collect information, we want to  
4 share lessons learned, prevent errors, look for trends  
5 and of course, improve patient care and safety. Our  
6 event definitions, we currently have event definitions  
7 for both therapy and diagnostic. We're very excited.  
8 Our definition of diagnostic was included in our latest  
9 version of the suggested state regulations for  
10 diagnostic x-ray. And as states adopt those, we hope  
11 to see more states have reporting of diagnostic events  
12 since that is the first time that's actually been  
13 included in the SSRs for diagnostics.

14 Our annual summary is a fiscal year  
15 summary, just so you know, 10/1 to 9/30. In total, for  
16 the period, we've had 187 therapy events reported and  
17 9 diagnostic events. And that just kind of gives you  
18 an idea of over the years. It's been fairly  
19 consistent. We had a spike in 2014 on the number of  
20 events and states reporting, but overall our numbers  
21 are pretty consistent in the amount that we get.

22 Over the period, we have 20 states that  
23 have actually input events into the system, so we have  
24 20 states that have actually put events in, some of them  
25 multiple years, but at least in one of those years.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The highest number of states responding in  
2           any one year was 37. Once we have our events in the  
3           system, we polled the rest of the states to see if --  
4           just to verify either they did not have events or they  
5           do not have a reporting requirement. So we try to get  
6           a little more information on what's going on in the rest  
7           of the states. For those of you who do surveys, 37 out  
8           of 50 is a pretty good response. That's the best we've  
9           been able to do.

10           Types of events reported and in order to  
11           your font, these are pretty typical of what we see each  
12           year. It's pretty consistent, the types of events we  
13           see, wrong patient, wrong site, you know the weekly dose  
14           exceeds or the total dose or the single fraction. We  
15           do have a fair number of events that go into the other  
16           category and these are generally, we have a text field  
17           where they can explain what the issue is.

18           It is somewhat concerning that we still see  
19           wrong patient every year and two to three wrong patient  
20           cases every year which is astounding.

21           Severity of effects, we've only had two in  
22           the time frame that had severe effects that required  
23           some type of follow up. Minor effects are generally  
24           the response that we received or when we asked that  
25           question.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Events are discovered primarily by  
2                   technologists which I don't think would be a surprise  
3                   here and then physicists and physicians are primarily.  
4                   We've had some dosimetrists and other people in the  
5                   field.

6                   Their chart check, quarter imagining  
7                   clinical review, and again, we have 25 percent that  
8                   indicate there was some other form of how their events  
9                   were discovered.

10                  Causes and contributing factors,  
11                  therapist error. Again, this is a question where they  
12                  can answer multiple things, so most of our events have  
13                  multiple reasons. And usually it's therapist error  
14                  and something else. There's a lot of -- several  
15                  different boxes checked on those, but therapist error  
16                  does come out a good bit.

17                  On our diagnostic side, we've had nine  
18                  events, four CT, three fluoroscopy, and one general  
19                  radiography, four of the nine were wrong patients on  
20                  the diagnostic side.

21                  We had two which were exams done by  
22                  unlicensed or untrained operators, exceedance to the  
23                  lens of an eye, an unintended dose, and an equipment  
24                  failure.

25                  I think it's important that we note in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 medical use of radiation we expose people on purpose  
2 for a potential benefit which is unlike a lot of the  
3 other radiation issues especially that NRC deals with  
4 on the industrial side. There's millions of  
5 procedures done every year. On our side, what we know  
6 we can do better is better disseminate our information  
7 outside of CRCPD and AAPM. We do a really good job of  
8 sharing it with each other. We go to our own meetings.  
9 We present it to our people. We put it in our  
10 newsletter, but I don't know how well we're doing  
11 getting that information to other people.

12 We continue to promote reporting of events  
13 to states by facilities and states to report to CRCPD  
14 so that we get more data. We try to follow up.  
15 Between now and the 15th, we'll make calls to all the  
16 states or emails to all the states who didn't report  
17 to the system to try to find out if there's anything  
18 they have.

19 I was asked to talk about safety culture  
20 a little bit and so I just picked a few points off the  
21 safety culture list and said for leadership knowing  
22 when and who to report to, I think is very important.  
23 And we're working on that. We realize that not  
24 everybody knows who they need to send their information  
25 to at the state level. So we're developing a list of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 state reporting contacts. We're going to put it on our  
2 website. We'll share it with AAPM and ASTRO so that  
3 they can disseminate it to their members as well. It  
4 will include whether the state has diagnostic  
5 reporting, therapy reporting, and then who to contact  
6 at that state would be to send your events to so it's  
7 readily available for the people who need to report.

8 Problem identification and resolution and  
9 follow-up actions. This is something we're working  
10 with our inspectors on. Identifying a problem in the  
11 field is not as easy as it sounds when you're working  
12 with a facility that may or may not want to tell you  
13 that something has happened.

14 Personal accountability on the facilities  
15 part, owning up to mistakes, raising concerns, and  
16 respectful work environment, respect between us as the  
17 inspectors from the state and the facility has a long  
18 way to receiving more events and to finding out that  
19 these things are happening. I put this on it. I also  
20 applied this to the inspector or the regular. We need  
21 to be able to identify the problem. We need to be able  
22 to communicate with the facilities in a respectful  
23 manner.

24 We need to be able to continuously learn  
25 about new equipment and procedures. This is very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1       difficult a lot of times for our state inspectors and  
2       people in that area. We don't get the manufacturer  
3       training that the facilities get when units are  
4       installed. So a lot of times we're asking a lot of  
5       questions because we're just not familiar with a new  
6       piece of equipment or a new software system that you  
7       have or whatever.

8               We want to help facilities find  
9       resolutions and improve situations rather than just  
10      cite violations and encourage and give credit to  
11      facilities for reporting. I think that's a big thing.  
12      If facilities know it's okay to report, they'll have  
13      more that do.

14             What are we going to do in the future? We  
15      are looking at doing some topic training at our CRCPD  
16      meeting on safety culture and root cause analysis. So  
17      taking some events that actually happen at facilities  
18      and walking through them for our members so that we see  
19      what it looks like on your side. So what it looks like  
20      on your side, so we know when we go in what better to  
21      look for.

22             We're looking at never events. Our  
23      radiation therapy is looking into doing a handout on  
24      this, you know, basically, events that should never  
25      occur, wrong patient, for example. And this is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 problem. And we're also planning to do a journal  
2 article on our first five years of event reporting.

3 This is just the link to our reporting  
4 forms. They're on the website. And we email them in  
5 to our office.

6 This is my information if you need to  
7 contact me at any time.

8 I will say our interaction with AAPM has  
9 been very well received and very good. We have found  
10 at least one incident of a software issue that occurred  
11 in two different years in two different states which  
12 we were able to report to FDA, so that's our goal with  
13 this is to see things that we might not have seen  
14 otherwise if we were looking at them individually, but  
15 when we look at them all together, it's like oh yeah,  
16 that happened here and it happened there and they may  
17 not have even realized.

18 And as I said, we hope to see the diagnostic  
19 side pick-up with Part F and people adopting that. And  
20 our committee was initially set up to look at radiation  
21 medical events. We focused on the machine side because  
22 NRC was already -- had reporting on the materials side.  
23 And at the time that was where we felt we needed to put  
24 our efforts. But I think now we've got some water under  
25 the bridge and we're certainly open. We've talked

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 about it in the past to working with having a system  
2 where all medical events to be both material and machine  
3 into one system which would be nice for a facility.  
4 That's it.

5 CHAIRMAN ALDERSON: Thank you. So we're  
6 midway through these reports now. I would remind  
7 people that the reason for this session is that we're  
8 trying to learn how other organizations collect and  
9 report data related to medical events and we are  
10 considering the idea of how we move to an improvement  
11 culture, away from a punitive culture and excellent  
12 examples given just now by Dr. Elee from the CRCPD which  
13 is the Conference of Radiation Control Program  
14 Directorate. Thank you very much.

15 We'll move on now to the next speaker who  
16 is on the phone and he is Bruce Thomadsen, our former  
17 colleague and the immediate past chair of the ACMUI.

18 Dr. Thomadsen, are you ready to report?

19 DR. THOMADSEN: Yes, I am.

20 CHAIRMAN ALDERSON: Please, carry on.

21 DR. THOMADSEN: Thank you much. Thank  
22 you very much, Dr. Alderson. And it's really good to  
23 see all of you on the video and hear your voices.  
24 Missed you all, but you seem to be carrying on quite  
25 well.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 Can I have the slides, please? And there  
2 is about a 20 second delay between the video, so I hope  
3 I don't get out of phase too much. I am the president  
4 of CARS. I'm also a professor at the University of  
5 Wisconsin.

6 Next slide, please.

7 And just information about the Center for  
8 the Assessment of Radiological Sciences or CARS. We  
9 are a 501(c)(3) non-profit Patient Safety Organization  
10 listed with the Agency for Healthcare Research and  
11 Quality and we're the same software that's used in the  
12 reporting system in the VA system, although because of  
13 regulations, their data and our data cannot mix.

14 Next slide, please.

15 The charge that all the PSOs have from AHRQ  
16 is to improve clients' quality and safety. And we take  
17 that to heart by working with our clients to remediate  
18 causes of any reported incidents. And we work with the  
19 clients to develop prospective quality management for  
20 their facilities also.

21 Next slide, please.

22 In our reporting system, the organization  
23 is that a facility that has an incident goes online and  
24 fills out a very short notice that they've had something  
25 happen with few details. And most of the details we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ask them for are only to get them -- to give them a  
2 feeling that they're actually involved in this  
3 reporting system. Because as soon as they submit the  
4 report, we get a notice that a new report is online and  
5 one of our staff calls the facility and talks with our  
6 contact there.

7 And we'll go through all of the questions  
8 in the questionnaire which we follow the AAPM-generated  
9 taxonomy. And we go through it to make sure that we  
10 understand exactly what's happened in the incident and  
11 that the data being entered on the form is correct.

12 We also then after we get all the  
13 information on the form, we'll go off and we'll do a  
14 causal analysis of the event. We'll then put the form  
15 back on line with all of our analysis and our proposed  
16 solutions for what to do to improve the quality at the  
17 facility.

18 We'll then talk with the contact at the  
19 facility, go over our analysis to make sure that we have  
20 understood what's happened and they agree. And go over  
21 our proposed solutions because we understand that we  
22 might make proposals that would be infeasible at the  
23 given facility and so we'll work back and forth with  
24 the facility until we can come up with what would be  
25 a useful set of recommendations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And we do the analysis because from a study  
2                   we did back in the '90s of causal analysis of  
3                   radiotherapy events, we found that there's a very long  
4                   learning curve and that persons who do not have a lot  
5                   of experience doing causal analysis usually come up  
6                   with very superficial ideas of what the causes were and  
7                   generate very simplistic proposed correctives that  
8                   would have prevented the given event, but don't really  
9                   delve deeply into the system at the facility that  
10                  actually led to the weakness that manifested itself in  
11                  the event.

12                 Next slide, please.

13                 The data, as soon as the initial report is  
14                 submitted, goes into our database. And let's see, I'm  
15                 on -- okay. All the fields -- I think I'm on -- I'm  
16                 seeing -- no, this is the right slide. The delay sort  
17                 of makes it a little difficult to check where I am. But  
18                 all the incidents do go into the database which is  
19                 important to trigger the protection that the facilities  
20                 would have against the legal discovery. All fields are  
21                 completed in our reports and we make sure that they're  
22                 all correct.

23                 The root cause analysis is done by  
24                 professionals who have expertise in both radiotherapy  
25                 and in systems analysis and we make a point of trying

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to support our clients as they're trying to improve  
2 their system. We work with them directly.

3 Next slide, please.

4 The system does serve as the local database  
5 for our clients, but it also is automatically part of  
6 a national database when any researcher can register  
7 and view and anonymous data from the system. We also  
8 accept anonymous reports. If the anonymous report  
9 identifies that the incidents happening at one of our  
10 clients, we can then go back and work with our client  
11 to try to improve the situation.

12 Next slide, please.

13 We do send out information through emails  
14 to clients, messages to list servers, and letters to  
15 professional organizations.

16 Next slide, please.

17 Just some of the findings that we found are  
18 that it's very important not to get too hung up with  
19 evaluating the severity of incidents that are reported.  
20 When we're trying to help a facility prevent having a  
21 major event, and analyzing each of the small incidents.  
22 Even those that had no effect, no severity on the  
23 patient are very important because they do identify  
24 weaknesses in the system. And by following incidents  
25 that happen at a given institution over time, we have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       been able to identify definite problems in facilities.

2               Just two examples are listed here. One  
3       facility had a number of number of incidents reported  
4       and what we were able to identify is that the problem  
5       behind it -- well, each one, if you looked at them  
6       individually, had causes that looked apparent.  
7       Underneath those were a problem with the scheduling,  
8       where the scheduling would end up with many patients  
9       being started at the same time and then many lulls by  
10      the time of lulls between patients. And they were  
11      staffed for the average between these two and they  
12      weren't really prepared for the higher patient load.  
13      And this was periodic almost in the swells about a  
14      monthly or bimonthly basis. Their events were  
15      occurring during the busy periods and almost all of the  
16      events tended to be omissions. That is, they got busy  
17      and just missed some step that they were doing.

18             Another problem that we identified across  
19      events occurring at a given facility was that they had  
20      no systematic approach to communication and while each  
21      event again had causes that something happened that  
22      looked like it was a simple case, underlying everything  
23      we could see was a constant communication failure that  
24      they just didn't have a systematic way of dealing with  
25      communications.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Next slide, please.

2                   Another one of the problems that we noted  
3                   that we were dealing with was a problem where there was  
4                   an omission of a device in a treatment set up. The  
5                   slide is showing the order form that had been used. The  
6                   top red arrow is pointing to a box where they would enter  
7                   comments about this set up. The lower arrow is a  
8                   checklist where they check off things like the  
9                   immobilization devices.

10                  In this particular case, there was a long  
11                  comment on how to set up the patient and the therapist  
12                  and the simulator followed the comments, but as they  
13                  were following the comments, they thought that that was  
14                  pretty much describing all of the set up and they didn't  
15                  notice the immobilization device checked off at the  
16                  bottom.

17                  Next slide, please.

18                  And one recommendation we gave was to  
19                  reorder the information on the order form, moving the  
20                  check boxes up above the comments because when the  
21                  comments is filled out, the therapist is unlikely to  
22                  notice -- to miss the comment that's written in there.  
23                  And this brings the eyes down following human factors  
24                  analysis, brings the eyes down through the checklist  
25                  that is giving them the first indication of how the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patient be set up and then leading them to the comments.  
2 And this type of a change should help improve the  
3 communication on how to set up the patient.

4 Next slide, please.

5 CARS also has a section for reporting  
6 equipment failures and we accept reports both from our  
7 clients and from just anybody if they have an equipment  
8 failure. If there is an incident from a client that's  
9 reported, we will automatically go in and enter the  
10 equipment failure.

11 We then will go to the vendor and discuss  
12 the problem with the vendor and come up with what their  
13 solution would be and we try to assess whether that  
14 would fix the problem and we would disseminate this  
15 information not just to the people reporting it, but  
16 on our website and if it's relevant through an  
17 information release. If it's appropriate, we also  
18 will take these reports and with the client's  
19 permission, we will enter them on the FDA website.

20 We feel it's very important to actually  
21 work with the patient -- with the client, rather, on  
22 dealing with their problems because we have the  
23 expertise in causal analysis and probably very few of  
24 the facilities have had enough events where they've  
25 gotten past the learning curve and can reliably

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 identify what the true causes of the problems are.

2 We also have found it's very valuable to  
3 work with the clients across their incidents to gather  
4 more information about what may be underlying the  
5 problems. So we were the first PSO taking events from  
6 the radiotherapy community.

7 We have found that the approach we have  
8 does help give better data and thorough data in the  
9 report and we can support our clients and get more  
10 information about them by getting to know them and  
11 following them across incidents.

12 Thank you.

13 CHAIRMAN ALDERSON: Thank you, Dr.  
14 Thomadsen.

15 We'll move on now to Sandy Gabriel from the  
16 International Atomic Energy Agency who will tell us  
17 about the radiation oncology safety system known by the  
18 acronym SAFRON. Ms. Gabriel?

19 DR. GABRIEL: Yes, thank you. It's good  
20 to be back with my old colleagues again. And I'll wait  
21 for my title slide to show up. Again, we have a  
22 20-second delay that may interrupt the presentation a  
23 bit.

24 So thank you for inviting the IAEA to  
25 provide an update on our radiotherapy incident learning

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 system called SAFRON. Debbie Gilley is the SAFRON  
2 project manager and she's traveling on IAEA business  
3 today, so she asked me to make her presentation for her.

4 Could you please move ahead two slides,  
5 Sophie?

6 So in the name SAFRON, SAF stands for  
7 safety and RON stands for radiation oncology. SAFRON  
8 is an international incident learning system developed  
9 by the IAEA to improve and promote safe planning and  
10 delivery of radiotherapy. Its purpose is to share  
11 information, promote safety and clinical facilities  
12 around the world, and provide resources for prevention  
13 of future incidents. Thank you.

14 SAFRON includes data from a variety of  
15 sources. It contains reports submitted directly by  
16 individual radiotherapy facilities as well as data  
17 shared by other reporting systems and organizations.  
18 These include ROSIS, the Radiation Oncology Safety  
19 Information System, which is based in Europe; ASN, the  
20 French regulator of nuclear safety radiation  
21 protection; and CRCPD, who we've heard from a few  
22 minutes ago.

23 SAFRON is a web based voluntary reporting  
24 system of incidents and near misses. It became  
25 operational in December 2012 and is initially limited

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to external beam radiotherapy. As of mid-September of  
2 this year, SAFRON contains 1334 reports. There are  
3 currently 75 registered contributing institutions from  
4 6 continents. Reporting to SAFRON is anonymous and  
5 therefore non-punitive.

6 Next slide, please.

7 So SAFRON was designed to perform several  
8 functions. It serves as both a local and international  
9 incident learning system. For individual  
10 participating facilities, SAFRON can be used as a local  
11 database of incidents and near misses with analytical  
12 tools such as statistical data and charts. By  
13 anonymously sharing events, including detailed  
14 narrative descriptions, SAFRON participants can  
15 enhance the knowledge of staff and other facilities.

16 On an international level, SAFRON offers  
17 a resource for the radiation oncology community to  
18 improve quality and safety. In addition to the  
19 analytical tools in SAFRON, IAEA staff provide  
20 information in the form of reports and peer-reviewed  
21 publications.

22 Direct access to the contents of the SAFRON  
23 database is available over the Internet to anyone  
24 worldwide who completes a simple registration process  
25 in the centralized IAEA access point called NUCLEUS.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 An additional level of registration is required to  
2 become a participating facility that can enter data  
3 into SAFRON.

4 Next slide, please.

5 The next few slides will show examples of  
6 different pages in the SAFRON website. We'll wait just  
7 a minute until the first one shows up.

8 So the first image that I hope will display  
9 -- there it is, is the current version of the first page  
10 that is displayed after you login to SAFRON. It has  
11 links to various functions within the system as well  
12 as summaries of featured recent incident reports and  
13 recent related publications.

14 There isn't enough time today to  
15 demonstrate all of SAFRON's functions so I'll focus on  
16 a few that were not covered in Debbie's presentation  
17 to this committee in 2014.

18 Next slide, please

19 SAFRON provides a variety of search  
20 parameters and report types. Participating  
21 facilities can choose to view either their local data  
22 or data from the full database. Everyone else is  
23 limited to viewing anonymized data from the full  
24 database. Let's wait for next image.

25 This slide provides an example of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 screen that is displayed if you wish to search for  
2 incident reports. You can search on a variety of  
3 different parameters or on the text of the narrative  
4 description. The search field demonstrated on this  
5 slide is clinical incident severity. So the search is  
6 done using a pull-down menu in that circled area on the  
7 left of the slide to select one of the seven categories  
8 of incident severity.

9 You got a little bit ahead of me, Sophie.  
10 Okay, we'll switch to this one.

11 This slide is an example of one of the  
12 statistical reports in SAFRON. It shows incidents  
13 that reach the patient in blue, versus near misses in  
14 red by year. You can see that right now there's a  
15 relatively small number near miss reports that have  
16 been submitted or captured, although the IAEA does  
17 recognize the importance of near miss reports and  
18 encourages participants to submit them. IAEA staff  
19 have noted that the majority of reports are events that  
20 reach the patient, but may not meet criteria for making  
21 the reports a regulatory body.

22 You went back to the previous one. Let's  
23 move ahead to, please, to the slide that has lots of  
24 colors. Next one after this.

25 So one parameter included in SAFRON

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 incident submissions is the way in which the incident  
2 was discovered. SAFRON device is delivery of external  
3 beam radiotherapy into 92 process steps divided into  
4 three phases: nonclinical, pre-treatment, and  
5 treatment.

6 This slide shows the results of the summary  
7 report illustrating the ways in which incidents in each  
8 phase were discovered. You can see from the green bar  
9 in the center portion of the graph that the most  
10 frequent discovery of incidents was by chart check  
11 before the initiation of treatment.

12 Next slide, please.

13 This slide shows the total number of  
14 incidents reported for each phase of the radiotherapy  
15 process. To see a breakdown of the number of incidents  
16 for each process step within a phase, you can click on  
17 that bar in the graph to produce the more detailed  
18 report shown on the next slide which I hope we can get  
19 to fairly quickly.

20 Okay, the slide with quite a few bars  
21 displayed that I'm not seeing quite yet shows the result  
22 -- if you click on the bar for treatment phase in the  
23 previous slide, the graph shows the number of reports  
24 associated with each process step in the treatment  
25 phase. Note that there are more than 30 process steps

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in this phase, so you would need to scroll to the right  
2 to see the full graph. If the user identifies the  
3 process step that they would like to improve or  
4 research, SAFRON provides links to related  
5 professional publications and other educational  
6 resources.

7 Next slide, please.

8 Another important concept used in the  
9 SAFRON database is safety barriers which are steps in  
10 the process intended to catch errors. Incident  
11 reports include three fields related to safety  
12 barriers: what safety barriers fail to identify the  
13 incident; what safety barrier identified the incident;  
14 and what safety barrier might have identified the  
15 incident if it was in place.

16 This slide shows a summary report of the  
17 safety barriers that failed in each phase of the  
18 radiotherapy process. You can see that some of the  
19 reviews and checks intended to service safety barriers  
20 were not always effective. For example, in the  
21 treatment phase, the bright pink bar represents 25  
22 reports of incidents in which image based position  
23 verification failed to identify an incident. Several  
24 of these involved online image match to the incorrect  
25 vertebral level, similar to incident Dr. Dicker

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 discussed today in his presentation.

2 Next. Let's skip the next slide and move  
3 on to the one that is titled SAFRON Learning which I  
4 presume you can already see, although I can't quite yet.

5 The final group of slides discusses the way  
6 in which the IAEA has been using SAFRON as a learning  
7 tool to improve safety and quality in radiotherapy.  
8 Our staff has made numerous presentations to  
9 international regulatory authorities and medical  
10 groups on incident learning in radiotherapy of the  
11 benefits of using SAFRON. The IAEA produces SAFRON  
12 newsletters, usually twice a year, addressing issues  
13 or trends that have identified reports of incidents  
14 entered into the database. Topics addressed in the  
15 three most recent newsletters are safety culture and  
16 radiotherapy, learning from near misses, and a quality  
17 process called check review and report that can be used  
18 to resolve errors before they reach the patient.

19 Next slide, please.

20 Based on analysis of events in SAFRON, the  
21 IAEA recently published a brochure on the check review  
22 and report process. This brochure reviews the kinds  
23 of checks that can be used to safety barriers,  
24 strategies to perform effective checks, the importance  
25 of resolving any identified discrepancies, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 benefits of reporting incidents and near misses.

2 Next slide, please.

3 Another learning opportunity stemming  
4 from SAFRON is a new IAEA e-learning course on safety  
5 and quality in radiotherapy that will be available  
6 online by the end of this year. This free course 12  
7 modules covering topics such as failure mode effects  
8 analysis, root cause analysis, incident learning and  
9 safety culture. Three radiotherapy errors are used as  
10 case studies to illustrate these topics.

11 Would you move ahead two, please, to the  
12 slide called SAFRON Next Steps?

13 The IAEA plans a series of updates to  
14 expand SAFRON's capabilities. Within the next year,  
15 we intend to add a perspective risk analysis feature  
16 and the ability to address brachytherapy events using  
17 process steps designed specifically for this modality.  
18 I hope you can see the slide called SAFRON Next Steps.  
19 There we go.

20 In the future, we also plan to add  
21 translation capabilities so SAFRON can be used by  
22 speakers of languages other than English and the  
23 ability to address nuclear medicine events.

24 Next slide, please.

25 As a final point, we like to be sure you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 are aware of the series of international conferences  
2 on radiation protection in medicine that relate to the  
3 topic of today's discussion. In 2012, the IAEA and the  
4 World Health Organization co-sponsored a conference in  
5 Bonn, Germany to identify and address issues related  
6 to radiation protection in medicine. This was  
7 attended by 536 individuals from 77 countries and 16  
8 organizations. The conference prioritized ten  
9 actions to approve radiation protection in medicine  
10 during the next decade and published these as the Bonn  
11 Call for Action.

12 Action 7 says improve prevention of  
13 medical radiation incidents and accidents. There are  
14 five sub-actions, the first of which is implement and  
15 support voluntary educational safety reporting systems  
16 for the purpose of learning from the experience of  
17 safety-related events in medical uses of radiation. A  
18 follow-up conference will be held in December 2017 at  
19 IAEA headquarters in Vienna to assess progress on  
20 implementing these ten actions. We hope you will all  
21 consider participating.

22 And I think I am going to stop here on the  
23 last slide. You can see contact information at our  
24 website, [rpop.iaea.org](http://rpop.iaea.org). And if you would like to learn  
25 more about the IAEA's activities in the radiation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 protection of patients, you can also send a message to  
2 Debbie or me at the email addresses shown on the last  
3 slide.

4 So I'll end here and try to answer any  
5 questions. Thank you.

6 CHAIRMAN ALDERSON: Thank you very much.  
7 So we're now going to open up these four discussions.  
8 Two comments, we've heard from four respected  
9 organizations that collect data from a broad  
10 participant basis in non-punitive ways in an attempt  
11 to improve patient safety. And we listened to them so  
12 that they may inform how the NRC might wish to consider  
13 some of these similar approaches.

14 We'll begin with comments from the ACMUI  
15 members and then move to our in-house audience and then  
16 to listeners on the phone who might wish to comment and  
17 we have approximately 20 minutes for this Q & A session.

18 So the floor is open to the ACMUI. Dr.  
19 Zanzonico.

20 VICE CHAIR ZANZONICO: Well, thank you  
21 all. I mean very, very informative. One thing that  
22 strikes me when I'm listening to these reports from  
23 diverse groups, diverse organizations is, is there any  
24 effort, any value in collating reports from multiple  
25 groups?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I'm wondering is there redundancy of  
2 reports among the groups or does each group have its  
3 own constituency? So when you hear these  
4 presentations collectively, it makes it kind of  
5 difficult for one listening to it, at least for me, to  
6 get a sense of what is the prevalence of these different  
7 kinds of events in the field without some collective  
8 collation of the data.

9 So whoever would like to sort of comment  
10 or address that point?

11 CHAIRMAN ALDERSON: Dr. Dicker.

12 DR. DICKER: So, three points. One, I'm  
13 going to use a lifeline to Ms. Tomlinson. Two, we have  
14 about ten percent penetrance in the radiation oncology  
15 community in the past two years. I don't know if it's  
16 going to be linear, logarithmic, geometric in terms of  
17 our penetrance. And Ms. Tomlinson will talk about  
18 working with other societies.

19 And then the other comment I think you  
20 relate to is if we have a de-identified relational  
21 database, is there a way to merge databases, right?  
22 That's kind of a technical thing.

23 So Ms. Tomlinson from ASTRO.

24 MS. TOMLINSON: Cindy Tomlinson from  
25 ASTRO. So part of the problem with merging things is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that under the Patient Safety Act and the way that  
2 RO-ILS and CARS also operate, is that there's legal  
3 issues. And so the Patient Safety Act gives legal  
4 protections to the data. And so when you start  
5 bringing in other things and moving that data out, you  
6 have to be super careful with how you are making sure  
7 that it's anonymous and de-identified and all these  
8 other things

9 So it's not as simple as just merging the  
10 data. I mean we're all looking sort of at the same data  
11 points, but trying to merge them, brings in some  
12 technical, but mostly some of the old issues as well.

13 Is it not on? Can you not hear me? Do you  
14 want me to repeat that?

15 CHAIRMAN ALDERSON: Could people who were  
16 on the phone, could they hear these last comments?

17 DR. THOMADSEN: No.

18 CHAIRMAN ALDERSON: No. So please repeat  
19 the comments.

20 MS. TOMLINSON: I'm really sorry. It's  
21 Cindy Tomlinson with ASTRO. Hopefully, I can repeat  
22 it the same way that I did before. But basically,  
23 trying to merge a lot of these databases especially with  
24 RO-ILS and CARS who operate under the Patient Safety  
25 Act is difficult.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   There are protections that the Patient  
2                   Safety Act gives to that data, and so taking that data  
3                   out requires a lot of work and there's some technical  
4                   issues as well, but mostly it's the legal issues with  
5                   merging all of that stuff together.

6                   The CRCPD database, again, it's the states  
7                   that require reporting who then send that stuff off to  
8                   CRCPD. That stuff is in the public domain anyway, but  
9                   our data, especially since we're looking at near misses  
10                  and sort of other things that are -- I want to say not  
11                  major because that's not the right word, but that don't  
12                  rise to the level of state or even federal reporting,  
13                  it would be really difficult, I think to do that and  
14                  maintain the protections. Because that's the reason  
15                  why people participate in RO-ILS, one of them, is that  
16                  there is protection of their data.

17                  CHAIRMAN ALDERSON: Do we have further  
18                  comments?

19                  MS. ELEE: I was just going to say with  
20                  CRCPD, Cindy is right. We collect information from the  
21                  states that is reported to the states. Any of those  
22                  events are available through a FOIA request to that  
23                  specific state. CRCPD does not release any states'  
24                  information. We collect the data. We aggregate it,  
25                  we look at it. We do provide our information to IAEA

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and it is put into the SAFRON system. We are registered  
2 users, CRCPD is, so they're put in as, I guess, CRCPD  
3 events, not individual states events, but they are  
4 included in that system.

5 CHAIRMAN ALDERSON: Dr. Thomadsen or Ms.  
6 Gilley, do you have comments on this question?

7 DR. THOMADSEN: Yes. I would just say  
8 that CARS and RO-ILS data do not overlap that I know  
9 of. I don't think that we have any clients who are in  
10 both systems. We have talked with the RO-ILS advisory  
11 and analysis group about trying to combine data. AHRQ  
12 does try to combine data from all of its PSOs.  
13 Unfortunately, its database that we could upload into  
14 is not made for any of the information that's really  
15 relevant in radiation oncology. So we would be trying  
16 to work between the RO-ILS system and the CARS system  
17 to try to combine data and make sure that there is not  
18 overlap. The taxonomies are pretty much the same, so  
19 that is not a barrier.

20 As far as events, we've actually not had  
21 an event in our database which would rise to the level  
22 to go into the CRCPD's or the NRC's, but we do have a  
23 data field that tells if the event is reportable in  
24 which case it would be identified as an event which  
25 could be in either of those databases.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   We are going to be talking, actually just  
2                   next month, with Debbie Gilley to see what, if any,  
3                   combination of data we could have with SAFRON.

4                   CHAIRMAN ALDERSON:     Ms. Gabriel, any  
5                   further comments on this?

6                   DR. GABRIEL:    I think the focus of SAFRON  
7                   is a bit different in that we're worldwide and the IAEA  
8                   does quite a bit of work with developing countries who  
9                   are starting new radiation oncology services or trying  
10                  to expand the ones that they have.   So our focus is  
11                  somewhat different.

12                  As we've already discussed, we do  
13                  cooperate with other systems and organizations in  
14                  trying to service a clearinghouse to collect lots of  
15                  different reports.

16                  CHAIRMAN ALDERSON:   Thank you.   The next  
17                  ACMUI question comes from Mr. Richard Green.

18                  MR. GREEN:    There's a great deal of value  
19                  in getting an aggregation of the data so we can see the  
20                  whole picture, but with anonymized data, it just seems  
21                  you've got your clients and they've got their clients  
22                  and how do we know we're not double counting?   That's  
23                  the challenge I see.

24                  CHAIRMAN ALDERSON:   Other comments?

25                  DR. THOMADSEN:   This is Bruce Thomadsen

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       again. At the moment, between the RO-ILS and CARS you  
2       wouldn't be double counting the data because the  
3       clients are separate. Although it's totally possible  
4       that somebody who is a client of RO-ILS could be a client  
5       of CARS, too, because we do offer different services.  
6       That would be one reason why it would be very good for  
7       RO-ILS and CARS to be able to cooperate in trying to  
8       combine data in some way that would prevent that.

9                   CHAIRMAN ALDERSON:       The next ACMUI  
10       comment is from Dr. Dilsizian.

11                   MEMBER DILSIZIAN:   Thank you very much,  
12       Dr. Alderson.

13                   I want to congratulate to all the  
14       presenters. It's a wonderful start and I think that  
15       the reason we're having this discussion is because we  
16       really feel that the number of programs or number of  
17       incidents is just the tip of the iceberg. And I think  
18       that the SAFRON presentation of the clearinghouse of  
19       multiple reporting systems of 4 organizations and  
20       individual clinics that represent only 75 programs in  
21       6 continents tells us that we can't do anything about  
22       prevalence of anything here because we're talking about  
23       very small number of those who are reporting.

24                   I guess the challenge that our committee  
25       is going to be dealing with is how do we expand that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 CHAIRMAN ALDERSON: Dr. Langhorst?

2 MEMBER LANGHORST: Yes, I wanted to bring  
3 it back to what -- not necessarily that we gather all  
4 this data and learn -- I want to know what you think  
5 ACMUI and NRC can learn from your experiences to help  
6 us in advising the NRC as medical event reporting.

7 One of the things that I wanted to ask Ms.  
8 Elee was the fullness of information that your states  
9 gather from their facilities. And you speak about  
10 giving credit to the facilities and I wanted to learn  
11 a little bit more about what that meant.

12 MS. ELEE: Well, I think and I think just  
13 to address another question or maybe make a point is  
14 that we're a little different in that we are -- we don't  
15 collect from facilities and that was discussed at the  
16 beginning. And the reason is we don't want to make  
17 double work on the facilities. So if they're already  
18 submitting it to the state, we don't want them to have  
19 to submit it to us, plus there's the issue of if one  
20 group gets the certain amount of information and  
21 another group gets different information, that kind of  
22 thing.

23 The other thing that we discussed early on  
24 was near misses. We don't collect that because we are  
25 representing regulators. And if you have a near miss,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and you caught it, your system worked. We like that.  
2 So we didn't want to put those in our database because  
3 they really aren't relevant for us. They're very  
4 relevant for the facilities to learn from, but on the  
5 state side it would clog the system with things that  
6 could happen.

7 MEMBER LANGHORST: Also I wanted to add  
8 the inspectors being able to identify it.

9 MS. ELEE: Right, and that's where we  
10 think we need to do better. We think we need to help  
11 our inspectors learn how to look for events. Or maybe  
12 what the right questions, open-ended questions are to  
13 ask facilities. For example, and it's more on the  
14 diagnostic side than the nuclear side, but maybe  
15 relevant. It's not do you have events, but do you ever  
16 x-ray the wrong patient? What happens when you x-ray  
17 the wrong patient? How do you deal with that? And I  
18 think those are questions we need to learn to ask better  
19 and maybe there's a better way to do that. A lot of  
20 facilities handle those a lot of different ways and we  
21 need to figure -- they may, I dare say, some write orders  
22 for patients that they x-ray incorrectly, therefore,  
23 it's not a reportable event, because now there's an  
24 order. So there's things like that that we need to  
25 figure out how to talk to facilities about. And that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 something Lynne and I have discussed of having training  
2 at our annual meeting for inspectors.

3 CHAIRMAN ALDERSON: Thank you. Before we  
4 go on, there's some other hands up in the room. I'd  
5 like to ask people, not our invited panelists, but  
6 others who might be on the phone listening to this  
7 discussion, are there any questions out there from the  
8 public? Would anyone like to speak who is on the phone?

9 MEMBER COSTELLO: This is Frank.

10 CHAIRMAN ALDERSON: Yes, Frank, please.  
11 Frank Costello, one of our members in absentia today.  
12 Frank, please speak up.

13 MEMBER COSTELLO: Thank you, and sorry I  
14 can't be there today, but I'll try my best to be there  
15 in the spring.

16 A question for any of the panelists. Many  
17 of you mentioned that these are data made anonymous and  
18 the NRC system, when a report comes in and it goes to  
19 the WHO, it's put on the website. It's not made  
20 anonymous.

21 How important -- what advice do you have  
22 for the NRC as far as making these reports anonymous  
23 or keeping it as it is where it's identified with a  
24 particular licensee?

25 CHAIRMAN ALDERSON: That goes to any of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 our invited panelists.

2 DR. DICKER: Yes, I'll just say --

3 CHAIRMAN ALDERSON: Please identify.

4 DR. DICKER: I'm sorry, Adam Dicker,  
5 representing RO-ILS.

6 Our system is designed to scale and we've  
7 seen a flood of reports as institutions have come on  
8 board. And it's the protection that AHRQ through a  
9 patient safety organization in the de-identified way.  
10 In fact, none of us who participate on the advisory  
11 capacity of RO-ILS can be a surveyor for the  
12 AAPM/ASTRO/APEX accreditation. So we take this pretty  
13 seriously and we see incredible volunteerism,  
14 especially for things that did not for near misses and  
15 other things, for unsafe environments, stuff that you  
16 would never pick up at the level of the NRC. So we think  
17 the de-identified is incredibly important.

18 DR. THOMADSEN: This is Bruce Thomadsen  
19 for CARS. Likewise, I think if the information is  
20 going out to the public, if it were not de-identified,  
21 the likelihood of reporting would drop to zero for the  
22 most part, I would say.

23 So the anonymous reporting and the  
24 anonymous data that goes out to the public is essential  
25 for trying to get the information to the community so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       that they can learn as well as those of us who are  
2       actually working for the PSO and have to work with the  
3       facility, so we have to know who it is. But for the  
4       public, it would have to be anonymous for that data to  
5       even be here in the first place.

6               MS. ELEE: And I would just point that  
7       although CRCPD does not give out any state or facility  
8       information, the state that the event was reported to  
9       does have that information, very similar to NRC, so it's  
10      not really anonymous.

11             CHAIRMAN ALDERSON: Comments from the  
12      audience here in-house at the NRC? No, but Dr. Ennis  
13      has a question.

14             MEMBER ENNIS: This will be for any of the  
15      presenters. The protection and anonymity seem to be  
16      key, but I'd like you to put on the NRC hat for a moment  
17      and view it from the perspective of a requirement to  
18      protect the public from excessive exposures. And do  
19      you think that the current system and its lack of  
20      anonymity, if you will, actually interferes with the  
21      ability to protect the public or are these just  
22      complementary ways to get different layers of  
23      information so the highest level it's appropriate, but  
24      lower levels, near misses, the protected environment  
25      works? Are these complementary styles or are these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 really conflicting styles that we need to grapple with  
2 throughout the entire event process?

3 DR. THOMADSEN: Can I take that one? This  
4 is Bruce Thomadsen again.

5 CHAIRMAN ALDERSON: Yes.

6 DR. THOMADSEN: I think that we could take  
7 a lesson from the aviation industry which started  
8 making not only reports of problems -- it wasn't a  
9 matter of anonymity, although it was, but lack of  
10 punitive efforts in enforcing problems that happened,  
11 even if there was a violation that may have occurred,  
12 not by something like being drunk while flying or  
13 sabotage, but by an accident.

14 People who have those problems could  
15 report and in the reporting are protected from  
16 punishment. We could learn from that -- and what  
17 happened was, you don't then have the ability to punish  
18 the people who committed an error, but in the long run,  
19 they found that their reliability and safety and  
20 quality improved remarkably with this system.

21 And part of the problem with a database  
22 that's based on a regulatory framework where the names  
23 are public and it's based on potential punishment is  
24 that the information that's given to the inspectors may  
25 be reserved. They may not -- the inspectors may not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 get all of the information that the facility might think  
2 relevant to the event just because they would be afraid  
3 of that information being used against them. And so  
4 all that information which might be very relevant to  
5 an event and could be helpful in preventing events that  
6 were similar in the future becomes lost.

7 CHAIRMAN ALDERSON: Yes, Dr. Dicker.

8 DR. DICKER: I'd like to connect to make  
9 an arc to something you talked about a little earlier  
10 before this session. How can the NRC help? Well, if  
11 you look at training programs for therapy, for  
12 dosimetry, medical physics, radiation oncology, and  
13 then you look at the certification process, what is the  
14 -- how much emphasis is on patient reporting? Do  
15 people know at the dosimetry or therapy level about  
16 patient safety organizations?

17 So it's not so much that RO-ILS is the best  
18 or CARS or something, but they should belong to  
19 something, right? And what is that awareness within  
20 different communities that are involved in the chain  
21 of safety that touch a patient?

22 So I think the NRC can make in its own  
23 regulatory manner whether it's suggestions or  
24 encourage or having it as a badge of honor that you  
25 participate in some type of PSO like -- or incident

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reporting event. So I think that's where the  
2 organization at the training level can have influence,  
3 if not making it a reg.

4 CHAIRMAN ALDERSON: All right, other  
5 questions or comments from within the room? There's  
6 one here in the room. Please identify yourself.

7 MS. FAIROBENT: Thank you, Dr. Alderson.  
8 Lynne Fairobent with AAPM. I just want to make a couple  
9 of points. I agree with what Dr. Thomadsen just  
10 expressed, but I think it's important that we remember  
11 that what is reported to NRC to get to the issue of  
12 identification or not are regulatory, reportable  
13 events. They're not as with the CRCPD database,  
14 they're not necessarily collecting near misses.  
15 Although something that may be reported, the licensee  
16 is unsure if it's reported or not, errs on the  
17 conservative end and will report something that may  
18 turn out then not to be reportable event.

19 My biggest concern and problem is that the  
20 NRC database is not publicly available for us to do  
21 trending analysis on what is officially reported into  
22 NRC. So although we can do, collectively we can do  
23 trending analysis on what is in RO-ILS, what is in  
24 SAFRON, we do trending analysis with CRCPD and what's  
25 reported in their database. CARS is doing trending

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 analysis.

2 We do not have access as the public, to do  
3 trending analysis on the NRC's nuclear medical event  
4 reporting system. And that to me is problematic  
5 because yes, we learn from what we may see on near  
6 misses, but I don't know that we have had a system of  
7 where you can step back and take a look at are there  
8 trends on actual reportable events that we should be  
9 picking up earlier? Are there trends on perhaps  
10 differences in inspection and compliance analysis?  
11 And this may be, I don't know, may be able to be  
12 determined if we can access the database to see what  
13 is actually being reported in.

14 Yes, we can piecemeal all this together  
15 because the initial reports come in to the emergency  
16 ops center and you could then track them and track them  
17 through ADAMS, but it is a cumbersome way to go about  
18 that.

19 MS. ELEE: To your point, we might could,  
20 and I'm making some notes, at least CRCPD could and  
21 maybe it would be interesting to know how many of the  
22 events that are put in actually result in a punitive  
23 fine. I mean I think probably most of them at the state  
24 level are going to result in some violation letter or  
25 that kind of thing, but how many of those are actually

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       punitive I would guess a small portion which we could  
2       survey and find that out for you.

3               CHAIRMAN ALDERSON:   Thank you.   We only  
4       have a few more minutes.   I'm actually going to let the  
5       session run about three minutes longer because the  
6       previous open session ran over time, but we will be  
7       closing this session down in just a few minutes.

8               Sophie, do you have a comment?

9               MS. HOLIDAY:   Yes, I know that you asked  
10       for people on the phone if they had comments.

11              CHAIRMAN ALDERSON:   I did.

12              MS. HOLIDAY:   So at this time I would like  
13       to ask the operator if you can check to see if there  
14       are any members on the phone that would like to make  
15       a comment or ask a question.

16              CHAIRMAN ALDERSON:   Thank you.   Did the  
17       operator hear that?

18              OPERATOR:   The line is open for questions.  
19       If you'd like to ask a question, please press \*1 and  
20       record your name.   Thank you.

21              CHAIRMAN ALDERSON:   Hearing none, I will  
22       assume that there are no people out there who wish to  
23       comment who have not commented up until now.   We'll  
24       take a last comment here.

25              Dr. Langhorst, do you wish?   And then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we'll go to Dr. Collins.

2 MEMBER LANGHORST: Okay, I just wanted to  
3 say thank you to all the panelists. One thing I wanted  
4 to ask Ms. Elee is when you start going into the NRC  
5 required reporting data, will your states have to put  
6 that in NMED and then your database?

7 MS. ELEE: That's why we haven't done it.

8 MEMBER LANGHORST: Okay, thank you.  
9 Thank you.

10 CHAIRMAN ALDERSON: Dr. Collins.

11 MR. COLLINS: Thank you, Dr. Alderson. I  
12 just wanted to for context share with everybody here  
13 that the NRC's enforcement policy or process does  
14 consider actions by the licensees. So when there is  
15 a violation, when we're reviewing it, and making  
16 determinations about what, if any, enforcement is  
17 taken, we do look at whether or not the issue was  
18 self-identified by the licensee and what corrective  
19 actions are taken by the licensee and whether or not  
20 they're prompt and comprehensive. So it's not like  
21 we're flying in the blind on that. So I just wanted  
22 to make sure that that context is out there.

23 CHAIRMAN ALDERSON: And I'll just take the  
24 chair's prerogative to make two closing statements and  
25 then we'll bring this session to an end.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Things that I heard that might not be  
2           controversial and that might be able to be achieved  
3           would be if issues came up through the NRC system with  
4           a licensee to check whether that licensee is, in fact,  
5           in some sort of quality improvement organization and  
6           if they aren't, well then that perhaps should be part  
7           of the advice that that particular site receives.

8           And regarding the comment about the lack  
9           of accessibility of the NRC database, I would suggest  
10          that that's not easily resolved, but in fact, one of  
11          our current initiatives here in the ACMUI is to improve  
12          communication with our outside partner organizations.  
13          So in fact, if we could internally decide what triggers  
14          communication, if there is a trend, and then make that  
15          trending available to some of these outside QI  
16          organizations that might fulfill some of that need.

17          But I would like again thank everyone and  
18          Dr. Langhorst for organizing this excellent session and  
19          that will bring us to a close. We're now on break until  
20          10:30.

21                 (Whereupon, the above-entitled matter  
22          went off the record at 10:17 a.m. and resumed at 10:33  
23          a.m.)

24                 CHAIRMAN ALDERSON: We'd like to reconvene  
25          now for the next portion of the meeting. This is the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Medical Event Subcommittee Report. Dr. Ron Ennis is  
2 ready to give us that report.

3 So, Dr. Ennis, you are on.

4 DR. ENNIS: Thank you, Dr. Alderson, and  
5 good morning everyone.

6 This will be the annual report of medical  
7 events for Fiscal Year 2015. I want to start by  
8 thanking my Subcommittee members, Dr. Langhorst, Dr.  
9 O'Hara, Dr. Palestro, Dr. Suh, and Dr. Zanzonico, who  
10 helped in various ways in putting this together, and  
11 if there's discussion, may even speak to some of the  
12 events as needed.

13 And, I also want to thank Dr. Donna-Beth  
14 Howe for her comments on our report as it was being  
15 developed.

16 So, we will start with 35.200, unsealed  
17 byproducts for imaging and localization. So, in the  
18 current fiscal year, there were four such events.  
19 Three of them involved technetium, two from myocardial  
20 perfusion studies, and one for lymphoscintigraphy, and  
21 one thyroid I-123 event.

22 In a little more detail, the technetium  
23 events, one of them was technetium pertechnetate,  
24 instead of technetium-sestamibi was used. Another  
25 one, again a different technetium product was confused,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the one for the other, failed to follow proper  
2 procedures in detail were felt to be the causes of  
3 those.

4 The lymphoscintigraphy events, I think  
5 again, not again, was a technologist not identifying  
6 the proper patient, and dosage.

7 And, the other event was the wrong activity  
8 delivered by ten-fold, and was attributed to "human  
9 error." Someone, presumably, put a decimal point in  
10 the wrong place, or misread the decimal point.

11 Moving on to unsealed materials that  
12 require written directive, there were seven events.  
13 Five of them involved I-131. One involved radium, and  
14 one involved this monoclonal antibody.

15 And similar themes again, the first event  
16 was a overdose, almost 45 percent, again, technologists  
17 failing to confirm the activity.

18 Second event, ten-fold, so a decimal point  
19 issue it would seem in that the written directive was  
20 written incorrectly.

21 The third one involved a significant  
22 overdose as well, with the technologist selecting the  
23 wrong vial and not confirming with the written  
24 directive.

25 And, the last one involves double -- half

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a dose, excuse me, technologist was supposed to have  
2 delivered two capsules but only gave one.

3 And, the last of this group was not really  
4 very well described, so it's hard to know exactly what  
5 happened. This was a 21 percent under dose, and it is  
6 described as "failure to follow procedures."

7 So, certainly, these are all fairly  
8 similar in their issues, I would say.

9 The radium events, again, a similar thing.  
10 The dose was almost double, and it was attributed  
11 misreading a prescription and administration of the  
12 incorrect dose because of that.

13 And, iodine monoclonal antibody, this was  
14 a different issue, which we will see later on. In other  
15 settings, this also occurs, as you'll see later on, but  
16 here a leakage of a catheter connector that they hadn't  
17 noticed on visual inspection.

18 So, that's the events of unsealed sources  
19 for the year that have been reported.

20 And then, for 35,400 events, so HDR events,  
21 so these are the trends over the last few years, then  
22 comments from the last session about trending analysis.  
23 So the number events is small, so trending analysis was,  
24 obviously, kind of limited. And, I would say that  
25 there's no clear trend here. The relatively small

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 numbers is the only consistent trend.

2 In terms of the specifics of the events of  
3 this year, so this is something we haven't seen to my  
4 recollection recently, but head and neck implants with  
5 iridium wires, these are placed and left in the patient  
6 while the patient remains in the hospital for a period  
7 of hours to a day or two.

8 And, in this event the patient was checked  
9 in the morning by the MD; everything looked good. And  
10 the MD did rounds on the patient midday. One of the  
11 sources of the iridium wires was missing.

12 They searched, whatever, and they found it  
13 in the linens that had been changed around 10:00 a.m.  
14 So, the presumption is that it fell out or was pulled  
15 out in some manner between the morning check and the  
16 bed linen check, maybe involving the bed linen check  
17 around 10:00 a.m.

18 So, it was found at noon, and it was  
19 reinserted; the treatment was completed from a dose  
20 delivery point of view. The facility and the  
21 regulators agreed later that there was no event there,  
22 but the regulators on the site visits thought there may  
23 have been an unintended skin dose. If that source had  
24 been lying in the bed linens along the patient's side,  
25 for example, for those several hours, it could have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 yielded a dose that would qualify as a medical event  
2 through the skin.

3 So, it was then reported to the NMED there.  
4 According to the licensee there was, actually, no  
5 patient toxicity related to this and that they dealt  
6 with this by writing a new policy, presumably, about  
7 how bed linen is changed or something along those lines.  
8 It was a little vague in the specifics.

9 Another -- there were seven events in  
10 prostate brachytherapy. In one, the physician mistook  
11 the penile bulb for the prostate. So, this is  
12 something we saw last year, from our recollection as  
13 well, a couple of times. It doesn't seem to happen  
14 commonly. There's one or two a year, but the prostate  
15 bulb is a structure that's at the base of the penis below  
16 the prostate. It is round, and can confuse someone to  
17 think it's the prostate if they're too quick to make  
18 that assumption.

19 The licensee, actually, attributed it to  
20 calibration changes on their ultrasound unit that had  
21 been done just prior to that procedure. That is my own  
22 editorial comment that I'm surprised there was no  
23 attribution to the MD in this error, but I wasn't there  
24 to say for sure.

25 So, they implemented a procedure to be sure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       their ultrasound is double-checked prior to using it  
2       after any service, which does seem to be a good policy  
3       to have in place.

4               Other events related to prostate  
5       brachytherapy, some of these are going to reflect  
6       things that in the new rulemaking may not be events,  
7       and maybe are examples of why the rulemaking is needed,  
8       but they are reported as of now, so we'll review them.

9               In one case, this does not fall into that  
10       comment that I just made I would say, the source ordered  
11       based on air kerma rather than millicuries, so there  
12       was some confusion there between what was prescribed  
13       and what was ordered. So, they ended up delivering 20  
14       percent more dose because of that error, and they just  
15       implemented new procedures and labeling to make sure  
16       everyone is talking the same language throughout their  
17       process.

18               In another case, which again does not fall  
19       into the category of things that would not -- this would  
20       still be an event. It's my understanding it should be.  
21       The dose delivered was more than intended in a  
22       significant way. The error, essentially, is that  
23       prostate brachytherapy can be done in combination with  
24       external beam, and then it's called a boost, if you  
25       will, and the dose is discounted to a degree because

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of the external beam that's delivered, or it can be done  
2 as sole treatment.

3 And, essentially, this patient was  
4 supposed to receive boost implant to be combined with  
5 external beam, but instead they did a full dose implant,  
6 which was not their intention. And, the decision  
7 between those two is a medical one, but once they made  
8 the decision to do one, they didn't follow through on  
9 the one that they did. They dealt with that medically  
10 by just not doing the external beam part of the  
11 treatment to not further overdose the patient, but  
12 clearly that wasn't really their medical intent to  
13 begin with, so it was an event.

14 And, they corrected -- tried to modify  
15 their procedures in confirming documentation so they  
16 are clear through their process what type of implant  
17 is planned, boost versus full-dose implant.

18 This event is one where the dose delivered  
19 was 27 percent less. It's a little vague in the details  
20 to really be able to comment to you on what exactly  
21 happened, other than to say that there seems to be a  
22 lot of procedural problems at this site, because the  
23 licensee was cited for several failures, not developing  
24 proper written procedures, not doing proper  
25 exceptions, testing some computers, not properly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 documenting post-procedure written directives, not  
2 doing an annual review of quality safety programs. It  
3 doesn't sound like they had much of a safety culture,  
4 but, again, details of the specific cases are not  
5 forthcoming, and they were requested to hire a medical  
6 physicist to audit their program in the future.

7 Another one, which is listed, again, in  
8 somewhat vague terms, but said that it was due to,  
9 "irregularities found in the licensee's practice." I  
10 don't know if it's related to my prior case presented,  
11 because they are from the same corporate entity but seem  
12 to be different sites within the same corporate entity.  
13 So, I don't know if the regulators said, all right, now  
14 that we saw a problem in one division of this entity,  
15 we are going to go visit the others, and then in another  
16 one they found a problem. That's a bit of  
17 interpretation on my part, but that may be the case;  
18 it's hard to say for sure.

19 In that event, they did find two specific  
20 medical events where the dose delivered was 37 percent  
21 of prescription and 67 percent of prescription. Both  
22 used palladium. But again, really can't comment much  
23 on the events themselves with this little data provided  
24 about the specifics of the events.

25 Here's one with D90. This again is a dose

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 parameter that is often used, but I'm not clear if  
2 that's really a regulatory thing, because although  
3 some -- many papers show D90 to be important, not every  
4 research study has. But in any event that was used in  
5 their report and was reported to NMED as 34 percent less  
6 of prescription, although it was later retracted.  
7 That with further investigation, I guess the regulator  
8 felt it was not an event. Anyway, this highlights the  
9 need for the rulemaking that we've been talking about  
10 for a while.

11 And again, one case where misplaced seeds  
12 resulted in a higher dose in the rectum by 61 percent.  
13 This is also a little vague, because that could be  
14 trivial, or it could be significant. 61 percent is  
15 only a percentage. If it was 61 percent of 1 cc of the  
16 rectum got 160 Gy instead of 100 Gy, maybe that doesn't  
17 matter. But, if it was 5 ccs, et cetera, you  
18 understand. So, it's a little hard to know whether  
19 this is really something that ought to be a medical  
20 event or not, but it was reported, and the licensee,  
21 essentially, said they could find no cause other than  
22 it's not an easy procedure to do, and there's some  
23 inherent uncertainties in there.

24 Moving on to Gamma Knife, and other .600.  
25 So, here we'll do the HDR, I apologize. And, so again,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not much of a trend really. I would say, perhaps, a  
2 slight uptick in HDR, but very -- I wouldn't really make  
3 anything of it. We'll go over the specifics of the  
4 HDRs.

5 One was a bust; nine were GYNs; one was a  
6 skin too long. And, conceptually or categorizing  
7 them, five had to do with wrong positioning of the  
8 sources, or the applicator in which the sources went,  
9 and three had to do with wrong reference length entered  
10 in the planning. Two were, it was, actually, the wrong  
11 patient's plan, presumably, two patients with the same  
12 disease getting fairly similar treatment, but still  
13 it's the wrong patient. And one was "a deficient  
14 treatment plan", again, what the deficiencies were was  
15 a little vague, and two machine problems due to some  
16 type of malfunction. I don't think we have more data  
17 on those two to know whether they are a common thread,  
18 unfortunately.

19 And, generally, the way these were dealt  
20 with were increased training or fixing the units or  
21 upgrading of units, implementing a proper timeout,  
22 verification of the site cylinder placement. This  
23 would be for, presumably, a vaginal case, that it's  
24 place correctly, and making sure that it's in the same  
25 position when you complete the treatment. And,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 manufacturer notification, presumably, having to do  
2 presumably with one of the cases that we alluded to  
3 before.

4 In terms of Gamma Knife, so there were no  
5 regular Gamma Knives, but there was one perfection  
6 event, fairly significant it seems. Systematic  
7 problem that occurred for eight patients, where the  
8 target was off by 1.8 millimeters. So again, depending  
9 on exactly what was being treated, that could be pretty  
10 significant. Those exceeded the prescription by 100  
11 percent, and they implemented a new set of tests to  
12 verify patient positioning to prevent that from  
13 happening again.

14 Moving on to 35.1000 events. So these  
15 are, essentially, all microspheres this year. They  
16 are all microspheres this year. Radioactive seed  
17 localization, there were no events reported this year  
18 for that modality.

19 In terms of the microsphere events, so  
20 three of them were situations where microspheres were  
21 retained in the catheter, the tubing, the hub, the vial,  
22 and it resulted in under doses in the 70 to 60 percent  
23 range. Five of them were situations where small  
24 catheters were used, which led to microspheres being  
25 retained in the hub, and all these occurred at one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 particular institution. We'll get into more detail in  
2 the next few slides on these.

3 One was just an incorrect set up of tubing,  
4 and really about tubing and catheter issues. One was  
5 incorrect tightening of the tubing, leading to a leak.  
6 And, one was kinking of the tubing. So, a lot of  
7 those -- all those, obviously, they are falling into  
8 the tubing and catheters, et cetera.

9 There were some other categories as well.  
10 There was a low flow to some small arteries leading to  
11 a decrease in dose from intended. There was one  
12 case -- well, I'll give you some more detail in a moment,  
13 where the stomach actually received a low dose, but  
14 still an unintended dose, and the infusion itself was  
15 discontinued because the shunting was going on, and the  
16 catheter, apparently, moved during a procedure when the  
17 fluoroscopy table was moved, and this wasn't detected.  
18 And this led to infusion of a significant percentage  
19 of the dose to the wrong vessel, which then went to the  
20 small bowel, rather than to the liver. And,  
21 presumably, they did not re-image after moving the  
22 table, and the corrective action was to do that in the  
23 future.

24 And, two others went to the wrong arteries.  
25 One was in the liver, but to the wrong artery, so it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 was, therefore, the wrong lobe. And, the corrective  
2 action was to have an angiogram present, so you remember  
3 which side of the liver you are going to.

4 And one was there was an infusion into the  
5 kidney, to the artery feeding the kidney, the renal  
6 artery, instead of to the liver, and this delivered a  
7 very significant dose to the kidney.

8 This had been the first procedure done by  
9 the licensee, which as we all know, the first time you  
10 do something, typically, it's a set up for potential  
11 errors. They caused no damage to the kidney, but the  
12 "yet" is my editorial comment. That was a lot of dose  
13 to the kidney.

14 And, corrective action is to have a formal  
15 checklist, mapping of the images prior to procedure,  
16 analogous to the prior case, and making sure a second  
17 MD confirms the positioning of the kidney. That seems  
18 like a wise idea.

19 And so, two reported, actually, as  
20 underdoses, but then were later retracted with further  
21 investigation which revealed the correct dose. Again,  
22 more detail about like what was the -- why did one person  
23 think it was one, or what was the correction, not enough  
24 detail to be able to comment on that.

25 So, those were the TheraSphere and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 other brand. I'm blanking on the name right now. But  
2 that's the events for the year.

3 The last part is about other medical events  
4 that are more to do with transport and things like that,  
5 and there are a variety of these, and we've got the  
6 current year's events and then in parentheses last  
7 year's number of events, and similar to everything  
8 else, I would say there's no clear trends, just bouncing  
9 around in relatively low numbers, but considering the  
10 scope of these things.

11 So, there's some leaking sources.  
12 There's some lost sources in shipping. Thankfully, no  
13 category 1 or category 2 sources. Some shipping  
14 issues. And, what we've talk about here a couple years  
15 ago, landfill alarm issues.

16 Some occupational over exposures, no  
17 public exposures this year. No airborne issues this  
18 year. A couple equipment failures, some  
19 contaminations, record-keeping, no "suspicious"  
20 activities.

21 Cesium-137 is just isotopes that are used;  
22 you can see them here.

23 Lost source after procedure 10,  
24 lost/found, you see there a few of those, lost during  
25 shipment. The package was thrown away we didn't have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 any this year. Theft, none this year as well, and a  
2 buried pacemaker. Okay. That should be our worst  
3 problem.

4 And, delivery to the wrong address, there  
5 were four, stored in unsecured area, one; accidents on  
6 the roads, none this year; shipping package issues; and  
7 there were no sources delivered to someone who is not  
8 approved. That's good.

9 And, landfill issues, you know they have  
10 been one in the past, and there are, again, a decent  
11 number of them, but I guess in the big picture, it's  
12 still not a huge number. And again, not very much from  
13 years past.

14 But, I guess only a few Agreement States  
15 actually report these, so, presumably, there are a lot  
16 more out there. And, why some states report these and  
17 some states don't I guess is worth a conversation. I  
18 don't know.

19 So again, pretty stable trends I would say.  
20 Nothing jumps out. There are a few patterns there, for  
21 example, in the microsphere arena there seems to be  
22 tubing issues as, you know, a significant reporting of  
23 these events are tubing issues, I don't know if that  
24 means we can send out an alert, or someone ought to tell  
25 people to be really careful about your tubing issues,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 whether there's a mechanism for that, I don't know.  
2 But, that is one thing that stands out a little bit,  
3 and was a fair number, you know, that were within that  
4 space.

5 All the rest seems to be pretty much  
6 reading the written directive properly, following the  
7 proper procedure, being clearly documented, putting  
8 the decimal point in the right place. These are, you  
9 know, not really things I think that we can, it's part  
10 of being human beings and being flawed.

11 So, that really concludes my report. I'm  
12 happy to answer any questions.

13 CHAIRMAN ALDERSON: Thank you, Dr. Ennis.  
14 Yes, I have two questions, they are just  
15 clarifications. One is just a clarification.

16 On the slide that was about two slides ago,  
17 it's your page number -- it's this table, it's your  
18 slide number 36.

19 DR. ENNIS: Is that this one?

20 CHAIRMAN ALDERSON: Yes, that one.

21 DR. ENNIS: Okay.

22 CHAIRMAN ALDERSON: The other events,  
23 landfill. Down at the bottom it has these percentage  
24 numbers on each one of those reports from agreement  
25 states. Is that a misprint, or does that mean there were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 18 such problems this year in Alabama, and 12 last year,  
2 or what's the percentage?

3 DR. ENNIS: I think it's the percentage of  
4 events reported by that particular state for the entire  
5 group. So, California presents 81 or 85, depending on  
6 the year presented, of all the events reported to NMED  
7 of a landfill type.

8 CHAIRMAN ALDERSON: I see. Okay.

9 DR. ENNIS: So, California seems to care  
10 about landfill events more, or has a lot more of them.

11 CHAIRMAN ALDERSON: Yes. All right.

12 MEMBER LANGHORST: Dr. Alderson, I'll say  
13 these are the ones that I could tease out that looked  
14 like could be from a medical type of issue.

15 CHAIRMAN ALDERSON: I see. Okay. Thank  
16 you.

17 And, I guess that we commented earlier on  
18 that very nice set of slides that just appeared about  
19 two days ago, and are these all -- those are all going  
20 to be updated by this year's report?

21 DR. ENNIS: Yes.

22 MEMBER LANGHORST: So, let me talk a little  
23 bit about that, because last year was supposed to be  
24 the first time I reported on that, and I apologize, I  
25 wasn't here. I really apologize that I wasn't here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 But, that spring meeting before that, the  
2 issue was raised that one of our former members, Ralph  
3 Lieto, used to do this presentation, and everyone  
4 looked at me like, why haven't you been doing this. I  
5 didn't know I was supposed to.

6 So, I think in the past, when Ralph gave  
7 those reports, he, typically, gave them when Dr. Howe  
8 did her updates. And so, what I'd like to propose is  
9 that I put together this new set of data and report it  
10 at the spring meeting with Dr. Howe, kind of get it out  
11 of the medical look at medical events, to just kind of  
12 update that.

13 And, hopefully, that I could work with  
14 Zoubir Ouhib to get him up to speed on it, so he can  
15 carry it forward after I'm off of the committee.

16 So, that's what I'm going to propose, and,  
17 hopefully, we can work that out.

18 CHAIRMAN ALDERSON: Sounds like a good  
19 plan. Does anyone else have a comment on that?

20 Thanks very much, that will be excellent.

21 DR. TAPP: Dr. Alderson, this is Katie Tapp.  
22 And, to respond to Dr. Ennis' comment about issuing a  
23 notice regarding tubing with the yttrium-90 event.

24 The NRC does have options to issue generic  
25 communications, such as information notices, to share

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 operating experience and events. If that is something  
2 we are going to identify here, we can look that and share  
3 that with industry.

4 DR. ENNIS: We think there are enough events  
5 that that would be worthwhile.

6 CHAIRMAN ALDERSON: Mr. Green.

7 MR. GREEN: Not, specifically, on the  
8 TheraSpheres, but for the Part 100 -- sorry, Part 200  
9 and Part 300, radiopharmaceutical event that you  
10 mentioned in the beginning of your presentation.

11 Almost every other medication in the  
12 hospital, except radiopharmaceuticals, is now tending  
13 towards bar code bedside verification of accuracy of  
14 drug. And, nuclear medicine has always been a gap.

15 The Institute for Safe Medication  
16 Practices, ISMP, is propagating pushing this to be a  
17 standard of care. And, radiopharmaceuticals, at least  
18 through certain providers, are now barcoded for bedside  
19 verification. So, knock on wood, we might see a decrease  
20 in events related to radiopharmaceuticals if barcoding  
21 does catch on.

22 DR. ENNIS: Just for a licensee, what is  
23 required on their end? Do they have to buy equipment?

24 MR. GREEN: No, it's printed on the  
25 prescription.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. ENNIS: What's that?

2 MR. GREEN: It's printed on the  
3 prescription. So, it should interface with the  
4 barcoding technology you already use to give the  
5 patient a Tylenol.

6 But, nuclear medicine was a gap. Now it  
7 may come with, doses of sestamibi or medrinatate would  
8 come with a barcode on the prescription.

9 DR. ENNIS: But, I think those barcoding  
10 things are for in patient.

11 MR. GREEN: Yes.

12 DR. ENNIS: So, what -- but, on the  
13 outpatient side such a system isn't in place. So, if  
14 someone was an outpatient in nuclear medicine?

15 MR. GREEN: Then they'd have to outfit  
16 themselves with barcode readers.

17 CHAIRMAN ALDERSON: A clarification, Mr.  
18 Green.

19 Is this only if certain suppliers are  
20 providing those doses from certain central pharmacies?

21 MR. GREEN: That's correct.

22 CHAIRMAN ALDERSON: So, if you are an  
23 academic medical center you have your own in-house  
24 group, this does not exist.

25 Dr. Langhorst.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   MEMBER LANGHORST: And also, if you get a  
2 bulk dose, if you are proportioning it out to give to  
3 patients, you wouldn't have that patient's specific  
4 barcode. So, it would mean you would have to have  
5 barcoding, not only just barcode reading.

6                   MR. GREEN: Right. So, it is related to the  
7 patient-specific unit dose.

8                   CHAIRMAN ALDERSON: So, this may be a trend  
9 that's coming, but it's coming only in one part of the  
10 industry right now.

11                  Other comments or questions about the  
12 medical events report?

13                  Anyone from the audience who would like to  
14 comment?

15                  MEMBER COSTELLO: This is Frank.

16                  CHAIRMAN ALDERSON: Yes, Frank.

17                  MEMBER COSTELLO: Dr. Ennis, I have a  
18 question for you to think about. Reflecting on what  
19 we heard in the previous presentations about their  
20 reporting systems, and noting that you noted some of  
21 our reports were lacking detail or maybe lacking depth  
22 on root cause.

23                  Should we consider any recommendation on  
24 how the NMED reports are prepared, prepared and the  
25 level of detail that are in them. So, it makes our

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 analysis more meaningful.

2 DR. ENNIS: I think, certainly, that would  
3 be helpful. I guess I don't know like how you would  
4 create a language in the regulation that would,  
5 specifically, illicit enough detail, if it's not being  
6 provided, because this really comes out of the  
7 anecdote, the story as one of the speakers said before,  
8 really, what you get in the information is the narrative  
9 part.

10 I don't know that we could -- but maybe  
11 someone has an idea how to mandate a narrative that is  
12 rich enough for us to really interpret it.

13 MR. GREEN: I'd like to comment on that.

14 CHAIRMAN ALDERSON: Mr. Green.

15 MR. GREEN: Yes, I'm thinking of, you can  
16 either write your own procedure to do dose calibrator  
17 testing, or you can say I'll follow the guidance  
18 provided in, I believe it's, Appendix O. So, you can  
19 either take the easy path, or do it yourself.

20 There may be an opportunity here to write  
21 a couple of examples of ways to do it, so folks will  
22 have a good example of how to provide all the details  
23 we are looking for, so we can, actually, make sense of  
24 an event.

25 CHAIRMAN ALDERSON: Yes, Dr. Langhorst.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER LANGHORST: On the NMED reporting,  
2                   this is either NRC putting in that data or agreement  
3                   states putting in that data. So, it's the same kind  
4                   of issues that Ms. Elee had brought up about CRCPD  
5                   reporting.

6                   And, I have a question probably for NRC  
7                   staff, I know in looking at NMED data, which is very  
8                   nice that our committee has access to that, but the  
9                   public does not, that there seems to be agreement states  
10                  that never put anything in there.

11                  And so, I don't know what the requirement  
12                  is to report NMED incidents and have it be in there by  
13                  the various agreement states.

14                  CHAIRMAN ALDERSON: Dr. Howe has a comment.

15                  DR. HOWE: The matter of compatibility for  
16                  NMED is a C, which you discussed in length with the  
17                  medical reporting part of it, and that means you have  
18                  to meet the essential objectives, but they don't have  
19                  to be identical.

20                  I did want to say that the requirements of  
21                  what needs to be reported are included in 35.3045, and  
22                  it's a brief description of the event, why it occurred,  
23                  the effect, if any, on the individual, and what actions,  
24                  if any, have been taken.

25                  You will find that in many cases if it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 an NRC report, you will see a lot more information,  
2 because our inspectors will go out and give an  
3 inspection report, put more of their information in.

4 The agreement states had a more mixed  
5 response on the richness of the information that is  
6 provided, but they have to provide this minimum  
7 information.

8 CHAIRMAN ALDERSON: Yes, Doug.

9 MR. BOLLOCK: I can address that a little  
10 bit more. So, the states, by agreement with us, there  
11 are state agreement procedures, they will, in a certain  
12 amount of time, when it's in an agreement state, the  
13 event happens in an agreement state, they will report  
14 to us, and then put in NMED at least those -- or what's  
15 required in our regulations, or pretty close to that.

16 And then, as Dr. Howe was saying, NMED  
17 reports then get updated after inspectors who are  
18 filing inspections occur, they -- that's where they may  
19 get the probably causes or root causes. And so, it does  
20 vary on how much follow through is done, at what point  
21 that was, and then there will be updates periodically  
22 in NMED.

23 You know, our inspectors are good about  
24 updating it after their inspection, after they have  
25 completed the report and their evaluation. And, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 states, some of them are very good about that, others,  
2 as you alluded to, are not as good.

3 So, yes, there are some disparities in the  
4 data in NMED.

5 CHAIRMAN ALDERSON: Yes, Dr. Langhorst.

6 MEMBER LANGHORST: I want to follow up on  
7 one thing that Ms. Fairobent brought up, in that on the  
8 NRC's event reporting website, the agreement states do  
9 put in their events. And so, there's not anonymity,  
10 necessarily, if the facility is named. But, some  
11 agreement states don't name the facility.

12 And, as Ms. Fairobent brought up, you can,  
13 for NRC inspections, look at inspection reports and  
14 kind of tease out the information. But, those reports  
15 for agreement states aren't available. And so, again,  
16 there's just very little follow up information you can  
17 get publicly.

18 MR. COLLINS: So, this is Dan Collins.  
19 Just wanted to respond on that.

20 The few states that do provide some level  
21 of anonymity to their licensees, it's because they have  
22 state laws in place that are associated with that. The  
23 remainder of the states, most of them have some form  
24 of a Sunshine Act that requires the information to be  
25 public, similar to Federal regulations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: Frank Costello, you  
2 are, obviously, our states' representative. Did you  
3 hear this discussion? Do you want to make any  
4 comments?

5 MEMBER COSTELLO: Yes, thank you.

6 There is variety among the states, and this  
7 reporting system, you know, the medical event reporting  
8 system, has a huge purpose, which is to, you know,  
9 identify cause of medical events and share it with  
10 community. Ultimately, the goal is for the patient's  
11 safety.

12 The more, the richer the data is the  
13 better. Something that, perhaps, could be considered  
14 would be that if the states provide information and the  
15 NRC reviews it, there could be a dialogue between the  
16 NRC and the states maybe to tease out a little more  
17 information that the state didn't include the first  
18 time.

19 You know, there is, certainly, variety  
20 among the states on this and on every other issue. But,  
21 I think if there was continued dialogue between the NRC  
22 and the state when the reports comes in, that variety  
23 could be reduced a little bit.

24 CHAIRMAN ALDERSON: Thank you, and Dr.  
25 Langhorst and Dr. Ennis then will comment.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER LANGHORST: Sorry, and I want to  
2 follow up, too, that in the NMED data there are, is it  
3 quarterly reports that are published, or annual  
4 reports.

5                   DR. ENNIS: Annual reports.

6                   MEMBER LANGHORST: Yes. But, that few -- I  
7 can't remember now if they are anonymous or they do name  
8 facilities.

9                   DR. ENNIS: They do not.

10                  MEMBER LANGHORST: They do not. So, that  
11 is publicly available, but again, any details, there  
12 are, I'm going off of memory, there are details on some  
13 of the events, but those are mainly NRC type events.

14                  CHAIRMAN ALDERSON: Dr. Howe wants to  
15 respond to that one.

16                  DR. HOWE: Well, also in response to a  
17 similar question earlier, we are starting to --

18                  MEMBER LANGHORST: We cannot hear you at all  
19 back here.

20                  DR. HOWE: Okay. In response to that  
21 comment made earlier in previous ACMUI meetings, we are  
22 beginning to put together our slides that we present  
23 in the spring to give the public an idea of what the  
24 medical events are. We are not giving names of  
25 licensees at that point, but we are giving a short

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 description of what the events were.

2 And, the other pointed I wanted to make is,  
3 when you do your NMED reports, there is a short report,  
4 and it's the short report I provide to you at the spring.  
5 And, one of the reasons I developed that one was at the  
6 bottom there are references. And so, if you believe  
7 you need to see those references, we can go back and  
8 ask for the inspection reports and enforcement reports  
9 and those things that are referenced there, although  
10 many of them are very limited.

11 MEMBER LANGHORST: And, just one more point  
12 for our new committee members. NMED does not mean it's  
13 on medical events. It stands for nuclear material  
14 event database. So, it isn't just medical, it's all  
15 nuclear materials. So, don't be fooled by the NMED  
16 acronym. I was for many years.

17 CHAIRMAN ALDERSON: I think Ron Ennis has  
18 the next comment.

19 DR. ENNIS: Two comments. So one regarding  
20 this, and again I don't know the mechanisms, but I would  
21 endorse what Mr. Costello said, that NRC would  
22 encourage a more interactive process and a review as  
23 NMED reports come in, and a determination of their  
24 adequacy. And, if not, really reach out and get that  
25 information, so when we do this it's more meaningful.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 I would say 10 to 20 percent of these cases really were  
2 not meaningful. And, if that becomes an operating  
3 procedure, then they can improve the quality of what  
4 we do.

5 Along the same lines, even if it's Category  
6 C, the disparity which states are reporting I think  
7 needs to be addressed. I think ongoing dialogues with  
8 the states that are not reporting, they can't not have  
9 the same proportion of events as the other states with  
10 the number of, you know, radioactive fields being used.  
11 It's extremely unlikely.

12 So, there's an issue there that I think  
13 needs, you know, some kind of dialogue between the  
14 specific agreement states and the NRC.

15 Then, I would like to ask that what Dr. Tapp  
16 suggests should be done, but I don't know if all of us  
17 feel the same, to, actually, send that with some kind  
18 of alert or whatever it would be called to users of the  
19 microspheres about tubing issues, because I think we  
20 see, I don't know if it's about half of the events, or  
21 about tubing issues. So, we might as well let people  
22 know, pay a little more attention to the various tubing  
23 issues in some non-punitive but educational manner.

24 CHAIRMAN ALDERSON: Right, and I think that  
25 does go along with the earlier session. The trending,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 if we and the NRC find trending, it would be very useful  
2 to communicate that trending to the user community so  
3 they could be on alert.

4 Dr. Metter, did you want to comment?

5 MEMBER METTER: No, no, I totally agree with  
6 what he was saying.

7 CHAIRMAN ALDERSON: She did not. I'm  
8 sorry. I misinterpreted yes.

9 Dr. Bollock, did you want to comment?

10 MR. BOLLOCK: I was just going to say, if  
11 we let Dr. Palestro say, then Mr. Collins and I can  
12 address some of these and speak a little bit more.

13 CHAIRMAN ALDERSON: All right. Chris?

14 MEMBER PALESTRO: Yes. Whenever I listen  
15 to these reports, and look at the slides, I always try  
16 to figure out what I can learn from them from the nuclear  
17 medicine standpoint to take back to my own division,  
18 to try to improve things or make sure that we have things  
19 in place.

20 And, in thinking about this, particularly,  
21 the nuclear medicine section today, it seems that  
22 although the data is somewhat limited a lot of the  
23 errors can be attributed to procedural failures.

24 CHAIRMAN ALDERSON: Yes.

25 MEMBER PALESTRO: And, I think that this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the type of information that we can bring back to our  
2 Society meetings, without going into specifics, and  
3 merely identify these are the types of things that we  
4 see, and when you go back into your practice you can  
5 review them and see whether or not everything is in  
6 order, or you feel that you can make improvements.

7 CHAIRMAN ALDERSON: Not only review, but,  
8 perhaps, preemptively teach about these procedures,  
9 and make people sort of relearn them periodically, to  
10 make sure that they know what they are doing, for  
11 example. That's great advice, Dr. Palestro.

12 MR. BOLLOCK: And so, we can address some  
13 of those. The first thing I'd start with, if ACMUI has  
14 a recommendation to us to do some sort of generic  
15 communication on the tubing issues, we can do that,  
16 actually put a note as that being a possibility. You  
17 know, it's fairly easy for us to write an information  
18 notice or some sort of generic communication and get  
19 that out to licensees, and also to the states.

20 CHAIRMAN ALDERSON: Good.

21 MR. BOLLOCK: So, that's something we can  
22 do.

23 MS. HOLIDAY: Doug, before you continue,  
24 this is Sophie, may I ask if that is an official  
25 recommendation or motion put forth by the committee so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       that it may be captured on the record?

2               CHAIRMAN ALDERSON: Okay, so let's make  
3       this -- is there a motion to that effect?

4               So moved.

5               Is there a second?

6               MEMBER COSTELLO: Second.

7               CHAIRMAN ALDERSON: Fine, there's a second,  
8       and then is there any further discussion of this motion?

9               Yes, Dr. Dilsizian.

10              DR. DILSIZIAN: Ron, were the tubing  
11       issues, do you think, manufacturer problems, or is it  
12       kinking, they are not tightened right? We don't get  
13       that data, right?

14              DR. ENNIS: Right.

15              DR. DILSIZIAN: So, it's tough for us to  
16       really say there's tubing problems without having  
17       details data.

18              DR. ENNIS: Well, I guess you could -- I  
19       think you could say, you know, just pay attention to  
20       tubing, it could be human, it could be manufacturer,  
21       but just a heightened awareness to make sure everything  
22       is fitting together, and then you'll figure out, oh,  
23       I haven't been doing this right, or, oh, this tubing  
24       stinks, I need a new company.

25              CHAIRMAN ALDERSON: Mr. Green.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. GREEN: And, there are two  
2 manufacturers, is it fairly equal between the two, that  
3 it could be globally for the procedure?

4 DR. ENNIS: That's my impression. We could  
5 jump back if we have the time, but my impression was  
6 fairly mixed between the two. So, there were six SIR  
7 and eight TheraSphere, let's see, there was about 12  
8 patients overall. It might take a little work to go  
9 through, I don't know if you want to do that. But, my  
10 impression was that it was pretty split.

11 CHAIRMAN ALDERSON: Other comments? There  
12 is a motion on the floor.

13 Dr. Langhorst.

14 MEMBER LANGHORST: Would it be more  
15 meaningful if the ACMUI encourages the manufacturers  
16 to look at this, because I don't think we have enough  
17 data to put together an information notice. And, I'd  
18 hate to put out many information notices, because that  
19 dilutes the importance of those, when we don't have the  
20 full information, but to encourage the manufacturers  
21 to look into this, and maybe even provide us with  
22 something to say that this is their view on that  
23 problem.

24 CHAIRMAN ALDERSON: Mr. O'Hara wants to  
25 comment on that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER O'HARA: Yes.    The manufacturers  
2                   are regulated by the FDA.   And, we are encouraging the  
3                   manufacturers to look at these issues, these kinking  
4                   issues.   In some cases, kinking or a physician uses a  
5                   different catheter.

6                   I think at least one of the -- actually,  
7                   I think both of the providers, actually, have their own  
8                   catheter.   So, the FDA is talking to the manufacturers  
9                   about the catheters.

10                  MR. BOLLOCK: If I can address this.   Just  
11                  a few things, but my staff, if we were going to develop  
12                  an information notice we would look and try to get as  
13                  much detail as we could in certain cases.

14                  And, it could be just as generic and simple  
15                  as, there have been a number of cases, you know, and  
16                  give a couple of examples.

17                  So, yes, just be mindful of that.   And, a  
18                  lot of times that is -- it can be as simple as that.  
19                  It's just an information notice, just awareness to  
20                  licensees and the public and the other regulators.   So,  
21                  it could be that simple.

22                  So, yes, we don't, necessarily, have  
23                  to -- I mean those are other options, you know, we  
24                  wouldn't have to do that in order for us to develop and  
25                  send out an information notice.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: And, the very knowledge  
2 and exchange of information, such as we just had between  
3 the representative, Dr. O'Hara from the FDA, and  
4 between the NRC, it allows the two agencies to see that  
5 this is happening on both sides, and that facilitates,  
6 you know, getting information out.

7 MR. BOLLOCK: Yes, if it was a device issue  
8 with the tubing itself, deficiency there, yes, it would  
9 likely fall under FDA, or, you know, we have Part 31  
10 or something else, if it was a radiological safety issue  
11 with that.

12 But, we can do it independently. It can,  
13 like I said, we would -- my staff would look into these  
14 cases and get as much information as we have.

15 CHAIRMAN ALDERSON: And, the idea of  
16 providing an alert is the key issue.

17 Now, we do have a comment from the audience  
18 here.

19 MS. BLANKENSHIP: Bette Blankenship, AAPM.  
20 Thank you, Dr. Alderson.

21 We also find, because we are very active  
22 in administering MicroSpheres.

23 We also, not just the tubing, we also at  
24 times have residual MicroSpheres remaining in the hub  
25 of the administration device. So, I think a generic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 message of we are having, you know, a number of reported  
2 cases where the spheres are not delivered for whatever  
3 reason.

4 So, I think if it is generic, that it would  
5 count for both of those.

6 So, thank you. I think that's very good.

7 MR. BOLLOCK: Yes, and we could cover both  
8 of these issues in one generic way.

9 CHAIRMAN ALDERSON: So, are there further  
10 comments on the motion, as I will say as amended by the  
11 information that's been provided by the interim  
12 speakers here?

13 Further comments? Hearing none, let's  
14 vote on the motion.

15 All in favor?

16 ON THE PHONE: Hello, aye.

17 CHAIRMAN ALDERSON: Opposed?

18 Abstaining?

19 MEMBER LANGHORST: I'll abstain.

20 CHAIRMAN ALDERSON: One abstention, but it  
21 carries. Two abstentions, I'm sorry.

22 DR. ENNIS: No, no.

23 CHAIRMAN ALDERSON: No, you'll support it.  
24 You are opposed.

25 DR. ENNIS: No, no.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 CHAIRMAN ALDERSON: I'm sorry. This is Dr.  
2 Alderson, I'm sorry I didn't go in the right direction.

3 So, everyone is in favor except there is  
4 one abstention. Thank you very much. And so, the  
5 motion carries. Thank you.

6 MR. BOLLOCK: Also, to continue on, some of  
7 the other things you were discussing with agreement  
8 state information, or, you know, put into NMED, we do  
9 have regional state agreement officers who, if there  
10 are events reported from the state, they will -- there  
11 are primary -- NRC is the primary point of contact with  
12 our counterparts in each state, and they, typically,  
13 do, and they will follow up with each -- with the state  
14 if there was an event there to try to get that  
15 information.

16 So, there's that kind of on the day-to-day  
17 basis, that encouragement, and that communication, to  
18 get the feedback. Again, they are independent  
19 regulators, there's only, you know, we can encourage  
20 each other to get as much information and, you know,  
21 especially, getting more information is better for  
22 learning those -- you know, the root causes or what can  
23 we get out of that based on the information they've put  
24 into NMED.

25 So, we do, you know, on a day-to-day basis

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we do that.

2 MEMBER COSTELLO: This is Frank. Can I  
3 comment on that?

4 CHAIRMAN ALDERSON: Please.

5 MEMBER COSTELLO: As you heard from another  
6 speaker, an earlier speaker from the panel, root cause  
7 analysis is a skill that's not always easily acquired.  
8 And some states, small, some states are well developed  
9 doing root cause analysis, and some states not too much.

10 Perhaps, the NRC, when they get the report  
11 from the state, look at the root cause analysis and  
12 consider whether the state could be given a little more  
13 help in doing this, because remember the presentation  
14 we had before, sometimes those reporting people are  
15 assisted and they can get into the real root cause.  
16 Otherwise, often it's done by your operator, or  
17 something like that, where you are not really getting  
18 the root cause, which could be a training issue, or it  
19 could be an issue where there are too many people -- too  
20 much is trying to be done in a short period of time  
21 because of peak workloads and that kind of thing.

22 So, perhaps, they, actually, consider  
23 giving assistance to the states as needed to get the  
24 real root cause, because not every state is willing for  
25 them to get the root cause.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: I think, perhaps, one  
2 of the issues you may want to clarify, Frank, is what  
3 assistance means. That could be the NRC providing some  
4 advice, or it could be something much more than that.  
5 What are you, actually, aiming at?

6 MEMBER COSTELLO: Yes, I think most of the  
7 time it would be advice, have you considered this, have  
8 you considered that? I don't think many states would  
9 want the NRC to take it over, but I think if they gave  
10 them assistance by advice on how to do the root cause,  
11 I think that might help.

12 CHAIRMAN ALDERSON: Would the NRC like to  
13 comment?

14 MR. COLLINS: Yes, so this is Dan Collins.  
15 Thanks, Frank.

16 So, we do have protocol in place where if  
17 a state feels like they need assistance that they can  
18 request it and we'll provide it. There's also, you  
19 know, root cause training that we could, perhaps,  
20 provide to the various states. So, there are a number  
21 of avenues with respect to how we might be able to  
22 provide some assistance to the states.

23 Also, we have had an effort ongoing for a  
24 couple years now for doing training for the agreement  
25 states relative to the NMED reporting, and that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 something that our staff, in concert with the  
2 contractor that administers the database has been  
3 performing. But again, that's at the state's request,  
4 it's not mandatory.

5 CHAIRMAN ALDERSON: Dr. Langhorst.

6 MEMBER LANGHORST: Mr. Collins, is there  
7 any group in NRC that focuses on root cause analysis  
8 specific to medical events?

9 MR. COLLINS: Well, that would be Doug's  
10 team.

11 MEMBER LANGHORST: Okay.

12 MR. BOLLOCK: Yes, it would be us. That  
13 would really fall on us, and we do have -- you know,  
14 there are -- there is, for NRC inspections we do have  
15 root cause training classes available for the state,  
16 being available to the state. They are available to  
17 state personnel as well.

18 I'd have to look at their specific  
19 qualifications if that's available. I know I have seen  
20 state represented when I took it years ago.

21 MS. ELEE: I've been there.

22 MEMBER LANGHORST: I'd just like to point  
23 out that medical use is different.

24 MR. BOLLOCK: Yes, and I've taken the  
25 classes, as Jennifer has, yes. It is, a lot of times,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the focus is, primarily, on reactors, a lot of --

2 CHAIRMAN ALDERSON: Jen, microphone.

3 MS. ELEE: Yes. My comment was just that  
4 I have attended the root cause analysis class. I,  
5 actually, think it's a very good class of the many NRC  
6 classes. But, it is not a lot of medical information  
7 and medical is very, very different.

8 So, it may be that like we talk about  
9 training, it's medical training on root cause analysis  
10 that we need to consider.

11 MR. BOLLOCK: Yes, the class, they use  
12 different examples, not, necessarily, even nuclear in  
13 some cases. So, they do try to broaden it when they  
14 give the examples. But, it's more how do you figure  
15 out a root cause, or something -- in some cases we have  
16 a class on how to review root causes, and they give  
17 examples that are not, necessarily, just reactor, you  
18 know, they use different types, and even other -- I've  
19 seen them from other, like DOT, and examples like that.

20 So, they do try to broaden it. It is more  
21 focused on root cause. But, specifically, did they  
22 have any specific examples of medical in the class that  
23 I took, they did not. And, maybe there are some  
24 contractors that do it, but again, they do broaden it,  
25 and the focus is root cause, not, necessarily, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 technical part.

2 CHAIRMAN ALDERSON: Are there any other  
3 comments people would like to have or questions for Dr.  
4 Ennis on his report?

5 Dr. Suh.

6 MEMBER SUH: Just a question. I see part  
7 of this reporting structure is to learn, and also to  
8 come up with best practices. So, is there a  
9 possibility for -- right now all these reports are  
10 divided up into Part 200, Part 300, 500, or 600, 1000.

11 What I would find meaningful is that if  
12 there's a way of trying to figure out, is there a common  
13 theme through all of these reports? And, what I mean  
14 by that is, when I look at the -- listening to Ron's  
15 presentation, many of the errors are just because time  
16 out wasn't done. And, I think we see a trend that time  
17 outs are not being done on a routine basis. That's  
18 something that, perhaps, the committee, NRC, could say  
19 it's something that we should really take seriously,  
20 I mean because part of this is the right patient here  
21 from the right location, and, you know, many of the  
22 reports are because just simple base procedures weren't  
23 done. I think sometimes you just forget, you get in  
24 a hurry and you forget, yes, you have to always identify  
25 they are treating the right location, right patient on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the table, et cetera.

2 And, I think when we go through these  
3 reports year after year, but I'm not sure if we're  
4 focusing on what is the true root cause. At least for  
5 me, the Section 700 there's some patient identification  
6 which just wasn't done properly. I don't know if there's  
7 a way to categorize the incident. At least you get the  
8 trend, shows a trend that we are not doing time out as  
9 well as we should, or is training not as robust as it  
10 should be.

11 CHAIRMAN ALDERSON: Thank you.

12 Other questions or comments?

13 Hearing none, I believe that we are ready  
14 to --

15 MR. OUHIB: This is Zoubir, can you hear me?

16 CHAIRMAN ALDERSON: Who is this, yes?

17 MR. OUHIB: Zoubir.

18 CHAIRMAN ALDERSON: Please. Please, speak  
19 up.

20 MR. OUHIB: My apology for joining a little  
21 bit late, but I've been listening to some of the  
22 conversation.

23 Now, on the SIR-sphere and the  
24 TheraSphere, I just have a comment on that, that I think  
25 somebody made a statement on that, is that it would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 extremely valuable to have more data from both  
2 manufacturers, and find out exactly how many of  
3 the -- how many people, you know, how many users,  
4 actually, experienced the issues. And, what's the  
5 total number of users that we have.

6 The point I'm making here is that, I know  
7 for a fact that there are some institutions that did  
8 not experience these tubing issues and all that. So,  
9 therefore, is it a training issue or is it a user issue,  
10 or what is it exactly?

11 And, I think that will help us probably get  
12 a little bit more understanding about that. And,  
13 perhaps, provide some valuable lessons, or, you know,  
14 remedies, or what not.

15 CHAIRMAN ALDERSON: Thank you. Thank you,  
16 Mr. Ouhib.

17 Any other questions or comments?

18 Hearing none, I think we are ready to  
19 bring -- we have one more comment. Yes, Mike Fuller.

20 MR. FULLER: Just a real quick question  
21 before we break.

22 Sophie, could you read back what the actual  
23 recommendation is that just -- or the motion, what the  
24 motion was, because at one point I heard that staff  
25 should look into this and see if generic communication

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 is appropriate. And then I think I also heard that we  
2 were, actually, receiving a recommendation that we  
3 issue some generic communication.

4 So, could you just clarify that for me,  
5 please.

6 MS. HOLIDAY: Sure. So, the  
7 recommendation that I have written is that ACMUI  
8 recommended that staff issue a generic communication  
9 regarding tubing issues (kinking, connection, et  
10 cetera) during the administration of Y90 MicroSpheres  
11 brachytherapy.

12 Is that appropriate as captured by the  
13 committee?

14 CHAIRMAN ALDERSON: Yes. We are all  
15 nodding our heads, yes.

16 But, there are people now that want to  
17 comment.

18 MR. BOLLOCK: Well, the tubing and hub  
19 issue.

20 CHAIRMAN ALDERSON: The hub was in the et  
21 cetera, but, yes, you can add that specific, yes.

22 So, Mike, does that answer your question?

23 MR. FULLER: Yes, thank you.

24 CHAIRMAN ALDERSON: It does.

25 Are there further questions before we draw

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this session to a close?

2 Seeing none, I think we'll close this  
3 session, and we are adjourned for lunch. And, we'll  
4 be back at 1:00.

5 (Whereupon, the above-entitled matter was  
6 recessed at 11:30 a.m., and will reconvene this same  
7 day at 1:00 p.m.)

8 DR. ALDERSON: Well, we're ready to start  
9 the afternoon session. We're going to continue our  
10 discussion of medical event reporting, and be led by  
11 Dr. John Suh.

12 MEMBER SUH: All right, good afternoon.  
13 So I'll be reporting on medical events reporting for  
14 all modalities except permanent implant brachytherapy.  
15 I want to acknowledge the Subcommittee members, Ron  
16 Ennis, Vasken Dilsizian, Chris Palestro, Pat Zanzonico  
17 and Zoubir Ouhib.

18 So the Subcommittee's charge was to  
19 propose the appropriate criteria for medical event by  
20 reporting other than permanent implant brachytherapy,  
21 and on March 17th of this year, the Subcommittee's  
22 initial thoughts of the definition of medical event  
23 reporting for all modalities except permanent implant  
24 brachytherapy were presented.

25 Recommendations from the March 2016

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 meeting were that the medical bench reporting shall  
2 offer identification of a medical event and provide a  
3 mechanism to discuss how to avoid and reduce the  
4 likelihood of such an event, and also that the  
5 definition of a medical event needs to be broad, simple  
6 and consistent, so reports can easily be prepared by  
7 authorized users, evaluated by regulators and process  
8 focused in order to limit ambiguity.

9 In addition, the part of the definition  
10 based on "unintended permanent functional damage from  
11 an organ or physiologic system as determined by a  
12 physician" needs reconsideration. Also, we felt that  
13 the creation of a subsection within the current  
14 framework of medical bench reporting be considered to  
15 allow for new radiation oncology modalities or  
16 prescribed dosage rate and volume routed to a point.  
17 Now any proposed change should not overly be  
18 prescriptive and encroach on the practice of medicine.

19 So in terms of the ongoing discussions that  
20 we've had, we discussed current any reporting criteria  
21 under 10 C.F.R. Part 35.3045, and we've discussed  
22 various scenarios where the medical event criteria may  
23 be somewhat ambiguous and maybe require additional  
24 modifications.

25 Given the advances in radiation oncology

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in particular, that site shifts can actually result in  
2 significant dose of nearby tissues and/or organs, so  
3 that prescribing to a point is really not relevant to  
4 how we prescribe in radiation oncology in particular.

5 So one of the discussion items that we had  
6 was that current radiation oncology plans are not  
7 prescribed to the point but usually to a treatment site.  
8 So the Subcommittee felt that the current ME  
9 definitions for radiopharmaceuticals are sufficient.  
10 So that should not be a part of the subcommittee, but  
11 that we should devise a definition for 2-D and three  
12 dimensional conformal radiotherapy,  
13 intensity- modulated radiation therapy, which is IMRT,  
14 SRS, which is stereotactic radiosurgery, SBRT, which  
15 is stereotactic body radiation therapy, low dose rate,  
16 high dose rate brachytherapy and intraoperative  
17 modalities.

18 During our discussions, we also felt that  
19 this language "unintended permanent functional damage  
20 to the organ or physiologic system, as determined by  
21 (reading)" in Section 3(b) of 35.3045 and not be  
22 revised.

23 So the medical event criteria would need  
24 to cover these modalities, high dose rate for all body  
25 sites, Gamma Knife, low dose rate, temporary brachy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 implants and intraoperative modalities. So in terms  
2 of the main modification that we focused on was really  
3 the -- if you look at 1, Part 1 and Part 2, is that the  
4 original definition was total dose to -- differs from  
5 a prescribed dose by 20 percent or more.

6 So what we discussed was adding the phrase  
7 "treatment site." We actually went back and forth on  
8 whether or not we should do the definition of a target  
9 versus a treatment volume versus treatment area. We  
10 ultimately decided that treatment site would best  
11 fulfill what we wanted.

12 Also we also talked about having 80 percent  
13 of that treatment site, because of the way radiation  
14 planning is done today with the various modalities, be  
15 part of the definition. So the recommendation was that  
16 the total dose of 80 percent of the treatment site  
17 differs from the prescribed dose by 20 percent or more.

18 So again, the main difference is that 80  
19 percent of the treatment site is the big difference.  
20 Then for single fraction treatment, it's 80 percent of  
21 the treatment site differs from the prescribed single  
22 fraction dose for a single fraction by 50 percent or  
23 more. The treatment site would be defined by physician  
24 and could be referenced by the signed treatment plan.  
25 Just trying to minimize ambiguity from the regular

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       standpoint.

2               So again, the big part of our discussion  
3       was how to word that section of treatments, and we  
4       ultimately decided on treatment site. The hope is by  
5       defining medical event by use of treatment site that  
6       it will be easier for the licensee to determine if an  
7       ME occurred.

8               Also, hopefully it will be easier to  
9       inspect and regulate, will better protect the public,  
10      and facilitate programs, procedures and education to  
11      prevent future events. Since the delivery systems and  
12      risk are different for each of the modalities, we felt  
13      that a specific medical event for each modality may  
14      provide some advantages, but we felt that overall that  
15      a modality-specific ME was not advisable, and that  
16      their classification of non-selective internal  
17      radiotherapy,               non-Viewray               and  
18      non-radiopharmaceuticals using the definition of  
19      treatment site as part of a medical event reporting  
20      structure.

21              So the current recommendations are that we  
22      use the current definitions for the permanent implant,  
23      that we use the current 35.3045 definition for  
24      radiopharmaceuticals, and for treatment sites that  
25      utilize 2D, three dimensional conformal radiation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 therapy, IMRT, SRS, SBRT, low dose rate and high dose  
2 rate brachytherapy interactive modalities, that we  
3 utilize the definition of treatment site to help  
4 clarify what would be encompassed for it being a medical  
5 event.

6 The subcommittee believed that the  
7 creation of a treatment site within the current  
8 definition be considered to address the new radiation  
9 oncology modalities that prescribe dose to a volume.  
10 But I think historically what we've thought of as a  
11 point to take into account the newer modalities that  
12 we have available today.

13 DR. ALDERSON: Okay, thank you Dr. Suh.  
14 Next, we're clearly going to hear from the radiation  
15 oncology segments of our team. So Dr. Ennis, would you  
16 like to comment on this?

17 MEMBER ENNIS: John described well the  
18 thinking on the Subcommittee. We participated in the  
19 discussions and the key, you know, to step forward is  
20 to start talking in volumes rather than just dose, which  
21 is not really so meaningful anymore in terms of how we  
22 do it.

23 We have this ambiguity of occasionally  
24 licensees thinking they have an event and the  
25 regulation saying they don't and vice-versa, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       wanting to kind of follow the general rubric that's  
2       already been established about criteria levels seemed  
3       that this was a good way to do it in terms of defining,  
4       just defining as a treatment site the volume that we're  
5       talking about, and then having a high proportion of that  
6       as if a dose variation to that treatment site in a high  
7       proportion of that volume of that site, and that would  
8       rise to the level of a medical event.

9               So I support, and that was the  
10       recommendation we put out here and I think that's it.

11               DR. ALDERSON: Okay, Laura.

12               MEMBER WEIL: (off mic)

13               MEMBER SUH: Yes. We went back and forth  
14       with that a little bit. Let me just go back that  
15       definition. So there is -- when we do any type of  
16       radiation procedure, there are some unintended things  
17       that can happen as a result of actual treatment. So  
18       this phrase we felt encompassed that in some situations  
19       you may have unintended damage to the organ or the  
20       physiologic system as determined by the physicians.

21               So we wanted to just give that leeway in  
22       terms of what constituted an unintended event. So we  
23       actually played with the verbiage a little bit, trying  
24       to change some of the words around. But we felt that  
25       this actually best encompassed what we wanted as part

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 of this definition.

2 MEMBER WEIL: So you -- the group as a  
3 whole did not feel that permanent functional damage was  
4 problematic in terms of there can be serious temporary  
5 functional damage as well. I mean the patient might  
6 recover some function in a limb or in skin or in  
7 something, but it would still be an unintended event  
8 and still be significantly harmful to the patient.

9 MEMBER SUH: I mean that's a possibility.  
10 Again, for this, in terms of calling it a medical event,  
11 we decided to keep the word "permanent" in there.

12 DR. ALDERSON: Dr. Dilsizian.

13 MEMBER DILSIZIAN: Laura, if you  
14 remember, we had this discussion previously on the  
15 committee, and if I want to read back to you, what we  
16 decided at that time was -- it's in Item 12.

17 This is in October 2015, that we said  
18 unintentional treatment outcome due to an anatomic or  
19 physiologic anomaly falls into the category of the art  
20 of medicine practice. Remember that statement,  
21 provided that the standards of medical practice was  
22 met.

23 So I think if you think about this, it's  
24 the same thing. It's unintended permanent functional  
25 damage is unintended, meaning it could be anatomical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 variation, some physiological variation, something  
2 that the physician didn't, you know, perceive or  
3 couldn't prevent and didn't practice outside the  
4 medical practice to create that damage. I think --

5 MEMBER SUH: And that's how we interpreted  
6 it.

7 MEMBER DILSIZIAN: Yes.

8 DR. ALDERSON: Just for clarification, as  
9 I understood these last comments, the issue is the word  
10 "permanent" up here. So if the patient gets a dose to  
11 the bowel that's outside the treatment area and the  
12 patient has serious, you know, you can imagine  
13 complications, and that goes on for an extended time,  
14 could interrupt their work, could change their  
15 lifestyle.

16 But eventually, it clears up she's asking,  
17 I think, that would not be a medical event. Is that  
18 right?

19 MEMBER SUH: I mean there are some  
20 situations. Even in the best planned out therapies,  
21 you can get complications as a result of the treatment.  
22 So I think the use of permanent is to rise to the  
23 occasion of being called a medical event.

24 DR. ALDERSON: Dr. Ennis.

25 MEMBER ENNIS: I guess -- first of all I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 saying it did not meet any of the dosimetric criteria,  
2 right. So it was not that 80 percent of the volume did  
3 not get the dose. So that didn't happen. So kind of  
4 execution-wise, it's not at the level of a medical  
5 event. But we want to have this other catch-all,  
6 should I stop? Yeah. Donna-Beth seems to want to --

7 DR. HOWE: So this is just a point of  
8 clarification. The document says Section 3B. It is  
9 not Section 3B. It is Section B. It happens to come  
10 under 3, but Section B is only referring to when you  
11 have patient intervention. So in your discussion,  
12 you're kind of applying it to a medical criteria in  
13 normal practice.

14 But this section B only addresses patient  
15 intervention. So I just want to make sure that as  
16 you're discussing this, you are understanding that in  
17 our regulations.

18 DR. ALDERSON: I'm sure that the committee  
19 wants it to be in the correct section, whatever that  
20 section is. If Dr. Howe is correct, I'm sure the  
21 committee would agree that you put it in Section D as  
22 in Dog. That was - if that was where it belongs.

23 DR. HOWE: I think that the confusion is  
24 that B comes right after 3, and so people thought it  
25 was 3B, and in fact it's B because A in the beginning

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 talks about regular medical events and B only talks  
2 about patient intervention.

3 DR. ALDERSON: Okay, very good. Well,  
4 we'll see that it's repositioned in the correct place.  
5 Yes.

6 MEMBER LANGHORST: I wanted to come back  
7 to the volume versus point. In order for inspectors  
8 to identify, it seems like this might require new  
9 regulations and what you document for a written  
10 directive, to require that this information be put down  
11 some place with assurance that the circumstances of the  
12 planning are the same as the circumstances of the  
13 evaluation post-treatment, to show that 80 percent of  
14 the target area is not different than 20 percent.

15 I can't even say it in the correct way, but  
16 you understand? As an inspector, I mean as I have to  
17 look at these things, I'm not sure I'd know how to look  
18 at it. I know that's one of your intents, to make sure  
19 that the inspectors can recognize it too. So did you  
20 talk about that aspect of how this would be done?

21 MEMBER SUH: So I think a lot of it -- so  
22 some of this is going to be actually on the treatment  
23 team, on the physician. So if, for instance, I am doing  
24 a stereotactic treatment or vertebral body that's  
25 supposed to be a T-12 and I treat T-11, then that's a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 medical event. I have mistreated that patient.  
2 Clearly, 80 percent of that volume did not get the does  
3 that I intended to receive.

4 That's something where I would voluntarily  
5 say that was a medical event. So I think part of it  
6 also needs to be on the physician and the physicist to  
7 report that as well. I mean in terms of treating, you  
8 know, the other definition of terms of like wrong site,  
9 wrong patient, wrong -- I mean all those statements say  
10 it.

11 So this is really trying to -- because  
12 depending on where, and if you're treating a volume and  
13 if there's a point that's more peripheral versus more  
14 central, you can have a definition be somewhat more  
15 ambiguous, and we want to try to make it more clear now.  
16 You could argue is 80 percent the right number, you  
17 know. Is there a paper that says sort of 80 percent,  
18 90 percent.

19 But we felt it needed to be -- the majority  
20 of the body needed to be treated with whatever technique  
21 that you're using. It really more applies to the  
22 radiation technologies, which actually have this very  
23 sharp dose gradient. We want to make sure it's  
24 encompassed within the treatment area.

25 MEMBER LANGHORST: But where would I find

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       that as the inspector? Am I only able to be told it's  
2       a medical event and I would never have an opportunity  
3       to identify it if you chose not to report it? I mean  
4       how does it work?

5               MEMBER ENNIS:     So I guess right now, how  
6       do you know what part of the written directive of the  
7       prescription is your source of information about what  
8       I was intended to treat?

9               MEMBER LANGHORST: I agree. Sometimes an  
10      inspector can't without the licensee, and that  
11      is -- that's --

12              MEMBER ENNIS:     So is the question how is  
13      this changing? I mean what's required right now? I  
14      mean in the normal practice of most practitioners, we  
15      write in the prescription what it is that we're trying  
16      to see, and that's what we have in mind when we say the  
17      treatment site. But regulatory-wise, tell me what  
18      right now happens that allows you to decide whether the  
19      dose was okay or not?

20              MEMBER LANGHORST: And so I'm just trying  
21      to get to your point that you're trying to make this  
22      better, and so I'm not sure I understand how it's  
23      better. I'm not arguing that we have a perfect system  
24      now. But yeah, that's my question. I'm not certain  
25      what needs to be done in order to document this so you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 can show we did this evaluation and this is what it was,  
2 and here it is on this piece of paper or this database  
3 or whatever.

4 MEMBER ENNIS: I think the words it's in  
5 the treatment plan, but maybe that wouldn't -- can you  
6 go back John to the slide?

7 DR. ALDERSON: So we have a comment from  
8 NRC then here.

9 DR. TAPP: Dr. Suh if I may --

10 DR. ALDERSON: You need a mic.

11 DR. TAPP: Okay, thank you. I'm an NRC  
12 staff member on the subcommittee. One of the things  
13 when you give this total dose to 80 percent, you're  
14 allowing the inspectors in this review to start using  
15 the standard dose volume histogram curves that are used  
16 generally in practice of medicine.

17 You're talking about we could actually  
18 start using these and you could show that to inspectors  
19 and we could actually use this as a line you draw. It's  
20 an easy shift, then, to see that 20 percent. So it's  
21 actually a little bit easier to actually identify  
22 medical events.

23 MEMBER SUH: It's more quantitative than  
24 we have now. So yes, so I agree. It is more  
25 quantitative, because you look at the graph and say well

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I'm off by whatever, 20 percent, 30 percent.

2 DR. TAPP: So you can actually use -- you  
3 can actually use graphs, that we could start to show  
4 inspectors on how to read one, and like today, where  
5 different people can prescribe it different ways and  
6 evaluate it in different ways, okay. If that helps.

7 MEMBER LANGHORST: So I'll ask Dr. Tapp.  
8 Do you then think that there needs to be changes in not  
9 only the medical event reporting criteria, but what  
10 needs to be documented on the written directive, to say  
11 this is the data that -- to make it consistent, so that  
12 you can show that? That was my question, of how do we  
13 do it?

14 DR. TAPP: Yeah. If that would be the  
15 recommendation. We'd have to look at it as a whole if  
16 we actually went forward with this, yes.

17 MEMBER SUH: And I agree. This is not  
18 a -- I mean we've had a lot of discussions about how  
19 to define a medical event and it's a little bit of a  
20 moving target, and we wanted to at least just to present  
21 to the Committee that there's always one thought  
22 of -- again, we want to use treatment site.

23 MEMBER LANGHORST: Right.

24 MEMBER SUH: You know, because I think  
25 that's a big move trying to going through. Right when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 we were going between do you call it a target, a  
2 treatment volume and ultimately we decided target site  
3 was best for the patient. The last sentence on this  
4 particular site, the treatment site's defined by the  
5 physician. The physician has to define what that  
6 treatment site is going to be.

7 So that's really going to be on the  
8 physician to decide that, and then the treatment plan  
9 would be something you can refer to, to say that you  
10 actually treated the patient. So obviously if I were  
11 to treat, I'm going to use Gamma Knife as an example,  
12 I'm supposed to treat a right-sided brain lesion, but  
13 the plan clearly shows I treated the left side. I mean  
14 that's an ME.

15 MEMBER LANGHORST: Right.

16 MEMBER SUH: And the purpose of using this  
17 80 percent definition is that if the treatment plan  
18 shows that I am off by more than 20 percent for that  
19 one treatment site, at least that's a cut-off, that we  
20 at least are proposing to call it a medical event for  
21 that treatment.

22 MEMBER LANGHORST: And I am fully  
23 supportive of that. I just wanted to know how you do  
24 it so that the inspector can see it, that --. Then I  
25 had one other question.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. ALDERSON: Sure.

2 MEMBER LANGHORST: Did you review, also  
3 consider all of the 35.1000 uses? You said Gamma  
4 Knife, but Perfexion is under 1000. So I assumed  
5 that's kind of included, and then let me think if  
6 there's anything else. I guess there's no --

7 MEMBER SUH: Right. So this, so as part  
8 of the definition --

9 MEMBER LANGHORST: You said not Viewray.  
10 I know you cut --

11 MEMBER SUH: Right, not Viewray, and also  
12 again, right now the Perfexion, as you know, is under  
13 35.1000. So it's really meant for the non-Viewray,  
14 non-SRTs, because we felt that the current definition,  
15 KSRT that we've talked about as a group was sufficient,  
16 and we also felt that the radiopharmaceutical  
17 definition, the current definition as it stands now is  
18 also sufficient as well.

19 So it's really to address more than  
20 radiation oncology-specific brachytherapy,  
21 non-permanent implant brachytherapy modalities with  
22 this.

23 MEMBER LANGHORST: And so then that would  
24 leave out the microspheres, which are brachytherapy?  
25 But they're permanent. Okay, thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. OUHIB: Hello, this is Zoubir.

2 DR. ALDERSON: Yes, please Zoubir.

3 MR. OUHIB: You know, I think we forgot the  
4 purpose of a quality management program, which is  
5 really -- I mean the intent there is to review  
6 post-treatment, if there was any possibility of a  
7 medical event, and if so, that's when you document that.  
8 So the answer to your question as far as the state  
9 inspector or the NRC, whatever, the first question is  
10 okay, well can you share with me your quality management  
11 program, and have you had any medical event and what  
12 is the outcome that's documented when you do a  
13 post-implant review basically, to determine whether  
14 there was a medical event. But that documentation is  
15 available from the management program.

16 DR. ALDERSON: Understood.

17 MEMBER LANGHORST: Yes, this is Sue  
18 Langhorst. But sometimes you can't evaluate those  
19 without your medical physicist walking you through it.  
20 And so is that -- is that a very good measurable  
21 regulatory control, or should that be more practice of  
22 medicine? That's my point.

23 DR. ALDERSON: Someone should comment on  
24 that, from either Mr. Zoubir or one of our two radiation  
25 oncologists.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           MEMBER SUH: I mean ultimately from, you  
2 know in terms of regulation, again I think a lot is going  
3 to be on the onus of the post treatment review, as Zoubir  
4 mentioned. If the post-plan shows that you're clearly  
5 off, then ultimately it's going to be up to the health  
6 provider to say a medical event has occurred, because  
7 again, I think we've had this discussion before.

8           How many medical events in the U.S. are  
9 there each year, and how many are actually reported by  
10 every physician? Does every medical center out there  
11 being truly honest with every medical event that  
12 occurs? I don't know. I don't know what that  
13 numerator is and denominator is.

14           So again, if by this definition if we're  
15 off by -- if that 80 percent is not covered by the  
16 treatment site, then on the pulse planner unless  
17 something happened with the treatment, then it would  
18 be on me to say this is a medical event and I'm reporting  
19 it, and when the inspector and there's a deviation, we  
20 were clearly off and it constitutes a medical event.

21           So I think part of it's going to be  
22 communication with the inspector. So I don't -- with  
23 the definition as it currently has, can someone just  
24 flip through a bunch of charts and find this? No,  
25 that's not going to help. That's not going to happen.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER LANGHORST: But I think if you're  
2                   required to have these histograms and this is one of  
3                   the things you're showing, this is how this shows more  
4                   than 80 percent was within 20 percent, however it  
5                   showed, then you can -- that's something an inspector  
6                   can look at and it shows that you've done that  
7                   evaluation, and that there's assurance that what you're  
8                   pre-planning circumstances were are the same as what  
9                   your post-administration circumstances that you've  
10                  shown you've documented, that you were within that  
11                  criteria and so there was no medical event.

12                 So I think anything you can do to push it  
13                 towards something that you can really document that's  
14                 easy to show the inspector, that's a good, measurable  
15                 regulatory control. So I'd just encourage you to think  
16                 about how the rubber meets the road, I guess, is what  
17                 it comes down to. Thank you.

18                 DR. ALDERSON: Dr. Zanzonico.

19                 VICE CHAIR ZANZONICO: Correct me if I'm  
20                 wrong Dr. Suh or Dr. Ennis, but this is a -- that  
21                 criteria, an 80 percent deviating from the prescribed  
22                 dose, is a pretty bad result. If you were for example  
23                 flipping through dose volume histograms, that would be,  
24                 I think, very obvious.

25                 I mean I think with modern radiation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 therapy, the dose volume histograms look almost perfect  
2 in terms of coverage of the tumor site, and if you were  
3 really under dosing up to 80 percent by 20 percent, I  
4 think it would be fairly obvious just visually looking  
5 at the histograms.

6 DR. ALDERSON: So -- we're coming wearing  
7 our hat as a regulator and we don't talk the same  
8 language. So we need to come to a middle place where  
9 we're meaning the same things. I think some of the  
10 terms and things that we use aren't completely clear,  
11 like in fact what that really means.

12 So I'm hearing Sue saying I need you to tell  
13 me what is a treatment site more specifically somehow,  
14 and maybe we do have to somehow put into the written  
15 directive and again, I haven't really read over  
16 recently the requirements in detail to know how it's  
17 revised. But maybe there has to be something more  
18 specific.

19 What is the treatment site so the regulator  
20 can say okay, I see here your documentation on this  
21 patient. This is a treatment site and now show me that,  
22 you know, the dose was delivered. The other part of  
23 this, to Pat's point and to clarify for everyone on the  
24 subcommittee. So a dose volume histogram will be based  
25 on an imaging, usually CT but it could be MRI, done in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the planning process.

2 Whether that was executed every day is not  
3 based right now in general on reproducing dose volume  
4 histogram based on a today imaging, but rather based  
5 on the imaging done today that is then matched to the  
6 idealized image of what it ought to look like today,  
7 and making sure that everything is lining up perfectly.

8 So we'll do imaging right before treatment  
9 on some basis, sometimes it's daily, sometimes it's  
10 weekly, to make sure things are lining up properly.  
11 That is the moment where we can all of the sudden  
12 recognize there's a misalignment of a significant  
13 degree, and then go ahead and say well, how much of a  
14 degree? What did 80 percent of our treatment site did  
15 not get and get the dose?

16 So it wouldn't be that we would have,  
17 immediately at least, a new dose volume histogram that  
18 we would comparing side by side, but it would be the  
19 imaging that was used to verify the positioning to be  
20 correct, show that it was dramatically off and  
21 therefore we determined that we had done something  
22 incorrectly.

23 So that's kind of the process. Now I guess  
24 we have to talk regulator language of how you translate  
25 it into regulator ease that is easy. But that's kind

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of what happens, and what we're trying to get at is okay,  
2 if you're off by that much, we know what that means.  
3 But Sue wants to know how are you going to tell me what  
4 that means and I can do that independently.

5 Which I kind of hear but there's a gap in  
6 our language. Mike Fuller would like to comment.

7 MR. FULLER: Well, and again I don't want  
8 to take this down a path that's too far from where we  
9 are right now.

10 But I wanted to -- because it seems to me,  
11 listening to this discussion, that there is an interest  
12 in having criteria that an inspector could come in  
13 during an inspection and independently look at whatever  
14 documentation and so forth that's available, and then  
15 come to an independent conclusion about whether or not  
16 something was a medical event and whether or not it was  
17 reported.

18 What I would like to share and remind  
19 people of is that while there may still be some  
20 inspectors that do that, and there may be a need for  
21 someone like Dr. Langhorst, as the radiation safety  
22 officer who's doing more internal audits to be able to  
23 do something like, as an inspector we train folks over  
24 and over and over again that identifying independently  
25 medical events or independently identifying medical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 events that weren't reported is not and should not be  
2 the focus of an inspection.

3 The focus of the inspection is to come in,  
4 through discussions and observations and interactions  
5 with the licensees, to come to a determination about  
6 whether or not the licensee has a strong, rigorous  
7 program in place that enables them, the licensee, to  
8 identify and ensure -- well first of all, I'm sorry,  
9 to ensure that any procedure that requires a written  
10 directive is done in such a way that the licensee knows  
11 when they have departed from what they had intended.

12 So if you start your inspection from the  
13 perspective of tell me about your program that you have  
14 written and in place and have trained your folks on,  
15 that ensures that when you write a written directive,  
16 that that actual procedure is carried out in accordance  
17 with that directive and that when it's not you know it.

18 If you start your inspection there, you  
19 really don't need and you make a determination that in  
20 fact this licensee has a strong program and has a  
21 program with rigor in that regard, you never really get  
22 to the point to where you need to count medical events,  
23 because once you know or once you determine as an  
24 inspector that that program does not exist or that  
25 program does not have rigor, then you don't really need

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 to go even at that point in time counting up how many  
2 medical events weren't reported because that's really  
3 the regulator's role.

4 The regulator's role is to focus more on  
5 35.41, as it is to understanding and being able to  
6 independently identify those cases where 35.3045  
7 happened and it wasn't reported. So anyway again, just  
8 to kind of bring people back to what the regulator's  
9 role should be. It's not counting, it's not  
10 independently counting medical events.

11 It is assessing the strength and the rigor  
12 that that licensee has in its program for ensuring that  
13 treatments and other procedures are carried out in  
14 accordance with the written directive. So sorry, I  
15 didn't mean to preach too much but --

16 MEMBER COSTELLO: Okay. This is Frank.  
17 Can I make a comment?

18 DR. ALDERSON: Someone's on the phone?

19 VOICES: Frank.

20 DR. ALDERSON: Frank, Frank, speak up.

21 MEMBER COSTELLO: Right. Can you hear me  
22 now?

23 DR. ALDERSON: Yes.

24 MEMBER COSTELLO: Okay. I'd like to  
25 comment a little bit on what Mike had to say, mostly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to agree but to go beyond it a little bit. We try to  
2 be a performance-based inspecting organization, as  
3 does the NRC and the other Agreement States, and we do  
4 begin the way Mike described. A question I'll often  
5 ask a licensee is how will they know whether or not  
6 they've had a medical event, for whatever modality  
7 we're talking about?

8 Then they will describe it to me and that's  
9 how I think, if we do change the definition, I would  
10 expect them to explain to me how they reviewed each  
11 individual treatment to determine whether it was a  
12 medical event or not. However, we are a  
13 performance-based organization, and it's to trust and  
14 verify.

15 So I might select a few individual  
16 treatment plans and look to see if the program they  
17 described to me is actually the program that they're  
18 implementing. So it's not just enough to have them  
19 tell you what they're going to be doing. At some point  
20 you have to verify using a performance-based approach,  
21 to see whether or not what they're doing, what they say  
22 they're doing is what they're really doing.

23 I have one other comment, kind of separate  
24 from that, and that is I notice what modalities is this  
25 new definition of medical event supposed to apply to?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 So IMRTs discuss intraoperative treatment. Many of  
2 those are machine-produced. You've got to remember  
3 that we're not talking about medical events from  
4 machine-produced radiation. So if you have -- coming  
5 from the subcommittee, how much of this discussion we  
6 have applies mostly to machine-produced radiation?  
7 Thank you.

8 DR. ALDERSON: Comments on that?

9 MEMBER SUH: Well, I think this applies to  
10 the high dose rate brachytherapy. So it's, you know,  
11 if your dose is off from treatment site for more than  
12 20 percent or 50 percent for a single event, then that  
13 would be, at least from this definition, would be  
14 considered a medical event.

15 Also in terms of Gamma Knife for the  
16 non-Perfexion unit, if you're off, you're not  
17 encompassing the target, that also constitutes a  
18 medical event as well. So yes Frank, some of these  
19 definitions are more related to what we think of as a  
20 linear accelerator. But again, there are some  
21 situations where I think we want to be more encompassing  
22 in terms of this definition.

23 So that's why we tried to make an attempt  
24 at trying to find what should constitute a medical  
25 event, and again, we came up with treatment site as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 being kind of the first step and then can argue about  
2 what percent of coverage of the target would be -- the  
3 treatment site would be considered a medical event or  
4 not.

5 But let me just get back to one point.  
6 Again, I think just go back to some of the comments,  
7 at the, you know if -- you know again, there is a balance  
8 between regulations and delivering the best treatment,  
9 and again there's going to be a little bit of going back  
10 and forth. You want to try to make it as easy as  
11 possible for everyone involved.

12 But at the end of the day, if you have a  
13 high quality program, you're going to fall well  
14 within -- you're going to treat the right site. You're  
15 going to treat the right patient, you're going to treat  
16 the right location. If you look at what Ron presented  
17 earlier today, some of those medical events are  
18 reported, are you know, are -- were clearly medical  
19 events. I mean I don't think anyone would have any  
20 question about that.

21 So again, now we're saying okay, let's take  
22 a much more select scenario for giving as high dose  
23 radiation, and again just going back to brain and spine,  
24 which is what I do. I'm treating a T-12 vertebral body,  
25 and I'm treating just half of the vertebral body. To

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 me, that's a clear medical event. I've missed, and  
2 right now the definition the way it is, it doesn't  
3 really clearly specify.

4 So that's really what the attempt is, of  
5 saying if it's part -- well, if it's treatment site,  
6 does not cover by at least 80 percent of what I intended  
7 to treat, that would constitute a medical event. So  
8 that's --

9 DR. ALDERSON: Yes.

10 MEMBER LANGHORST: Sue Langhorst. So I  
11 wanted to come back to Dr. Zanzonico's point about oh,  
12 that would be not very good and yeah, I agree. But you  
13 can't get to perfection on a regulatory control. This  
14 is, you know, major health, public health and safety,  
15 patient safety. So you have to have -- it's not ideal  
16 from a physicist's point of view obviously, but what  
17 is that end point that really you need to report it as  
18 a regulatory issue.

19 So yeah, you wouldn't want to treat that  
20 way every time. But I know I did, our institution if  
21 we're outside of ten percent or five percent, that's  
22 when we're going oh, that's not how we want to treat  
23 or that's not how we want to do our diagnostic  
24 procedures. But that keeps you well. I think Dr. Suh  
25 was saying that it keeps you well within the regulatory

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 framework.

2 So yeah, you wouldn't want to do that every  
3 time, but that really tells you something went wrong  
4 there.

5 DR. ALDERSON: So by just listening to  
6 this, I think that the committee's done an excellent  
7 job of modernizing the criterion, and giving us some  
8 new standards. I guess the real question is, given  
9 that they're a little bit more complicated, are they  
10 understandable enough that the Committee would want to  
11 recommend that they be adopted?

12 I mean that seems to be the crux of what  
13 I'm hearing the discussion be. I think that among  
14 radiation oncologists, you would say the answer to that  
15 is clearly yes, and the question is, is that -- is that  
16 sufficient and that it -- in fact, it may well be.  
17 Perhaps you'd like to argue or support that point, given  
18 that these instruments are all, you know, done in using  
19 radiotherapy.

20 I think if that's true, then you know,  
21 there would be a feeling that we would want to support  
22 it. I think that's the only question. It's a question  
23 of can anyone else, you know, understand it and if they  
24 can't, then it's not useful at all, because no one else  
25 can understand it. Please comment.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ENNIS:     Well, my feeling is we  
2     need to have some more discussion, to figure out how  
3     we achieve that goal.   So how does the regulator in  
4     particular, you know, how does this fit into the  
5     well-articulated framework that Mike laid forth about  
6     what we're really trying to accomplish, and what do we  
7     need to be saying needs to be in place for a regulator  
8     to go in and yes, verify that there are proper  
9     procedures and perhaps to independently review some  
10    cases, as Frank said, and verify all that, again without  
11    creating a tremendous amount of work for that  
12    institution, without burden, that translates this into  
13    reality.

14                   And I think we do feel like every radiation  
15    oncologist who is worth his salt would understand what  
16    this means, does do this and would agree yes, those are  
17    medical events and this is a good step.   This is  
18    reasonable.   We'll see, now that it's public, what  
19    response we get from the radiation oncology community.

20                   But yeah, I think, you know, that part is.  
21    But you know again, I think we need to kind of look a  
22    little bit broader and figure out like what do we need  
23    to make this real.

24                   DR. ALDERSON:   John.

25                   MEMBER SUH:    So I appreciate everyone's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1        comments.    This is -- I sense this is going to be  
2        contentious.    It's medical event reporting and we're  
3        spending a lot of time on the importance of safety and  
4        to protect the public, protect the patients.    I see  
5        there is other stakeholders that we need to also involve  
6        as well.

7                    So    one of the things that I would ask that  
8        if it's okay, if I prepare a formal report at the next  
9        meeting, get other stakeholders, try to refine the  
10       definitions so that the regulator and the licensee  
11       would say well, this makes sense for us.    Again, it may  
12       not be an easy process but we should at least try and  
13       present it again formally at the next meeting.

14                   DR. ALDERSON:    I think that's aiming in  
15       the direction that everyone's been sort of trying to  
16       go.    Dr. Ennis.

17                   MEMBER ENNIS:    Do we have a regulator on  
18       our subcommittee?    If not, maybe one should be added.

19                   DR. ALDERSON:    Dr. Tapp.

20                   MEMBER LANGHORST:    Yeah, Dr. Tapp.

21                   DR. ALDERSON:    Would you like to add Dr.  
22       Tapp to your subcommittee?

23                   DR. TAPP:    I cannot be added to the  
24       subcommittee, but I can be a resource at any time you  
25       contact me and I'll be a resource.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. ALDERSON: Yes. Would you like to be  
2 a resource?

3 MEMBER SUH: Yes please.

4 MEMBER COSTELLO: This is Frank.  
5 Actually, I'm a regulator.

6 (Off mic comments.)

7 MEMBER SUH: Yeah, Frank is a regulator.

8 DR. ALDERSON: So Frank, would you like --

9 MEMBER COSTELLO: I'd be happy if you  
10 reach out to me if you want to.

11 DR. ALDERSON: Frank, would you like to  
12 join this committee also? Would you like to join this  
13 committee?

14 MEMBER COSTELLO: Yes, I would.

15 DR. ALDERSON: Okay, and you're --

16 (Simultaneous speaking.)

17 DR. ALDERSON: -- a resource.

18 MEMBER SUH: Yes, okay. That's great.

19 MR. OUHIB: This is Zoubir again. If I  
20 may, this is just a comment for Frank. Wouldn't a  
21 procedure for post-implant evaluation for a possible  
22 medical event be helpful for regulators?

23 In other words for any modality, there is  
24 a clear procedure of how this is being evaluated to,  
25 you know, at the time of the event he says okay, what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 exactly did you do? He says well here's my procedure.  
2 This is how I evaluate all cases post-implant, because  
3 they're different. We know that.

4 MEMBER COSTELLO: My reference  
5 regulation, Section 35.41, states that you need a  
6 procedure to make sure that the right patient gets the  
7 right dose. I would think a good procedure like that  
8 would include post-treatment analysis.

9 MR. OUHIB: Right, right.

10 MEMBER COSTELLO: So I think that a good  
11 35.41 procedure would include evaluating the treatment  
12 to see whether or not there's a medical event or not.  
13 So I'm agreeing with you.

14 MR. OUHIB: Right, right, and that's  
15 exactly what we do. In other words, if we do safe  
16 procedure we have a -- or a modality, we have a certain  
17 procedure to follow, okay. Some, you might may use DVH  
18 imaging and all that, but others maybe just be imaging  
19 or what-not because you can't do a DVH on (phone  
20 interruption) or something like that.

21 So really, that's how we relied on.  
22 Basically it says okay, this is this type of procedure.  
23 All right, here's how we're going to evaluate it. This  
24 is good, this is good, this is questionable. Let's  
25 look further and so on and so forth. Okay. I've just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 thought I'd make a comment.

2 DR. ALDERSON: Okay. So let's summarize,  
3 because our time is up for this discussion. We're  
4 going to add Frank Costello --

5 MS. HOLIDAY: Dr. Alderson. This is  
6 Sophie. I just want to remind you guys that we're  
7 limited to 50 percent of ACMUI membership to serve on  
8 the subcommittee. Currently, there are five members  
9 and you have Zoubir Ouhib as your sixth member once he  
10 becomes a full member.

11 So at the time, we only have 11 full  
12 members. So the subcommittee cannot have more than  
13 five members at this time. So we can't add Frank or  
14 alternatively, when Zoubir and Mr. Green join the  
15 committee, you'd have to pick between Frank or Zoubir.  
16 Sorry.

17 (Off mic comments.)

18 DR. ALDERSON: I think in this particular  
19 case, it seems like an important issue that we should  
20 continue, and we should get a report back the next time.  
21 Let me go on to the second half of this and then I'll  
22 come back to your question. I think we're going to vet  
23 the clarity of the final statements with a number of  
24 other stakeholders, and the idea would be that we'll  
25 report back in the spring, and Dr. Tapp will be a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 resource to you.

2 So the issue is Frank Costello, who has an  
3 interest in being on and does have a vote, and Zoubir  
4 who was appointed but doesn't have a vote at this time.  
5 So I think that if you'll accept the chair's  
6 prerogative, I think Frank needs to be on the committee,  
7 someone that can vote and take an action, and Zoubir  
8 needs to be a resource to the committee. Do you accept  
9 that Zoubir?

10 MR. BOLLOCK: But you can only do  
11 that -- so Zoubir is actually, he was on the  
12 subcommittee. If he's not officially on the  
13 subcommittee, he's not officially --

14 DR. ALDERSON: Oh, he's not on the  
15 subcommittee.

16 MR. BOLLOCK: Officially, because he's  
17 not officially part of this committee --

18 DR. ALDERSON: So we don't have to invite  
19 him to step off, right.

20 MR. BOLLOCK: Right. So we'd still -- so  
21 officially the subcommittee has five members. So  
22 right now one member would have to step off in order  
23 to add --

24 DR. ALDERSON: Oh, I see. That wasn't so  
25 good. There already are five other members.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. BOLLOCK: Right, yeah. So that's why  
2 his name is italicized. He's not officially on the  
3 Subcommittee because he doesn't officially work for the  
4 committee.

5 DR. ALDERSON: All right. So please,  
6 Frank, will you be a resource to the committee also?  
7 Frank?

8 MEMBER COSTELLO: I'd happily be a  
9 resource.

10 DR. ALDERSON: Yes, very good. So we've  
11 got another resource. So you can reach out to Frank.  
12 He's not officially a member of the committee, and thus  
13 not able to do --

14 MR. BOLLOCK: Right, because  
15 he's -- because he is part of the Committee, you can  
16 use my staff as a resource to help and not so like Dr.  
17 Tapp is not part of the subcommittee, but she is a  
18 resource if you need to check for that, you know, for  
19 regulatory definitions and that understanding of  
20 questions. That's what you use Dr. Tapp for.

21 Because Frank is on the Committee, we're  
22 circumventing FACA rules if we were trying to do that.  
23 So we can't really do that. So right now, there are  
24 five official members on the subcommittee. It has to  
25 remain five. So in order to add Frank, we would have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to remove one of the five.

2 DR. ALDERSON: Who are the official  
3 members of the committee?

4 MR. BOLLOCK: Dr. Ennis, Dr. Dilsizian,  
5 Dr. Palestro, Dr. Suh and Dr. Zanzonico.

6 VICE CHAIR ZANZONICO: I mean I'd be happy  
7 to step off the committee.

8 (Laughter.)

9 DR. ALDERSON: Dr. Zanzonico is willing to  
10 take one for the team, all right, and resign from this  
11 committee. Thank you Dr. Zanzonico.

12 VICE CHAIR ZANZONICO: (off mic)

13 (Laughter.)

14 DR. ALDERSON: I didn't even ask you this  
15 time. And then Frank will step onto the committee.  
16 Frank, is that all right with you? Is that acceptable  
17 to the rules and regulations? It is. So done. So  
18 we'll look forward to a report from this group at the  
19 spring meeting. Thank you very much.

20 MEMBER SUH: Okay, thank you.

21 VICE CHAIR ZANZONICO: All right. On we  
22 go. So we're into a report from the NRC, Dr. Taylor,  
23 on 10 C.F.R. Part 35 rulemaking update.

24 10 C.F.R. Part 35 Rulemaking Update

25 MS. TAYLOR: Good afternoon. I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       you called me doctor. I'd like to clarify. I do not  
2       have my Ph.D.

3                   DR. ALDERSON: All right.

4                   MS. TAYLOR: I admire those that get  
5       through that program.

6                   (Off mic comments.)

7                   MS. TAYLOR: Okay. I am the new project  
8       manager. It seems like every time you turn around we  
9       have a new project manager, right. For this rule, my  
10      name is Torre Taylor. I'm in the rulemaking branch in  
11      MSTR. So I'm here to provide you an update on the rule.  
12      I wish I could tell you we have an SRM and official  
13      decision but we don't.

14                  Let's see. Okay. So now on to my  
15      presentation. Well let me start, back up. I started  
16      to work on the rule in January 2016, so my work started  
17      with ACMUI recommendations and then comments from the  
18      Agreement States, and then we took it forward from there  
19      with the final recommendation just to the Commission.

20                  So I'm going to focus on the background and  
21      the current status, highlight high level ACMUI review  
22      unless you want to get into more details and our staff  
23      response, the major changes in the final rule, which  
24      to me is the more important discussion, the final  
25      process for publication. I'll have a slide for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 contacts so people can write that down with phone  
2 numbers and emails for questions, and then any  
3 questions the Committee has.

4 As a reminder, the proposed rule was  
5 published in the Federal Register on July 21st, 2014.  
6 I have that citation if anyone wants it. The comment  
7 period closed November 2014, and we received 69 comment  
8 letters. Some of those arrived after the end of the  
9 comment period, but we were able to consider all of them  
10 but two in the time period we were finalizing the rule.

11 The comments can be seen in ADAMS or in  
12 regulations.gov. For ADAMS, if you want to get some  
13 information about how to get to those specifically, I  
14 don't have a single ML number for you, but I can step  
15 you through how to find them.

16 I can even send you a list of the MLs, or  
17 you can go to regulations.gov and you would just go to  
18 that site and the docket ID is NRC-2008-0175. That's  
19 often for the benefit of the public. They may not be  
20 aware of the comments we received.

21 ACMUI did have early opportunities to  
22 review and provide comments on the draft proposed rule,  
23 and also on the final rule. The final rule was sent  
24 to the Commission via SECY 16-0080. The ADAMS number  
25 is written there, ML 16123A342. It has all the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 enclosures to the rule, the Federal Register, the ACMUI  
2 report, our response, the state comments and our  
3 response and all the particulars of the rulemaking  
4 process that have to be with that.

5 It is public, and it's also on the website  
6 if you want to go to the SECY papers that way. The  
7 Commission is currently reviewing the rule. We do have  
8 two votes. I can't discuss those votes obviously.  
9 We're waiting on the last vote. So my discussion is  
10 based on what we sent to the Commission, and obviously  
11 the Commission may make changes.

12 Let's see. You all provided the report on  
13 your recommendations in January of 2016, and that's  
14 Enclosure 4 to the SECY, and then we provided a response  
15 back. That's Enclosure 5 to the SECY, and you have a  
16 public teleconference on it in let's say, when was that?  
17 It was January 6th as well.

18 Essentially, the high level is that you all  
19 endorsed six provisions of the final rule. There were  
20 two recommendations that the staff accepted. There  
21 was one that -- I've got that written wrong. There were  
22 two recommendations accepted. One was accepted in  
23 part and we had four recommendations that were not  
24 accepted.

25 The major changes is where I want to focus

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the discussion. But in the reporting criteria for  
2 permanent implant brachytherapy, the proposed rule  
3 included dose base criteria for permanent implant  
4 brachytherapy. That provision has been eliminated and  
5 for within and outside the treatment site, and we now  
6 had it as source strength-based.

7 The medical community expressed concerns  
8 about a dose-based criteria that we're not practical,  
9 they might create confusion, they could discourage  
10 licensees from using the treatment modality, and this  
11 is a recommendation or change that the ACMUI endorsed.  
12 We did revise the language to be clear that it was based  
13 on the post-implantation portion of the written  
14 directive.

15 An Agreement State pointed out to us that  
16 it wasn't clear if it was pre- or post or what. So to  
17 be consistent, everything is the post-implant portion  
18 of the rule. The ME criteria for wrong location, this  
19 is in Section 35.3045(a)(2)(iii)(C). So we've revised  
20 that to state that sealed sources implanted directly  
21 into a location discontiguous from the treatment site  
22 as defined in the written directive. This was a  
23 recommendation by ACMUI.

24 The proposed rule would not contribute  
25 dose to the treatment site, and the Committee expressed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 some concerns about that and we agreed with the  
2 Committee on that.

3 The other change is the reporting of failed  
4 technetium and rubidium generators. So the proposed  
5 rule and the final rule has this new requirement to  
6 report a generator eluate that exceeds permissible  
7 concentrations of their respective radionuclides.

8 After reviewing the public comments on the  
9 proposed rule, we changed the notification and  
10 reporting deadlines. So the notification deadline is  
11 going to be within seven calendar days. The proposed  
12 rule had that as 30, and the deadline for submitting  
13 a written report is within 30 calendar days, and the  
14 proposed rule had that as 45.

15 We deleted the separate category for  
16 training experience for alpha emitting  
17 radiopharmaceuticals for parenteral administration.  
18 So that's all been included in 35.390(b)(1)(ii)(G)(3).  
19 So that provision is now everything related to electron  
20 emission, beta radiation characteristics, alpha  
21 radiation characteristics or photon energy of less than  
22 150 keV for which a written directive is required. So  
23 there's no longer a separate category there if  
24 obviously the Commission approves that.

25 A big issue in the proposed rule stage and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 comments was the compatibility category for medical  
2 events for 35.3045. After reviewing all the public  
3 comments and the comments from the ACMUI and the  
4 Agreement States, the staff's recommending to the  
5 Commission that this be designated as a Category C.

6 The essential objections have to be met by  
7 the Agreement States to avoid conflicts, duplications  
8 or gaps in the regulation. It doesn't have to be  
9 exactly the same as NRC requirements, but they have to  
10 meet the essential objectives. They can require  
11 reporting of MEs with more restrictive criteria than  
12 those required by the NRC, but we did make clear that  
13 we do not consider a dose-based criteria as part of the  
14 essential objective for this section of the  
15 regulations.

16 The main reason for essential objectives  
17 is we want a consistent national program. We  
18 determined that the dose-based criteria could conflict  
19 with and create inconsistencies with a national  
20 program, and we could end up with reports of  
21 non-significant events.

22 It allows the NRC to identify trends or  
23 patterns, identify generic issues, recognize the  
24 inadequacies or unreliability of certain equipment or  
25 procedures and why an event occurred, and whether any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 actions are necessary. So that's where that fell out  
2 and we'll see if the Commission agrees, because if you  
3 remember, they came back and told us to notice it as  
4 a B and then asked for comments, and we got a lot of  
5 comments.

6 Okay. The final process. It's with the  
7 Commission. We're waiting for a staff requirements  
8 memorandum. At that point, once we get that direction  
9 we'll make any changes that they direct and then we will  
10 send the package over to the Office of Management and  
11 Budget for their review and approval. That can be a  
12 90 day process. Hopefully it will be shorter rather  
13 than longer, but we don't know.

14 If we get an SRM in October, I'm estimating  
15 the earliest we could publish this rule would be in the  
16 spring of 2017. The rule will be effective 180 days  
17 from its publication date. So that's six months, and  
18 then the Agreement States will have three years from  
19 the effective date to adopt the provisions.

20 Now often it's the publication date; in  
21 this rule we're doing the effective date. The medical  
22 team is going to finalize guidance for this rule, and  
23 they will be the one to address any questions anyone  
24 has on that along the way.

25 Here are the contacts. I am the person for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the rulemaking process, Torre Taylor for those on  
2 the -- well I guess everyone signed up for GotoMeeting,  
3 right? So there's my email and phone number, and then  
4 Mike Fuller and Doug Bollock can address any specific  
5 technical questions, and I think that's all I have,  
6 except for any questions y'all have.

7 DR. ALDERSON: All right. Questions for  
8 Ms. Taylor.

9 VICE CHAIR ZANZONICO: I have a question.  
10 I was always under the impression that the Commission  
11 was sort of the omnipotent adjudicator of all the rules  
12 and regulations and so forth. But I see it goes  
13 back -- it goes to OMB after Commission approval?

14 MS. TAYLOR: Well, the Commission  
15 approves it for the agency. OMB is a government-wide  
16 review. They make the final, final say. They won't  
17 change anything technical, but they look at resources,  
18 budget from a government perspective and say is this  
19 a major rule, is it not a major rule and they make that  
20 kind of determination.

21 So if they reject it, we wouldn't be  
22 able -- we wouldn't be able to publish it.

23 VICE CHAIR ZANZONICO: Well that's the  
24 question. I mean --

25 MS. TAYLOR: I don't think that would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       happen.

2                   VICE CHAIR ZANZONICO:  Is the OMB review  
3       ever involve sort of in the sense restarting the  
4       process?

5                   MS. TAYLOR:  I don't think so.

6                   VICE CHAIR ZANZONICO:  In other words, can  
7       they find schematic issues with it that --

8                   MS. TAYLOR:  No.

9                   VICE CHAIR ZANZONICO:  Not that to have to  
10      start from scratch, but there were major issues to  
11      revisit and --

12                  MS. TAYLOR:  No, not from a technical  
13      perspective, yes.

14                  DR. ALDERSON:  Other questions?  Yes.

15                  MS. HOUSEMAN:  Torre, please -- is this  
16      on?  Please -- oh, this is Esther Houseman, OGC and NRC.  
17      Torre, please correct me if I'm wrong on this, but the  
18      OMB review, because we're an independent agency and  
19      don't have to send rules through OIRA, the OMB review  
20      is just for Congressional Review Act purposes; correct?  
21      Because it is a major rule.

22                  MS. TAYLOR:  Yeah.  Actually yeah, so --

23                  MS. HOUSEMAN:  And that's for OMB to  
24      review the rule and determine whether it needs to go  
25      to Congress under the Congressional Review Act?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 MS. TAYLOR: Oh okay.

2 MS. HOUSEMAN: The Congress almost never  
3 takes up rules for Congressional review.

4 MS. TAYLOR: Oh okay, that's good to  
5 know.

6 MS. HOUSEMAN: Just so you're aware.  
7 It's for a very narrow purpose that OMB looks at the  
8 rule.

9 MS. TAYLOR: Okay, thank you.

10 DR. ALDERSON: Other questions?

11 (No response.)

12 DR. ALDERSON: So there are none.

13 MS. TAYLOR: Okay, great. Thank you.

14 DR. ALDERSON: Thank you for your report.

15 MS. TAYLOR: We should have a final rule.

16 DR. ALDERSON: So now we're going  
17 to -- Mike Fuller is going to speak with us on NRC  
18 comments on patient intervention.

19 (Pause.)

20 NRC Comments on Patient Intervention

21 MR. FULLER: Thank you Dr. Alderson.  
22 Yes, I'm Mike Fuller, the team leader of the Medical  
23 Radiation Safety Team here at the NRC. I appreciate  
24 the opportunity to speak to everyone today about  
25 patient intervention, and the title of my presentation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       should give you a hint.

2               So it's entitled, the document I want to  
3       talk about, because it's patient intervention and how  
4       do we proceed. So the purpose of my presentation today  
5       is to review the ACMUI recommendations related to the  
6       definition for patient intervention, and discuss the  
7       challenges that are facing NRC staff as a result of  
8       those.

9               First, to give you just a little bit of  
10       background and I guess short history, it wasn't that  
11       long ago, back in March of 2015, Dr. Gabriel, who we  
12       heard from this morning from Vienna, who at that time  
13       was a member of the Medical Radiation Safety Team here  
14       and Mr. Frank Costello of the ACMUI, made a couple of  
15       presentations to the ACMUI.

16               Sandy's presentation was really focused  
17       sort of on the background and the history of the term  
18       patient intervention, and how the NRC came up with the  
19       definition and when and so forth and so on. And then  
20       Frank provided a presentation that was a little bit more  
21       focused on apparent misalignment between the meaning  
22       of patient intervention between the NRC staff and the  
23       ACMUI, or at least according to Mr. Costello.

24               So as a result of that, of those two  
25       presentations, the chairman at that time formed a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 subcommittee and charged that subcommittee with  
2 clarifying the meaning of patient intervention, to make  
3 sure that the Nuclear Regulatory Commission and the  
4 advisory committee or the ACMUI are aligned in their  
5 interpretation of the term patient intervention.

6 So then last fall, in October of 2015, we  
7 heard a presentation by Dr. Dilsizian of the ACMUI. He  
8 presented the subcommittee. So that subcommittee was  
9 formed. Dr. Dilsizian I believe chaired that  
10 subcommittee, and he presented the subcommittee's  
11 recommendations to the full committee.

12 So with some changes to those  
13 recommendations, the full ACMUI provided staff with the  
14 following recommendations, and these recommendations  
15 are really on two separate issues. So Issue 1 in the  
16 first recommendation states that "an  
17 intentional/unintentional patient action would  
18 represent a reportable medical event, even if it  
19 results or will result in" -- I'm sorry, "a reportable  
20 medical event if it results or will result in unintended  
21 permanent functional damage to an organ or  
22 physiological system as determined by a physician."

23 And of course the overall goal would be to  
24 prevent or mitigate patient action that may impact  
25 treatment. In reviewing the presentation from Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Dilsizian and looking at what the current rule says,  
2 we've taken this to mean that, with maybe some minor  
3 modification in the wording although it's not clear,  
4 that they were endorsing what we currently have.

5 The second issue and the second  
6 recommendation I think is really where we need to focus,  
7 and that's where I'm focusing my talk today. The  
8 second issue had to do with anatomic and physiological  
9 anomalies, and the recommendations that the staff  
10 captured from that presentation is provided here.

11 So unintentional treatment outcome due to  
12 anatomic or physiological anomaly and/or imaging  
13 uncertainty falls into the category the art of medical  
14 practice, provided that the standards of medical  
15 practice are met. Reporting such unpredictable and  
16 unavoidable patient-specific medical events will not  
17 help to prevent such events in the future and therefore  
18 cannot be regulated.

19 So at this point, NRC staff or we on the  
20 medical team are assuming that the ACMUI wishes the  
21 staff to state or conclude that the current definition  
22 encompasses this new criteria. So here's what the  
23 regulations currently say, or this is how the  
24 regulations currently define patient intervention.

25 Patient intervention means actions by the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patient or human research subject, whether intentional  
2 or unintentional, such as dislodging or removing  
3 treatment devices or prematurely terminating the  
4 administration.

5 So before I get into the discussion or my  
6 part of the presentation about possibly making changes,  
7 I want to explore further a little bit about what, just  
8 what problem it is that we're trying to solve. I say  
9 that because in Mr. Costello's presentation in March  
10 of 2015, the primary concern was focused on yttrium-90  
11 microspheres.

12 So I've taken these two quotes directly  
13 from Mr. Costello's slides, where he states and I think  
14 he's -- well, based upon the presentation, it's clear  
15 that he's speculating or assuming. So he said the  
16 patient's artery contracts and the spheres flow  
17 retrograde into the gastrointestinal artery. In  
18 another place he says if the patient's lung shunt  
19 fraction was one value during the workup and changed  
20 for the treatment.

21 So I want to remind folks that this past  
22 February, in fact on February 12th, the NRC issued Rev  
23 9 or Revision 9 to the yttrium-90 microsphere  
24 brachytherapy sources and devices, TheraSphere and  
25 SIR-Sphere licensing guidance. In that revision, we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 made changes such that there's an exemption made for  
2 shunting when shunting was evaluated prior to the  
3 treatment in accordance with the manufacturer's  
4 procedures.

5 Back in June of 2012, the NRC issued Rev  
6 8, and in that revision there was an exception made for  
7 emergent patient conditions that prevent  
8 administration in accordance with the written  
9 directive, and we actually stated and used specific  
10 examples that related to artery spasm or sudden change  
11 in blood pressure.

12 So that's why I say I want to explore a  
13 little bit more at this point in time about what is the  
14 problem that we're trying to solve. So if you'll  
15 recall back to the then-chairman, ACMUI chairman's  
16 charge, it was to evaluate or to take a look at the  
17 definition of patient intervention and two, and let me  
18 read it again.

19 So to clarify the meaning of patient  
20 intervention, to make sure that the Nuclear Regulatory  
21 Commission and the ACMUI are aligned in their  
22 interpretation of this term. I can say today that it's  
23 clear that we're not aligned, and that the NRC staff  
24 cannot implement the ACMUI recommendations as  
25 currently written.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So the first recommendation, what we  
2           called Issue 1, it's not clear what the difference is.  
3           I mean we could go back and look at that and evaluate  
4           that more closely. But reading the words that were  
5           presented and reading the words that are currently in  
6           the rule, it's not clear what the real difference is.

7           But for Issue 2, at this point in time it's  
8           not implementable, and so other than yttrium-90  
9           microspheres, which I think we have addressed, I'm not  
10          certain what the concerns are. Perhaps some of you are  
11          aware of instances where maybe a licensee determined  
12          that a situation was not a reportable medical event due  
13          to patient intervention, and then the regulator, say  
14          an inspector or someone, disagreed and determined that  
15          the circumstances surrounding a certain case did not  
16          constitute patient intervention.

17          I'm not aware of any such instances or  
18          cases, and so -- but it is possible that that has  
19          occurred. So and here's the other part that I think  
20          is the real crux of the situation. Based upon my  
21          evaluation of the recommendations that we received and  
22          where we are with the current regulations, I believe  
23          that this really is more of a legal issue rather than  
24          a technical one.

25          So according to 10 C.F.R. 1.23, the NRC's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Office of General Counsel provides interpretations of  
2 laws, regulations and other sources of authority, and  
3 patient intervention is defined in 10 C.F.R. 35.2. Now  
4 the -- I think there are a couple of different ways we  
5 might could proceed.

6 If the ACMUI wishes, we could go to our  
7 Office of General Counsel and ask them if the additional  
8 language that was recommended, and that really has to  
9 do with Issue 2, the unintentional treatment outcome  
10 due to an anatomic or physiological anomaly and/or  
11 imaging uncertainty falls into the category of the art  
12 of the practice of medicine, provided that the  
13 standards of medical practice are met.

14 Again, I'm not an attorney, but I read the  
15 definition, the current definition of patient  
16 intervention and I don't see how we can get there. But  
17 if the ACMUI would like for us to, we could go to our  
18 Office of General Counsel and ask them if in fact that  
19 definition could be interpreted such. But in order for  
20 us to do that, frankly we're going to need a little bit  
21 more from the ACMUI.

22 We're going to need some -- I mean I can't,  
23 I can't assume and take the slides that we received with  
24 those recommendations, and then try to fill in the  
25 blanks and assume what the basis is for that. So at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 a minimum, I think if the ACMUI wants to proceed to do  
2 that and go to OGC for an interpretation, we would need  
3 a report, I believe, that fully fleshes out these issues  
4 and provides us with the basis for these -- for these  
5 recommendations.

6 And the other thing that of course we could  
7 do, but it would require some of the same sort of efforts  
8 on the part of the subcommittee, is we could go to  
9 rulemaking. That's always an option. But we all know  
10 what that entails. In other words, you would have  
11 to -- we would have to develop a rulemaking plan. We  
12 would have to get the Commission to agree to go forward  
13 with rulemaking, and then we would do the public, the  
14 full-blown multi-year process of changing the  
15 regulations to change this definition.

16 So that's kind of where we are, and I would  
17 welcome your comments and your thoughts on this.

18 DR. ALDERSON: Yes. Laura Weil.

19 MEMBER COSTELLO: This is Frank. Can I  
20 make a comment?

21 DR. ALDERSON: Okay. Just Laura's going  
22 to speak first, Frank, and then you'll come.

23 MEMBER COSTELLO: Okay.

24 MEMBER WEIL: If I'm hearing you  
25 correctly, and correct me if I'm wrong, you're saying

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       that the current 10 C.F.R. Part 35 definition, as  
2       outlined on Slide No. 6, is adequate?

3               MR. FULLER: Well, what I'm saying is that  
4       that is the current definition. That is in our  
5       regulations and that is -- and if we wanted, if the ACMUI  
6       wanted us to state or communicate out that it  
7       encompasses the recommendation that we talked about in  
8       Issue 2, staff does not have the authority to do that.

9               We would have to go to our Office of General  
10       Counsel and get them to agree that that -- and  
11       specifically what I'm talking about is that anatomic  
12       or physiologic anomalies and/or imaging uncertainty  
13       thought would need to be incorporated or they would have  
14       to agree that that's already there, that that could  
15       be -- that this definition could be interpreted to  
16       encompass those things, because the staff doesn't have  
17       the authority to do that interpretation.

18              And what I've said further was in order for  
19       us to go to OGC, we're going to need some help, because  
20       looking at the presentation, we don't see -- in other  
21       words, I wouldn't want to try to assume what the basis  
22       was without getting it from the ACMUI. We would have  
23       to -- this would be a formal process that we would have  
24       to go through, that we would have to develop the  
25       documents and send them to OGC for them to evaluate and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 get back to us.

2 DR. ALDERSON: Okay Frank, you're next.

3 MEMBER COSTELLO: Okay. Basically, I  
4 raise this issue because in my experience with the NRC  
5 I was very familiar with this definition, how we  
6 interpreted it in the past, and in discussions on a  
7 number of issues, it became clear to me that patient  
8 anomalies were being thought of as being patient  
9 intervention. If the patient's physical anomalies  
10 result in a dose not being delivered as intended, that  
11 was being thought of by any number of people as being  
12 a patient intervention.

13 I used microspheres as an example because  
14 we hadn't changed the definition of a medical event yet.  
15 That comes from the six months or whatever it was.  
16 However, I think -- as I think about it, there might  
17 be other situations and other modalities where patient  
18 anomalies may result in the dose, through no fault of  
19 the medical treatment staff, not being delivered just  
20 because of the way the patient was built.

21 However, at the time I came up with those  
22 because that was on my mind. There may be others, and  
23 maybe someone else can think of some. Thank you.

24 DR. ALDERSON: Yes, Sue.

25 MEMBER LANGHORST: Again, I apologize. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 wasn't here last year to talk about this during that  
2 presentation. But there is -- I'll give you an  
3 example, Mike. I brought -- I had one ready for that  
4 meeting and couldn't do it. So in 1980, the NRC had  
5 the final rule for misadministration, and in there,  
6 they said, in the final rule documentation,  
7 extravasation is the infiltration of injected fluid  
8 into the tissue surrounding a vein or artery.

9 Extravasation frequently occurs in  
10 otherwise normal intravenous or intra-arterial  
11 injections. It is virtually impossible to avoid.  
12 Therefore, the Commission does not consider  
13 extravasation to be a misadministration. The NRC  
14 asked ACMUI to review this again in, oh gosh when was  
15 that, that was 2009, and there was a teleconference on  
16 the diagnostic point of that and also then they asked  
17 could you talk about this in regard to therapeutic  
18 administrations.

19 And so that was presented in the spring  
20 meeting of the ACMUI, and at that point in time the NRC  
21 stated that it was determined that extravasation does  
22 not require reporting as a medical event. They asked  
23 the ACMUI on the therapy part and ACMUI said yes, you  
24 should continue to have that policy.

25 I'm not sure it was clear that the NRC staff

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       accepted that, but there's been no other change in  
2       policy in that regard. So that's kind of buried in  
3       historical information that isn't necessarily clear,  
4       that it's never related to patient intervention.

5               And so patient intervention having that  
6       definition and knowing what it means has a big impact  
7       on licensees because of medical event reporting and if  
8       you say it's patient intervention then you have that  
9       Item B on permanent impact to a tissue or organ. So  
10      that's the problem we're trying to solve, to make sure  
11      we're all talking the same thing.

12             MR. FULLER: Right, and so -- and I think  
13      it might mean -- and I'm sorry. I don't have what you  
14      have in front of you, right in front of you. But I would  
15      appreciate if you'd read that again, because when I  
16      heard you talk about extravasation, it's not -- nowhere  
17      in there do we say that that is patient intervention.

18             MEMBER LANGHORST: Right.

19             MR. FULLER: So that's the point I'm  
20      trying to make, and that is what we were asked -- the  
21      recommendation, or what we took from the presentation  
22      was it looked like we were being asked to declare or  
23      state that anatomic or physiologic anomalies are  
24      considered, and again I'm having to fill in the blanks  
25      and make assumptions, but they all would be considered

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 maybe involuntary actions.

2 That's the part I'm trying to get to, and  
3 we just can't do that right now. Yeah, unintentional.  
4 So when we -- when I read, and we have it up here,  
5 "patient intervention means actions by the patient or  
6 human research subject, whether intentional or  
7 unintentional, such as," and then we give the examples.  
8 "Dislodging or removing devices, or prematurely  
9 terminating the administration."

10 So I'm not arguing the merits of  
11 this, of these recommendations. I'm just simply  
12 pointing out that we have a process that we have to  
13 follow, that only certain folks can interpret our  
14 regulations. If we want to change the regulations, we  
15 have a way to do that and so forth and so on. That's  
16 the purpose of my presentation. It's not that we're  
17 taking a stance one way or the other on the merits of  
18 the argument.

19 DR. ALDERSON: So the question that I  
20 heard articulated is, and I know we discussed anatomic  
21 anomalies in some depth, that you're saying is do we  
22 believe that anatomic anomalies are captured within  
23 that statement, and I think the answer is no, they are  
24 not. So that if anatomic anomalies are going to be part  
25 of this, and I believe the Committee feels that they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       should be based on previous discussions, then you need  
2       to have some rewording done.

3               MEMBER LANGHORST:   No, no.

4               DR. ALDERSON:   Let me finish.   You need to  
5       have -- I think you need to have some rewording done.  
6       I don't think it's a legal issue.   I think it's a  
7       medical issue at this point.   Now Sue.

8               MEMBER LANGHORST:   Sorry.   I say no  
9       because you don't want it necessarily in patient  
10      intervention, because that drags it into the medical  
11      event arena.   It's where you put that or where that's  
12      considered, and it, you know, again it comes to that  
13      definition of what's practice of medicine versus what  
14      can be regulated.

15              DR.   ALDERSON:       Right,   okay.       Dr.  
16      Dilsizian.

17              MEMBER DILSIZIAN:   So we did struggle with  
18      this and I think again, just to summarize quickly, the  
19      dislodging of device was an easy one.   Patient  
20      accidently or unintentionally, you know, the device  
21      comes out and that's why Rule 1 wasn't changed really.  
22      I really do.   So we agreed with that.

23              MR. FULLER:   Okay.

24              MEMBER DILSIZIAN:   The discussion was  
25      about physiological or anatomical anomalies.   In

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 essence, the patient didn't do anything wrong or take  
2 the Y-90 example.

3 MR. FULLER: Uh-huh.

4 MEMBER DILSIZIAN: You're trying to treat  
5 the liver, the gastric artery's adjacent even though  
6 they may coin it or not. Then maybe some Y-90 goes  
7 backwards and now you have a stomach ulcer, which is  
8 what Frank was seeing in his regulatory stage, as he  
9 was reviewing these cases.

10 So he brought up the case well, what are  
11 we doing? We have these patients having ulcers.  
12 Well, how can we prevent it? Well, this is where the  
13 discussion started. So even with your best efforts  
14 sometimes, you're going to have some reflux. Now there  
15 may be permanent damage or temporary long ulceration.  
16 Is that something that is reportable or not, and the  
17 question ultimately we said these things happen. It's  
18 anomalous or physiological that regulators cannot  
19 really regulate that, and therefore the conclusion was  
20 not to be regulated.

21 So I agree with you. It doesn't fit into  
22 this definition, but the question is does it belong  
23 anywhere and we should be talking about this.

24 MR. FULLER: And that's why I asked the  
25 question the way I did, because if you look at the time

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 line of, you know, when this information was brought  
2 to us, we had a separate recommendation from the ACMUI  
3 to change the yttrium-90 microspheres licensing  
4 guidance. In that, because it's 35.1000, we can define  
5 what is a medical event or what needs to be reported  
6 as a medical event.

7 So we made those changes. In those types  
8 of situations that Frank described and the ones that  
9 you just described are repeated are no longer required  
10 to be reported, assuming that folks did the diagnostic  
11 studies appropriately prior to the administration. We  
12 had already previous to that, and I thank Frank for his  
13 clarification.

14 Again, where the arterial spasm is  
15 something that we recognize should not have to be  
16 reported as a medical event. It's far beyond the  
17 control of the licensee. So we changed that licensing  
18 guidance and made it clear to the community that those  
19 are not medical events. We didn't change the  
20 definition of patient intervention to get there, and  
21 that's the point of this presentation today.

22 And so that's why I kind of asked, okay,  
23 do we still have other instances that we can think of,  
24 where the general definition in Part 35 needs to be  
25 changed, such that -- and of course we don't know what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the future holds always. But my point, the whole point  
2 of this presentation is to let folks know that, you  
3 know, we're not taking a stance one way or the other  
4 about whether or not the definition ought to be changed.

5 That's why it's more of a legal question  
6 rather than a technical question, and again I think you  
7 and I are saying the same thing, Dr. Alderson, maybe  
8 just coming at it from a different perspective. If in  
9 fact the ACMUI wants us to say that anatomic or  
10 physiologic anomalies is captured in this definition,  
11 that's where I say the legal -- that's where the legal  
12 question comes in because again, I don't think any of  
13 us believe that.

14 But if that's the stance of the ACMUI, then  
15 the lawyers or our Office of General Counsel would have  
16 to do that evaluation and analysis and get back to us  
17 I think. Anyway, so I'm repeating myself now.

18 DR. ALDERSON: Yes. So I think my sense  
19 is the medical community is, you know, I believe that  
20 normal variations in human biology, physiology and its  
21 interaction with the world can't be, should not -- lead  
22 to a medical event. I hear you saying that essentially  
23 in the practical sphere, we've kind of dealt with it  
24 right now through rulemaking, because it's in the 1000  
25 category, which I think is actually true. I don't feel

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       like there's another issue pushing.

2               But it would seem likely that some other  
3       intervention, some new modality will come down the  
4       road, and we'll be back to square one with it again,  
5       and it might not -- I think it would be wise if it was  
6       practical to get it dealt with now. I guess what you're  
7       saying is the only real way to do that, there are only  
8       two ways to do that.

9               One, ask the lawyers can they read into  
10      unintentional in the way we would like it to be, that  
11      definition expanded to mean not just unintentional  
12      mechanical category but unintentional like I didn't  
13      know I was made that way; I didn't mean to be made that  
14      way, that made a shunt, right? That would be what we  
15      were talking about.

16              And I mean if it's not incredibly  
17      burdensome, I think asking the lawyers to try to make  
18      that interpretation would be valuable going forward so  
19      that issue would be settled. If that's not doable and  
20      you say it's rulemaking, and I think we would all  
21      probably agree it is not such a pressing issue in a  
22      practical sense to go through rulemaking.

23              DR. ALDERSON: Yes, we had a comment.  
24      Microphone.

25              MS. COCKERHAM: This is Ashley Cockerham

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with Sirtex Medical. Just a comment for -- while I  
2 appreciate that it was added to the guidance to allow  
3 for these anatomic variations, not all of the Agreement  
4 States implement the guidance as written, nor are they  
5 required to because Part 35.1000 is Compatibility D.

6 So if something like this was to be added  
7 to the definition in Part 35 as 35.2, the Agreement  
8 States would be more consistent with I think what the  
9 Committee is intending here.

10 DR. ALDERSON: Yes Laura.

11 MEMBER WEIL: Looking at your definition,  
12 the existing definition, we were discussing at some  
13 meeting, I don't remember which one, patients who have  
14 radioactive seed implantation for breast cancer  
15 localization, don't return for removal. That's  
16 patient intervention, but it's not caught in that  
17 definition.

18 I don't know that it rises to the level of  
19 medical event, but it's definitely not caught in there.

20 MR. FULLER: Well, I would argue that if  
21 someone fails to return, that that is an action.

22 MEMBER WEIL: It's an action, yes.

23 MR. FULLER: And that that action was  
24 intentional, and that it resulted in prematurely  
25 terminating the administration.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER WEIL:    I don't see prematurely  
2                   terminating the administration.

3                   MR. FULLER:     Maybe not prematurely  
4                   terminating, but prolonging. In other words --

5                   MEMBER WEIL:   It's interfering with the  
6                   plan.

7                   MR. FULLER:    Yeah. Well, here's again.  
8                   If that question, if that question came to us and we've  
9                   dealt with that in a different way obviously --

10                  MEMBER WEIL:   Yeah, several times, yeah.

11                  MR. FULLER:    Because we could, because  
12                  it's 35.1000. But if that question came to us --

13                  MEMBER WEIL:   Oh, it's 1000, okay.

14                  MR. FULLER:    --then we would have to -- we  
15                  would have to ask for an interpretation. We would have  
16                  to -- we would do what's called a technical assistance  
17                  request and we would say this is what the staff's  
18                  position is. We believe that this is correct, and then  
19                  we would ask the attorneys to tell us if we were correct  
20                  or not.

21                  DR. ALDERSON:   Dr. Langhorst is next.

22                  MEMBER LANGHORST: I would just propose  
23                  the thought that these types of things may not be  
24                  appropriate to put in patient intervention, but that  
25                  there be some other term defined that covers these types

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of things, so that licensees and regulators understand  
2 this is -- this cannot contribute to a medical event,  
3 such as the extravasation, that you don't have to go  
4 to your law library to look up all the details on it  
5 to get to it.

6 DR. ALDERSON: Mr. Green, you had the next  
7 comment.

8 MR. GREEN: Looking at the existing  
9 definition, if the last word "administration" was to  
10 change to "procedure," would that encompass the  
11 patient's localization brachytherapy seed, breast  
12 cancer?

13 MS. HOLIDAY: Dr. Alderson, if I can just  
14 clarify. Ms. Weil made a comment about the radioactive  
15 seed localization guidance and the fact that we called  
16 out patients who do not return for their ex-plantation  
17 surgery. That's not captured in the medical event  
18 reporting portion of that guidance as patient  
19 intervention.

20 What that section actually says is that for  
21 whatever we say it's a medical event, except for those  
22 that result from the intervention of a patient or human  
23 research subject, or a patient not returning for their  
24 scheduled surgery or the physician determination. I  
25 just wanted to clarify that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. ALDERSON: Yes, Mr. Fuller.

2 MR. FULLER: I noticed that our legal  
3 counsel, Esther Houseman, has moved to the microphone  
4 a while back, and I've probably taken all sorts of  
5 liberties. I would just like for you to recognize. I  
6 know she had some comments.

7 DR. ALDERSON: Certainly. Ms. Houseman.

8 MS. HOUSEMAN: Yes. I wanted to add just  
9 clarification on the service that OGC would provide to  
10 help resolve this issue. So first of all, and I'm not  
11 incredibly familiar with this particular provision of  
12 10 C.F.R. 1.23, but I believe that the paragraph you're  
13 referring is typically -- what they're referring to is  
14 a public interpretation of law, policy, etcetera.

15 OGC rarely uses that tool. So often if we  
16 provide a legal interpretation, it is -- it is advice  
17 that OGC provides to its client, the NRC staff. So you  
18 won't necessarily see a legal memo authored by me or  
19 someone else in OGC. But we do provide assistance on  
20 that front. It's just often not public, and I do want  
21 to make sure that you understand that.

22 The other thing I want to clarify is OGC's  
23 role in helping to resolve an issue like this. What  
24 I can assist in doing is helping the staff and taking  
25 ACMUI's recommendations into consideration, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 looking at this definition and figuring out is the  
2 ACMUI's recommended reinterpretation a reasonable  
3 interpretation of the definition that's in 10 C.F.R.  
4 right now.

5 If it's not a reasonable interpretation,  
6 then the difficulty that you run into is that the agency  
7 could unreasonably interpret some regulations, and  
8 that would come with litigative risk, and OGC would  
9 advise the staff on that.

10 In terms of what the definition should be,  
11 that's very much more of a technical question than a  
12 legal question. So just so you know on what the  
13 definition should be and as Dr. Langhorst mentioned,  
14 you know, whether there should be a separate definition  
15 and separate term entirely is more of a technical  
16 question for you all to discuss, and how to go about  
17 revising 10 C.F.R. or reinterpreting the meaning of  
18 this definition of patient intervention is something  
19 that OGC can assist with.

20 DR. ALDERSON: Okay, thank you. I'd like  
21 to -- that's a very good comment, thank you. What we  
22 appear to be trying to do here, I don't think we can  
23 accomplish it. That's in Part 35 definition, and what  
24 I hear you all doing, well-intentioned, is trying to  
25 wordsmith that definition. Let's add a word here,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 let's take a word out there.

2 That isn't going to go anywhere.  
3 Certainly not today and not anywhere when you consider  
4 what rulemaking is. So I believe that if we think that  
5 this is not an adequate inclusion of all the various  
6 things we've talked about, and that that needs to be  
7 out there in a more permanent way or a better way than  
8 35.1000, then we probably should put a subcommittee  
9 together to start working on that, and then create some  
10 advice that's more inclusive than this is.

11 But I don't think that sitting here today,  
12 you know, we can take it much further by the sort of  
13 discussion we're having right now. If someone  
14 disagrees with that point, then speak up. You disagree  
15 with that point?

16 MEMBER LANGHORST: No. I just --

17 DR. ALDERSON: No?

18 MEMBER LANGHORST: --suggest that maybe  
19 the subcommittee that worked on the original thing  
20 could take it up.

21 DR. ALDERSON: That would be fine. That  
22 would be fine. If that subcommittee would be willing  
23 to continue to work on this issue, that would be fine.  
24 But right now we're at a point where they can't work  
25 with what's up there and what we think. So we've got

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to resolve that dilemma. So I will -- Sophie, do you  
2 know right now who was on that subcommittee?

3 It should take two minutes. I would  
4 suggest that we do that after we take a break. We're  
5 ten minutes into the break period, and perhaps Sophie  
6 you can come and tell us that when we reconvene at three  
7 o'clock.

8 MS. HOLIDAY: I can tell you who the  
9 subcommittee members are.

10 DR. ALDERSON: Oh now you can do it. You  
11 are very fast.

12 MR. FULLER: And we need to do it before  
13 we go to closed session.

14 MS. HOLIDAY: The previous subcommittee  
15 members, and I'm going to exclude you Dr. Alderson.

16 DR. ALDERSON: Yes, have to exclude me,  
17 right.

18 MS. HOLIDAY: Include Mr. Frank Costello,  
19 Dr. Dilsizian as the chair, Dr. Ennis, Dr. Suh and Ms.  
20 Laura Weil.

21 DR. ALDERSON: So is that group of five  
22 people, are you all willing to take this up further?  
23 Yes. Very good.

24 MEMBER COSTELLO: Sure.

25 DR. ALDERSON: Then excellent, good.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Well then that subcommittee will take up this issue,  
2 and then report back to us at the spring meeting, yes.

3 MR. FULLER: And can I ask just one  
4 housekeeping question, and perhaps Sophie or Michelle  
5 can help me with this? So we've had a recommendation.  
6 I think it's clear we are unable to implement and follow  
7 that recommendation fully. So with this presentation  
8 and this new charge to the subcommittee, will we be able  
9 to close out that recommendation, that has been sitting  
10 out there now -- or actually there's two  
11 recommendations that were presented to us last fall.  
12 Can we close those?

13 MS. HOLIDAY: We cannot close them until  
14 the Patient Intervention Subcommittee presents and the  
15 ACMUI votes on the action at the next meeting.

16 MR. FULLER: Okay.

17 DR. ALDERSON: Good. Thank you.  
18 Vasken.

19 MEMBER DILSIZIAN: Could we have a staff  
20 member to at least direct us what all we can --

21 (Simultaneous speaking.)

22 MEMBER DILSIZIAN: We know what we want to  
23 say.

24 MS. HOLIDAY: Your previously  
25 appointed --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER DILSIZIAN: But the question is how  
2 we resource.

3                   MS. HOLIDAY: I'm sorry. Your previously  
4 appointed resource staff person is Ms. Maryann  
5 Abogunde.

6                   MS. ABOGUNDE: Over here.

7                   DR. ALDERSON: Okay, very good. So you  
8 have a staff person.

9                   MEMBER DILSIZIAN: Okay, good.

10                  DR. ALDERSON: Good, thank you. I think  
11 at this point let's call this discussion to a close,  
12 and we'll now take a shorter break and we will reconvene  
13 at three o'clock.

14                  (Whereupon, the above-entitled matter  
15 went off the record at 2:40 p.m.)

16

17

18

19

20

21

22