

Official Transcript of Proceedings
NUCLEAR REGULATORY COMMISSION

Title: Public Meeting on Patient Release
Program Regulatory Issues

Docket Number: (n/a)

Location: Rockville, Maryland

Date: Tuesday, May 23, 2017

Work Order No.: NRC-3074

Pages 1-106

NEAL R. GROSS AND CO., INC.
Court Reporters and Transcribers
1323 Rhode Island Avenue, N.W.
Washington, D.C. 20005
(202) 234-4433

UNITED STATES OF AMERICA
NUCLEAR REGULATORY COMMISSION

+ + + + +

PUBLIC MEETING ON PATIENT RELEASE

PROGRAM REGULATORY ISSUES

+ + + + +

TUESDAY,

MAY 23, 2017

+ + + + +

ROCKVILLE, MARYLAND

+ + + + +

The Meeting convened in Room T-2B3 at the Nuclear Regulatory Commission, Two White Flint North, 11545 Rockville Pike, at 1:00 p.m., Donna-Beth Howe presiding.

PRESENT:

DONNA-BETH HOWE

ROBERT LEE GLADNEY

DANIEL MUSSATTI

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

C O N T E N T S

Introduction.....3

Question A.....12

Question B.....24

Question C.....40

Question D.....52

Question E.....67

Question F.....76

Open Discussion of Any of the Above Questions.....90

Wrap Up.....105

Adjourn.....106

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 P R O C E E D I N G S

2 (1:01 p.m.)

3 OPERATOR: Welcome, and thank you for
4 standing by. At this time, participants will be in
5 a listen-only mode until the question and answer
6 portion.

7 If, at that time, you would like to ask
8 a question, press Star 1. With that, I'd like to
9 turn the call over to your host today, to Ms.
10 Donna-Beth Howe. Ma'am, you may begin.

11 DR. HOWE: Thank you. I'm going to pass
12 it over to my facilitator.

13 MR. MUSSATTI: Good afternoon. My name
14 is Daniel Mussatti, from the NRC, and I'd like to
15 welcome you and thank you for participating in this
16 meeting to discuss potential regulatory changes to
17 the NRC's Patient Release Regulations under 10 CFR,
18 Part 35.75.

19 MR. GLADNEY: And my name is Lee Gladney,
20 also from the NRC. We'll be serving as your
21 facilitators for today's meeting. Our role is to
22 help ensure that today's meeting is informative,
23 productive and on time.

24 Before we get started, I'd like to take
25 a few minutes to go over some logistics. First and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 foremost, the NRC is a safety-based organization, so
2 let's begin with some basic safety concerns.

3 If we have to evacuate for any reason,
4 please exit calmly through the doors you used to come
5 in here and follow the instructions from the security
6 officers at the door. When we get outside, please
7 stay together so we can make sure we all get out
8 safely.

9 For personal issues, leave the room
10 through this door and go across the elevator lobby.
11 The ladies' room is through the door to the right,
12 and the men's is to the left.

13 With regards to getting around in the
14 building, all visitors are allowed unescorted access
15 on the main lobby level. This will allow you to
16 reach the cafeterias, the coffee counter, ATM and
17 NRC's general store.

18 However, we are on the second floor which
19 means you have to have an NRC escort, even to use the
20 restroom or go back to the lobby. So please find an
21 NRC person to accompany you if you need to leave this
22 room during the meeting. We are happy to accommodate
23 you.

24 MR. MUSSATTI: This meeting is going to
25 be webcast. Although the webcast includes audio, we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 strongly urge you to mute the webcast of sound and
2 listen through the operator-controlled telephone
3 bridge line.

4 This meeting I also on webinar so that
5 you can type questions to the NRC at any time if you
6 want to have your comment heard. For those of us
7 here in the room, we ask that you turn off or mute
8 anything that rings, buzzes, beeps, alarms or talks
9 back to you in any way.

10 And for the folks on the phone, please
11 put your phone on mute unless you're speaking.
12 Hopefully everyone in this room is signed in and
13 received a copy of the meeting materials and a
14 feedback form.

15 If you haven't signed in, the sign-in
16 sheets are at the table near the podium. For those
17 of you on the phone who haven't signed in, please be
18 sure to contact Donna-Beth Howe to ensure that we
19 have your contact information.

20 Donna-Beth is a senior health physicist
21 with the NRC's Medical Radiation Safety team and is
22 in charge of this meeting. Her contact information
23 is on the meeting announcement.

24 This is a Category 3 meeting to discuss
25 potential regulatory changes to 10CFR 35.75 which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 deals with the regulations related to releasing
2 patients who have been medically administered
3 unsealed by-product material or implants.

4 A Category 3 meeting provides the NRC
5 with an opportunity to work directly with the public
6 to ensure key issues and concerns are understood and
7 considered in an NRC rulemaking.

8 The majority of today's meeting will be
9 devoted to public participation --

10 DR. HOWE: This is a Category 1 meeting.

11 MR. MUSSATTI: Category 1?

12 DR. HOWE: Yes.

13 MR. MUSSATTI: Okay.

14 DR. HOWE: It is not rulemaking.

15 MR. MUSSATTI: It is not rulemaking?

16 DR. HOWE: It is pre.

17 MR. MUSSATTI: This is pre-rulemaking. I
18 stand corrected. It's a Category 1 meeting.
19 Everything else I said still holds. It's to provide
20 us with an opportunity to work with the public to
21 ensure key issues are addressed.

22 And this is a town hall style meeting
23 with feedback. And the feedback the NRC receives
24 today is not considered to be formal public comments.
25 The NRC invites participants to submit formal written

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comments regarding these potential regulatory
2 changes, and the date for that is also in the
3 announcement. Go ahead.

4 MR. GLADNEY: The meeting for today
5 includes a brief introduction by Donna-Beth Howe
6 followed by a series of six questions for which the
7 staff seeks input and insight. We have dedicated
8 four hours for this meeting, so we plan on keeping to
9 a tight schedule with a half-hour for each question
10 with a short five-minute break at 2:30.

11 And for the six-question sessions, we
12 will open an hour of free discussion on all six of
13 the questions with a 5 o'clock adjournment.

14 Because of the number of questions that
15 we need to ask, we ask that any NRC staff members in
16 attendance limit their comments during this meeting
17 as much as possible to facilitate discussion by the
18 public.

19 For members of the public, we ask that
20 any statements made by on topic and limited to no
21 more than three minutes so everyone will have an
22 opportunity to participate. Anyone participating by
23 phone has to make their comments through the
24 teleconference operator.

25 At this time, I would like to ask the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 operator to explain how these phone comments are done.
2 Melissa?

3 OPERATOR: Yes, sir. At times, if you
4 would like to ask a question on the phone, please
5 remember to press Star 1 to be queue up to ask your
6 questions.

7 If you want to withdraw your question
8 it's Star 2. So for questions, you press Star 1. To
9 withdraw your question, it's Star 2.

10 MR. GLADNEY: Okay, thank you, Melissa.
11 For those of you on the phone, we will take your call
12 at your earliest opportunity, so please be patient.
13 And, if at any time, you have a problem hearing us on
14 the phone, please let the operator know right away so
15 we can work to resolve the problem.

16 Are there any questions about the agenda
17 or the comment process?

18 MR. MUSSATTI: Okay, this meeting is
19 being recorded. So to ensure we get a good recording
20 and a transcription of our discussions, please use
21 the microphones and speak clearly.

22 For the record, we only need you to give
23 your first name. That's all the information that's
24 necessary for us to be able to handle this meeting.

25 For members of the audience, please

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 refrain from side conversations. We have a podium
2 microphone at the center post here in the room. So
3 when you want to talk, just queue up and we will get
4 to you as soon as we possibly can.

5 Also, participants are allowed to use
6 recording devices during this meeting. Although it
7 is not required, it would be polite to make other
8 people aware of your recording them. So at this
9 time, I'd like to ask, does anyone in here plan on
10 recording any or part of this meeting?

11 Okay, I think we're fine.

12 MR. GLADNEY: Does anyone on the line plan
13 on recording this meeting? Okay.

14 MR. MUSSATTI: Okay. Finally, we're
15 looking to improve our meeting, and your feedback to
16 us is important. And the end of the meeting, please
17 complete the feedback forms and return them to us.
18 You can find copies on the podium by the center post
19 here in the room.

20 And for those of you her in the room, you
21 can contact Donna-Beth Howe at 301-415-7848, and she
22 can get you a copy of the feedback form. Or you can
23 go to the R ADAMS website and find the form at
24 Accession Number ML011160173. Or you can go to our
25 website, www.nrc.gov and type NRC Form 659 in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 search window in the upper right-hand of the page and
2 it'll jump you right to it.

3 The form folds into its own postage-paid
4 mailer for you, so it won't cost you a thing to send
5 it back to us. If we don't have any questions, I'd
6 like to turn the meeting over to Donna.

7 DR. HOWE: Thank you, Daniel. Thank you,
8 Daniel. This our Patient Release program public
9 meeting. This is the second public meeting, and the
10 second and last public meeting. And our agenda is
11 as indicated earlier. We will have 30 minute -- we
12 have six questions to go over. We have 30 minutes,
13 roughly, for each question.

14 At the end of -- at 4 o'clock, we will
15 open it up so that people can talk about any of the
16 above questions, and then we'll wrap up. If we don't
17 have a lot of discussion in one of these 30-minute
18 sessions, we may elect to go back and have people
19 provide comments on earlier questions. But we will
20 not go ahead, and we will try to get to each question
21 at the allotted time.

22 Just to give you an introduction of why
23 we're here today, on April 28th, 2014, we got a Staff
24 Requirements memorandum from our commission. And,
25 you don't need to know what the COM numbers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are -- it's that requirement memorandum.

2 And the topic of this was the background
3 and proposed direction to the NRC staff to verify
4 assumptions made concerning patient release guidance.
5 This staff requirements memorandum had an objective
6 to get input from a wide spectrum of stakeholders,
7 the public patients' patient groups, physicians,
8 professional societies, licensees, our Advisory
9 Committee on the Medical Uses of Isotopes and
10 Agreement States.

11 We published a Federal Register Notice in
12 order to get as much public comment as we could, and
13 we're having public meetings. We actually had two
14 parts to this staff requirements memorandum.

15 The first part was the Federal Register
16 Notice that we published in November of 2016 with a
17 November to February public comment period where we
18 collected information on what patients believed to
19 help them understand the I-131 treatment procedures.
20 We wanted physician and licensee best practices when
21 making informed decisions on releasing I-131
22 patients.

23 We wanted instructions that could be
24 provided to patients on how to reduce radiation doses
25 to others. And we were also looking for brochures.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This public comment period is over. We have
2 collected that information, and now we are moving
3 into Part 2.

4 In Part 2 we published a Federal Register
5 Notice on April 11th of this year. And in this one,
6 we're going to explore with the public, licensees and
7 state partners whether the Agency should change 10
8 CFR Part 35.75, which is the patient release part of
9 the regulation, for specific reasons.

10 We published six questions in the Federal
11 Register Notice. And we are getting public comments
12 provided originally during the 60-day period, but
13 we've extended that period for another 15 days. So
14 the period ends on June 27th, 2017. And your comment
15 must be provided either electronically at
16 regulations.gov or in writing to the NRC.

17 And later on, I'll have -- where you have
18 to submit your comments is included in the Federal
19 Register Notice, and I'll also have a slide that
20 reminds you where to submit that information.

21 What are we going to do with these public
22 comments? We're going to use the public comments to
23 form the basis for a SECY paper, which is the way the
24 staff responds to the Commission on whether we should
25 pursue changes to 10 CFR 35.75. So that's the basic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 overview of why we're here today.

2 And we're going to go directly into
3 Question A. Question A is: Should NRC require an
4 activity-based patient release threshold under which
5 patients would be required to be maintained in a
6 clinic-sponsored facility -- for example, a medical
7 facility or a facility under the licensee's
8 control -- until the standard for release is met?

9 We're not only asking the question. We
10 want people to explain why they believe the way they
11 believe. So if you believe that we should go to an
12 activity-based patient release threshold, then
13 explain why, and provide a potential activity-based
14 criteria.

15 If you do not believe we should go to an
16 activity-based patient release criteria, then explain
17 why the regulations -- and right now, our regulations
18 are dose-based criteria -- should remain as is.

19 In either case, we ask you to describe
20 the resulting health and safety benefits or lack of
21 benefits to the individual being released and to
22 individual members of the public.

23 So keep in mind, as you're giving your
24 comments over the phone or here in person, we would
25 like you to address the question, why you believe the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 way you believe and to describe the resulting health
2 and safety benefits or lack of benefits. And this
3 is the format we'll be using for the rest of the
4 meeting.

5 At this point, I'd like to open it up to
6 telephone comments. So, operator?

7 OPERATOR: Ma'am, again, as a reminder,
8 to ask a question or make a comment over the phone,
9 please press Star 1. One moment. And, ma'am, at
10 this time I'm showing no questions or comments.

11 DR. HOWE: How many do we have on the
12 phone at this time?

13 OPERATOR: Currently we have 45.

14 DR. HOWE: Forty-five. Do we have any
15 comments? Okay, I'd like to open it up to the people
16 that are on the webinar. It appears that we have --

17 MR. MUSSATTI: We have 5 people, but no
18 questions.

19 DR. HOWE: Oh, we have no questions from
20 the webinar either. Then we'll open it up to the
21 room. And we don't have too many members of the
22 public in the room, but do we have comments from
23 members of the public? Push the button.

24 PETER: Yes, please. Oh, sorry. Yes,
25 please. My name is Peter Crane. I'm an NRC retiree

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with 23 years of service here. I'm also a 44-year
2 veteran of thyroid cancer.

3 When I was treated long ago with
4 radioactive iodine, there was a 30-millicurie
5 activity limit. In those days, 30-millicuries was
6 considered high by the international community. When
7 the International Basic Safety Standards were issued
8 in 1996, they indicated that 30 was the top, but that
9 many countries thought that the lower standard was
10 desirable for public health purposes.

11 I believe that an activity standard with
12 flexibility is desirable. Now when the NRC put out
13 petitions for public comment in the 90s, Dr. Malmud,
14 Leon Malmud, then the head of the Society for Nuclear
15 Medicine and later the head of the Advisory Committee
16 on the Medical Uses of Isotopes, suggested that what
17 the Commission should do was simply incorporate NCRP
18 37, from 1970, into the regulations.

19 NCRP 37 indicated that the default was
20 in-patient treatment but there ought to -- and, in
21 fact, its authors made clear that they thought that
22 30 millicuries was too high and that something more
23 like 8 millicuries was desirable. There is
24 correspondence with the authors on that subject.

25 But they thought that there should be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 provisions in unusual circumstances for release of
2 patients with a calculation based on the likely dose
3 to others. That's where the dose-based criteria came
4 from. And the rationale for the Commission's rule
5 change in 1997 was to use the approach of NCRP 37.

6 But NCRP 37 did say that there should be
7 an absolute maximum of 80 millicuries for release.
8 And they wanted public health officials notified and
9 all kinds of radiation warnings. And I think that
10 what we should have is an activity -- now there are
11 problems with the activity standard.

12 So that's a point that's frequently made
13 that, for one thing, iodine-131 is a much more serious
14 issue than a lot of other isotopes. And all that I'm
15 saying about an activity standard is related to I-131
16 solely. I don't have a problem with higher standards
17 for -- higher limits for other isotopes.

18 Let's see. So I think there should be
19 flexibility in extenuating circumstances. Now one
20 of the points that's often made in contradiction to
21 the 30-millicurie limit for I-131 is that if you have
22 an athyrotic patient, which is to say the thyroid has
23 been removed for -- and this is a follow-up treatment
24 for cancer -- there isn't much tissue there to soak
25 up the iodine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So it tends to get flushed through the
2 system, through the kidneys and the bladder and out
3 and down the toilet pretty fast. Whereas if you have
4 a hyperthyroid patient with Graves' disease, with an
5 intact thyroid, the person's going to soak up
6 that -- there's much more tissue, much more iodine
7 that's going to be retained.

8 So I think what one needs to use
9 sensitivity in developing it. I'm not
10 predicting -- I'm not offering a hard and fast
11 solution today, but I do think we need a standard
12 that balances public health needs against, you know,
13 the practicalities of medicine, and I think that can
14 be achieved. Thank you.

15 DR. HOWE: And, Peter, can you give us
16 what you think the resulting health and safety
17 benefits would be?

18 PETER: There is significant -- I'm sorry
19 not to have touched that -- there is significant such
20 safety and health benefits. There are people being
21 sent home to their families all the time with high
22 levels of radioactive iodine in their systems, in
23 part, because there is great disparity among
24 institutions and the kind of guidance that is given
25 out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And people are going home to situations,
2 sometimes with no guidance at all. And their
3 families are getting exposed, and I think we have an
4 extremely serious problem with the discharge of
5 patients to hotels. Because we don't know what kind
6 of doses they may be giving. We don't know how many
7 radioactively contaminated hotel rooms a hotel worker
8 may clean in a year.

9 Jim Luehman, of the NRC staff made that
10 point as long ago as 2010, that it kind of busts the
11 underlying premise that no member of the public is
12 likely to get exposed to a radioactive patient more
13 than once a year.

14 DR. HOWE: Thank you. Do I have any
15 comments from those on the phone?

16 MR. MUSSATTI: Sorry, mute.

17 DR. HOWE: Do I have any comments from
18 those on the phone?

19 OPERATOR: Yes, ma'am. We do. We do
20 have someone. Linda, your line is open.

21 LINDA: Yes, I just wanted to make a
22 comment that --

23 DR. HOWE: Could you please speak up?

24 LINDA: Sure. Can you hear me now?

25 DR. HOWE: Yes, I can.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 LINDA: Okay. That I think that going
2 to a dose-based would be a step backwards because I
3 think the rationale for doing it based on a dose rate
4 or the 500 millirem is based on risk-informed
5 information as opposed to just an arbitrary number.

6 The problems that can arise from like
7 going backwards relative to healthcare is that, I
8 believe that we would be going down the path of
9 creating a situation where there are patients that
10 would possibly not be able to be treated if we were
11 to change is back to the old way because of the fact
12 that either insurance would not be covering the
13 hospitalization, which would put a tremendous
14 financial burden on the patients.

15 Or, depending on what the regulatory
16 limit was for release, that maybe in some situations
17 families would not have the wherewithal to be able to
18 accommodate a person being away from their home for
19 that long a period of time.

20 And so I think that this is kind of going
21 at it backwards, basically backwards, though I do
22 understand that maybe the earlier document NCRP
23 Document 37 which was published in 1970 with the
24 information available at that time may have advised
25 certain things.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But then NCRP Report 155, which has come
2 out more recently, much more recently, clearly has
3 the information available there for the calculation
4 of doses that is much more up to date. And so I
5 think that that would be the document I would look to
6 as opposed to the NCRP 37 document that is now over
7 40 years old.

8 DR. HOWE: Can you identify yourself by
9 first name?

10 LINDA: Linda.

11 DR. HOWE: Linda?

12 LINDA: Yes.

13 DR. HOWE: Thank you very much. So you
14 would like to stay with the dose-based activity, and
15 you indicate that you think the health and safety
16 benefits would be from the possibility of patients
17 having to be held longer or not being given treatment.

18 Keep in mind that part of our patient
19 release is that you can be released if you're given
20 instructions that could keep whatever the patient
21 release criteria is done to the level of release.

22 LINDA: Yes. Right. I understand. But
23 even now, based on NUREG-1556, the instructions are
24 required for anybody who's going to be released based
25 on the calculated methodology as opposed to just the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 absolute less than 33 millicuries even though, from
2 7 to 33, these instructions are also required, maybe
3 not documented as are required for over 33 millicuries
4 or if you used the 500 millirem calculation method.

5 But, no, I understand that instructions
6 are required. But I think that if we went back to,
7 for example, saying, okay 30 millicuries or more must
8 be hospitalized, I think that would be a backwards,
9 especially in this day and age when hospitals are
10 already at top census.

11 And, but the problem is that we
12 have -- managed healthcare is becoming more and more
13 of an issue in terms of putting -- CMS putting caps
14 on certain things. And the burdens of the medical
15 system now are already very onerous.

16 And now, by creating hospitalization, if
17 this were to go back to an earlier time, requiring
18 hospitalization for thousands of patients a year,
19 which, for no reason, for no medical reason other
20 than to keep them isolated, would seem like a step in
21 the wrong direction in terms of overall healthcare in
22 the United States.

23 DR. HOWE: Thank you, Linda. And do I
24 have any other commenters on the phone?

25 OPERATOR: Yes, ma'am, you do. The next

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 person is Deirdre. Your line is open.

2 DEIRDRE: Hello. Can you hear me?

3 DR. HOWE: Yes, I can.

4 DEIRDRE: Okay, I also -- I agree with
5 the previous speaker that we should never go back to
6 a strictly dose activity-based release criteria.
7 That is going the wrong direction.

8 There is no evidence that the current
9 system has put anybody at risk. And the cost to
10 these families would be exorbitant if we go back to
11 having a dose-based release criteria.

12 The way it works now, we can do a
13 calculation. We have our choice. We can release
14 based on the activity. We can release based on a
15 dose rate. Or we can release on a personalized
16 calculation that takes into account the patient's
17 home situation.

18 And to have to go back to a strictly
19 dose-based or activity-based release criteria is a
20 step in the wrong direction and will not increase the
21 safety of the public because there's no evidence that
22 the safety is at risk at this point.

23 The literature is full of studies of the
24 doses to the family of the patient when the patient
25 is released. And it consistently shows relatively

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 low doses, the lower level at which there's any
2 evidence for harm.

3 So I urge the NRC to continue with the
4 current system that give flexibility which will
5 benefit patients in the long run and keep the
6 patients' families and co-workers and others safe.

7 DR. HOWE: Thank you, Deirdre. Do I have
8 any other comments on the phone?

9 OPERATOR: Yes, ma'am. We have one more
10 from Mack. Your line is open.

11 MACK: Yes, I'm a radiation safety
12 officer at a medical institution. Have been in that
13 capacity for over 35 years. I'm a board-certified
14 health physicist as well.

15 And I'm going to kind of -- I've already
16 submitted written comments so I'm just going to kind
17 of base these comments on that. And
18 realistically -- I agree with the past two commenters.
19 We don't need to change anything.

20 And, in fact, as somebody pointed out,
21 the NUREG-1556 Volume 9 actually does provide for
22 licensees that want to use a dosage-based system.
23 It's already there. It gives tabular values for
24 activities that really coincide with the
25 calculational methods that are also explained in that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 NUREG.

2 So, you know, in essence, if a licensee
3 wants to use a dosage-based value, it's already there.
4 And, like the others have said, there are
5 numerous -- I have looked at a number of publications
6 trying to find, you know, significant hazards
7 associated with releasing these patients.

8 And, granted, you know, the providing
9 appropriate instructions which is -- and those types
10 of things we can address later because there are
11 questions about that. But, yes, that's an important
12 aspect of that.

13 And so, anyway, I agree with others.
14 What we have now works fine. And there's going to
15 be a problem, again, with payment, insurance
16 companies not wanting to pay. Lots of other
17 difficulties. And again, tell me where -- you know,
18 I need documented evidence that these patients are
19 hazards to somebody. There's nothing out there
20 that I found that really promotes that. I mean,
21 granted, the linear no-threshold depends on how much
22 stock you put in LNT, there's an awful lot of dispute
23 about that at this point in time. So, you know, I
24 believe that what we have in place is fine.

25 DR. HOWE: Thank you for your comment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Could you give us your first name again?

2 MACK: Mack, M-A-C-K, like track.

3 DR. HOWE: M-A-C-K? Okay, thank you.

4 At this point, we have come to the end of the time
5 for Question A and we'll move on to Question B.

6 If there are those on the line or those
7 in the webinar that would like to talk about Question
8 A, again, I remind you that we're going to have an
9 open hour from 4 o'clock to 5 o'clock. And hopefully
10 you'll be able to join us at that point to continue
11 the discussion.

12 So moving to Question B: Should the NRC
13 amend the regulations to clarify the time frame for
14 the current dose limit in 10 CFR 35.7(a) for releasing
15 individuals?

16 And the dose limit in 35.75(a) is 500
17 millirem. And we don't state whether it is per event
18 or per year. For example -- and so, one, for example,
19 should the regulations explicitly state the criteria
20 is a per year limit? If not, is there a different
21 criterion that the NRC should consider?

22 In either case, describe the resulting
23 health and safety benefits or lack of benefits to the
24 individual being released and to the individual
25 members of the public as a result of the proposed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clarification. Do I have anybody on the webinar with
2 a question?

3 MR. MUSSATTI: No.

4 DR. HOWE: No? No questions on the
5 webinar. I'd like to open it up to the phone line
6 first. Do I have any questions or comments -- not
7 questions, but any comments from those on the phone?

8 OPERATOR: And, once again, as a
9 reminder, to make a comment please press Star 1. One
10 moment, ma'am. I believe we do have some coming in
11 right now. One moment. I believe the first name was
12 Ilham. Your line is open.

13 ILHAM: It's Ilham.

14 DR. HOWE: Could you spell your first
15 name?

16 ILHAM: I-L-H-A-M.

17 MR. MUSSATTI: I-L-H.

18 DR. HOWE: Okay, thank you. Go ahead.

19 ILHAM: Yes, in fact, I believe that
20 this, that the time frame should be is quantified
21 because when we were trying to read the regulation,
22 we didn't know what the dose referred to, for how
23 long. Is it within a year that they shouldn't exceed
24 this dose or within -- all their life? So I think
25 it will be prudent to add this clarification.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. HOWE: And what are you suggesting
2 for a clarification?

3 ILHAM: Just to determine the time frame
4 that this regulation is concerned with so people don't
5 have to ask the question.

6 DR. HOWE: Okay. But I guess, for Question
7 B, we said that if you think the regulations need to
8 be explicit, could you provide us with what you think
9 that criteria should be.

10 ILHAM: I believe it should be within a
11 year.

12 DR. HOWE: Within a year? Okay, thank
13 you very much. And can you describe the resulting
14 health and safety benefits or lack of benefits to
15 members of the public or the person being released?

16 ILHAM: I am coming from point of view
17 of being clear rather than health benefits. It's to
18 clarify the regulation rather than the health aspect
19 of it.

20 DR. HOWE: Okay, thank you very much. Do
21 I have another comment from the phone?

22 OPERATOR: Yes, ma'am. We have Deirdre
23 again. Your line is open.

24 DEIRDRE: Hi. I think if you're going to
25 clarify the regulation, it needs to be on a per event

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 basis because the difficulty in doing it on an annual
2 basis with patient who might receive different kinds
3 of scans or implants at different facilities during
4 the course of a year would be a logistical nightmare.

5 I think it would be very difficult to
6 show compliance with an annual dose to patient family
7 members or the public. I think it needs to be
8 clarified as being on a per event basis.

9 DR. HOWE: Can you describe the resulting
10 health and safety benefits or lack of benefits?

11 DEIRDRE: Well, the benefit of making it
12 on per release basis, clarifying that, is that it'll
13 make it clearer to everyone involved. The danger of
14 making it a per year basis is that it will be a lot
15 of extra paperwork.

16 It'll possibly result in patients' care
17 being delayed because they will have to wait till the
18 next year to get the next treatment when they would
19 benefit from a treatment again this year. I just
20 don't -- I think it will be expensive to comply with
21 a regulation that's on an annual basis.

22 DR. HOWE: And I'd like to remind you
23 that part of our patient release requirements are
24 that you can provide instructions to keep doses as
25 low --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DEIRDRE: Right.

2 DR. HOWE: -- as reasonably achievable.

3 So --

4 DEIRDRE: And we do that.

5 DR. HOWE: And so I don't think you're
6 necessarily preventing -- you may not be preventing
7 a treatment. You might have to have different
8 instructions. Have you considered that?

9 DEIRDRE: I have, but I'm looking at the
10 possibility, how do we prove, even with the
11 instructions, what the patient's family got before if
12 we need to treat again? How do we keep track of all
13 of this? Do we have to keep track of all the
14 diagnostic nuclear medicines, scans and what the
15 doses to the family members would be?

16 Because if it's on an annual basis,
17 wouldn't it include all of the radiation from that
18 patient to their family members or others? And how
19 do we -- how, on earth, are we going to do that? I
20 just want you to consider how, on earth, you're going
21 to make this an annual limit and have compliance.

22 DR. HOWE: Okay, thank you for your
23 comment. Do I have any other commenters on the phone?

24 OPERATOR: Yes, ma'am. We have Mack on
25 the line. Your line is open, sir.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MACK: Yes, this is, again, from my
2 written comments. But the idea of it should be 500
3 millirem per administration for the reasons that the
4 previous -- partially for the reasons the previous
5 commenter mentioned.

6 But the other very -- I mean, I, again,
7 look at this on a risk basis. And, you know, if
8 somebody gets, let's say, 500 millirem or 200
9 millirem, take your pick, or 100. But if they get a
10 hundred millirem or let's use 500 millirem in a
11 365-day period and then, on Day 366 they get another
12 500 millirem.

13 If we specify it on a yearly basis, okay,
14 what is the -- you know, there's no really significant
15 difference in the risk or the assumed risk with that
16 level of exposure. So whether it's 500 millirem or
17 let's say a patient gets treated twice in a
18 single-year period split by 6 or 8 months versus split
19 by 12 or 13 months, the risk is really -- there's no
20 difference in that risk.

21 So, you know, that's one thing. And
22 again, this goes back to this assumption that there
23 is risk at this level. The Health Physics Society,
24 in a position statement, has basically said that
25 we're, you know, demonstrable risks of less than a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hundred millisieverts, which is basically much higher
2 than we're talking about here, are -- there's no
3 documented evidence of risk to begin with.

4 So we're talking about levels of dose
5 that are way below that. So I guess I'm kind of
6 approaching this more from the risk standpoint than
7 the benefit. If there's no risk, then the benefit
8 obviously outweighs that.

9 The other thing that comes to mind is I
10 did talk to some of our clinicians, our physicians,
11 and asked them, you know, how often they do multiple
12 administrations of radio-pharmaceuticals within a
13 year. And it's pretty rare. So I think that's the
14 other thing, that it's a rare occurrence to begin
15 with.

16 So, again, this all is just based upon
17 risks that are really, really very small. So again,
18 I would support, you know, 500 millirem, I think, is
19 fine for members of the public, family members. But
20 per administration should be the appropriate time
21 frame. But it does, there's no doubt, it does need
22 to be clarified because it is somewhat ambiguous as
23 it stands now.

24 DR. HOWE: Thank you for your comment.
25 Do I have any other commenters on the phone?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 OPERATOR: No, ma'am, I'm showing nothing
2 further.

3 DR. HOWE: Okay. Do I have a comment
4 from the room?

5 PETER: Yes, please. This Peter Crane
6 again. An earlier comment -- it may have been Deirdre
7 who cited NCRP Report Number 155, management of
8 radionuclide therapy patients as a more recent and
9 preferable source to go by.

10 This is what they say at Page 145. "The
11 foregoing limits are annual totals and, therefore, do
12 not apply to individual treatments but collectively
13 to all treatments the patients may receive in a given
14 year."

15 That's the position that both the NCRP
16 and the ICRP have taken. And what's more, NCRP 155,
17 like the, as the ICRP and NCRP also believe, think
18 that 500 millirems is five times too high, that it
19 ought to be a hundred millirems for family members
20 other than a caregiver and members of the public.

21 So I'm okay with going by NCRP 155. But
22 if we take it as a whole, I think it supports strongly
23 that it's a per -- that it's an annual limit, not per
24 event.

25 I think there was confusion only because,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at the outset, the general thinking seemed to be that
2 it didn't make a lot of difference how you expressed
3 it because most people weren't going to get more than
4 one treatment in a year.

5 In reality, I, myself, have had 150
6 millicuries one spring and 150 millicuries that fall.
7 That may be a rarity, but it does happen. And, again,
8 my concern is solely with I-131. And it seems to me
9 that it's not as though people go shopping around
10 from one facility to another.

11 If you're being treated with I-131, it's
12 in your chart. Nobody is going to have difficulty
13 knowing how much you have got and what kind of
14 calculations of dose to your family remain. And so
15 I'm in full agreement with NCRP 155 on that.

16 DR. HOWE: And, Peter, can you address
17 the resulting health and safety benefits or lack of
18 benefits to individuals being released and individual
19 members of the public?

20 PETER: Sure. I realize that the Health
21 Physics Society has much -- greatly different ideas
22 about what is hazardous and what isn't. But the
23 National Academy of Science, these international
24 organizations and national organizations, take a
25 conservative view.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And this view was dictated not out of
2 some caprice but because there was a directive from
3 President Ronald Reagan in early 1987, January '87,
4 that expressed concern about the effect of radiation
5 on unborn children, in particular, and small
6 children, and directed all federal agencies to reduce
7 from 500 to 100 the amount, the acceptable dose to
8 individuals.

9 So I think, especially given that our
10 current standards allow children, including the
11 unborn, the nursing infant and so on, to receive 500
12 which is too much, we ought to be doing things that
13 make sure they aren't getting 500 times two.

14 DR. HOWE: Thank you, Peter. We still
15 have time. Do I have any other commenters on the
16 telephone?

17 OPERATOR: I'm showing nothing further
18 at this time.

19 MR. GLADNEY: Do we have any commenters
20 on the webinar? No?

21 DR. HOWE: We still have about 15 minutes
22 left in this session. So I think that's sufficient
23 time that I'll open it back up to Question A and see
24 if anybody has additional comments that they want to
25 make on Question A.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, Operator, do you have anybody that
2 would like to make additional comments on Question A?

3 OPERATOR: At this time, if you'd like
4 to make a question, please press Star 1.

5 DR. HOWE: And I have Question A up on
6 the screen now. Be it a reminder, that's the
7 activity-based patient release threshold or whether
8 we should keep it as it is with the dose-based.

9 OPERATOR: Ma'am, I'm showing no
10 questions over the phone.

11 DR. HOWE: Okay. By any chance, do we
12 have any more comments on Question B from the phone?
13 Okay, then, since we don't have any more questions
14 from the public or comments from the public on either
15 the telephone or the --

16 OPERATOR: Ma'am, I do apologize.
17 Somebody just prompted up. One moment. I apologize.
18 They did not record their first name. If you'd just
19 press Star 1, your line is open. Please announce
20 yourself by your first name only.

21 BRIAN: My name is Brian. Sorry, it muted
22 on me for a second there. I just want to make a
23 comment regarding this discussion, 100 versus 500
24 millirem.

25 I think most of us, when we look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 applying these dose limits, even on the per
2 occurrence, we're really calculating the constraint
3 dose to the highest-exposed individual. And usually
4 that is a caregiver or somebody that is spending a
5 significant amount of time around the patient.

6 What we do know is that even the dose to
7 caregivers, in actuality, is much less than what is
8 calculated, using, for example, Reg Guide 8.39
9 methodology. And we know that the dose to the public
10 at large is much, much less.

11 There's a number of reasons for that. We
12 don't have to get into all the technical reasons for
13 that, but that's just the way it is, right. So I
14 think because of the inherent, very overt
15 over-conservatism, even in the dose estimation
16 methodology, likely dose to your average typical
17 member of the public, even sticking with something
18 under the current system, per release, is really going
19 to be much, much lower, okay.

20 And as Mack and some of my other
21 colleagues have said, you know, these doses really
22 are not at levels where we've seen actual risk. It's
23 all fine and well to look at the risk estimations and
24 the theoretical projections of ICRP and NCRP and these
25 other bodies. And they're really charged with trying

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to produce a systemic framework.

2 And we respect that and we acknowledge
3 that. However, NRC and radiation protection
4 professionals and scientists are charged also with
5 ALARA, what is reasonable, okay. And we do need to
6 make sure that we maintain enough flexibility, so
7 looking at what is, indeed, reasonable when it comes
8 to balancing risk of radiation exposure with other
9 societal costs.

10 And so I would charge NRC to look very
11 carefully at that and the downstream consequences
12 that might happen for 100 millirem versus 500 millirem
13 exposure limits to certain populations and also
14 hand-in-hand at how we're calculating and determining
15 those.

16 You know, any medical professional will
17 tell you that medical records and information
18 following a patient from provider to provider is
19 sketchy. I think it's very overly optimistic to
20 think that an annual dose limit, as commenters have
21 said, would be able to be complied with. It's just
22 not realistic for many patients.

23 There's no way to know. It's not a
24 controlled environment that's monitored.
25 Everything's based on projections and suppositions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But all the research says that the actual doses are
2 much lower, okay. So it is complicated, yes.

3 But this idea of trying to break out for
4 certain populations, 100 versus 500, for example, is
5 getting into sketchy territory, particularly when it
6 comes to workability for certain types of patients
7 and restriction times for families. So we do need
8 to pay close attention to that.

9 DR. HOWE: Okay, thank you. Do I have
10 comments from the room?

11 PETER: Yes, please. This is Peter Crane
12 again. I'd like to respond. This is both 1 and 2,
13 acceptable to the --

14 DR. HOWE: A and B?

15 PETER: A and B, sorry. I think the
16 commenter who made the point about insurance and the
17 effect on insurance was very much on the right track
18 in that insurance is a dominant force in patient
19 release.

20 Prior to 1997, if you were being treated
21 with more than 30 millicuries, you had to be
22 hospitalized, and it was very clear to your insurance
23 company that they had to pay. After 1997, it was
24 easy for insurance companies to say, well, it's no
25 longer required, therefore, we don't intend to pay,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and if you tried to argue.

2 But certain findings have to be made.
3 It's not the health of the patient which is often
4 invoked by the insurance companies. It's the health
5 of those around. It was easy to say, no, no, no. We
6 have a blanket rule. We don't pay.

7 And doctors were a quick solve in this
8 case because they had requirements from the NRC on
9 the one hand saying you're supposed to make
10 calculations. On the other, we had insurance
11 companies saying, calculate all you like -- we're not
12 paying.

13 I think if we had greater clarity about
14 what was and wasn't absolutely required from the NRC,
15 it would strengthen the hand of providers to say to
16 the insurance company, I'm sorry, we've got no choice.
17 This is a matter of requirement, not of whim, not of
18 choice. You've got to pay.

19 And I think we would be doing the medical
20 community a great favor because I know that there
21 are -- I can give you many examples of providers who
22 say, for example, well, I think it's better for you
23 to do inpatient, but you're going to have to work it
24 out with the insurer yourself.

25 And then when the person comes back and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 says I talked to my insurance company, they'll pay,
2 the doctor pumps his fist and says, yes. It's a
3 terrible imposition on doctors to make them spend
4 hours on the phone dealing with recalcitrant
5 insurance companies. They should be using their
6 resources to help patients and not getting the
7 runaround from insurance companies. So I think there
8 is a potential here to benefit the medical community
9 as well as the patient community.

10 DR. HOWE: Thank you, Peter. Do I have
11 any more comments from the phone on Question A or B?

12 OPERATOR: Ma'am, I'm showing no
13 questions.

14 MR. GLADNEY: Do we have any questions
15 on the webinar?

16 MR. MUSSATTI: No, sir

17 DR. HOWE: Okay then, I think we'll,
18 since we have no more questions, no more comments on
19 Question A or B, and we're just a little bit ahead,
20 then we'll take a quick break and come back at 2
21 o'clock and move on to Question C. Thank you. And
22 I'll put up Question C so that you have plenty of
23 time to see the question.

24 (Whereupon, the above-entitled matter
25 went off the record at 1:52 p.m. and resumed at 2:02

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 p.m.)

2 DR. HOWE: Now we're going to look at
3 Question C, which is "Should the NRC continue to apply
4 the same dose criteria of five millisieverts, or 0.5
5 rem, to all members of the general public, including
6 family members, young children/pregnant women,
7 caregivers, hotel workers, and other members of the
8 public when considering the release of patients?"

9 If so, explain why. If not, what
10 criteria should NRC use for an individual, group, or
11 groups? Specify the group, for example, family
12 members, young children/pregnant women, caregivers,
13 hotel workers, or others, for each criteria. In
14 either case, describe the resulting health and safety
15 benefits or lack of benefits to the individual being
16 released and to individual members of the public.

17 I would like to open the Question C now
18 to comments from the phone.

19 OPERATOR: Yes ma'am. And once again,
20 for comments, please press star 1. We do have one.
21 Richard, your line is open.

22 RICHARD: This is just a general comment
23 to --

24 DR. HOWE: Richard, could you speak up,
25 please?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 RICHARD: Yes. This is a general comment
2 to everyone else listening in that I believe the NRC
3 ACMUI committee has dealt with and looked at a number
4 of these issues, and they do have a report available
5 on the NRC website that would be beneficial to any
6 additional comments that they would like to submit in
7 regards to these questions. Thank you.

8 DR. HOWE: Thank you, Richard. Do I have
9 any other comments?

10 OPERATOR: Yes ma'am, we do. We have
11 Mack. Your line is open.

12 MACK: Thanks. This takes a while
13 because you've got a lot of people, a lot of different
14 groups in this category.

15 First, talking about young
16 children/pregnant women, the -- applying the same
17 dose limit for all those listed I think really is
18 appropriate based on the risk. With respect to --
19 to pregnant women/children, NCRP Commentary 9,
20 Considerations Regarding Unintended Exposure of
21 Embryo, Fetus, or Nursing Child, the following
22 statement says -- from that says if the dose to
23 embryo, fetus, or nursing child from an independent
24 exposure is less than or equal to an effective dose
25 of 50 millisieverts -- that is 5000 millirem -- there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is no harm from the deterministic effects, and the
2 risk of stochastic effects is less than 1 percent.

3 So the NCRP kind of acknowledges that,
4 you know, these are -- are based -- these are effects
5 based upon much higher doses, and we're talking about,
6 you know, 500 millirem, not 5000, so we're at 10
7 percent of what the NCRP says, you know, the risk is
8 -- is made less than 1 percent. Likewise, according
9 to the BEIR VII report, the normal incidence of cancer
10 in the adult population is around 46 percent in males
11 and 37 percent in females, so the -- the cancer risk
12 from exposure as a conceptus or a young child is one-
13 tenth of that value, and really it is statistically
14 insignificant, even when you apply the extrapolated
15 risk estimate. So for -- for that difference, I
16 think that kind of -- that speaks to that.

17 With respect to members of the public,
18 one of the things that -- that I do a lot in teaching
19 is it talks -- in an EPA document published in 2005,
20 it talks about the variations in radiation exposure
21 in the United States, and it actually says the average
22 background radiation dose to a member of the public
23 in the U.S. is about 294 millirem. In that same
24 publication, it says that the average annual dose
25 equivalent to a Colorado resident is 700 millirem,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and this is per year, by the way. So that is a
2 difference of 400 millirem, which is oddly
3 interesting difference between 100 millirem and 500
4 millirem.

5 Pregnant women and children in Colorado
6 are also exposed to that higher level, so -- and those
7 numbers accumulate arithmetically over several years,
8 so, you know, unless there's some paper published I
9 am not aware of that shows that children born to women
10 that live in Colorado, et cetera, are much higher
11 incidence of cancers, leukemias, et cetera, you know,
12 I am not convinced that there is, you know, any
13 significance of the difference between 100 and 500
14 millirem. So anyway, I think that kind of addresses
15 that. We -- we have to -- we have to use a little
16 science in the -- in the decision-making process.

17 Now, going to the hotel rooms, that is an
18 interesting issue. I have read about that a lot. A
19 paper published in Medical Physics Volume 42 number
20 4, April 2015, a group looked at different geometries
21 with patients in hotel rooms that would be seated
22 back-to-back on an adjacent wall, or maybe head-to-
23 head on an adjacent wall, you know, one patient --
24 the patient in one room, somebody else in the other.
25 It is assuming a 200 millicurie dose in a patient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 For an eight hour period, somebody is sitting back-
2 to-back in the adjacent room. Assuming no decay or
3 biological elimination, the calculated dose
4 equivalent would be about 7.4 millirem per hour, and
5 if you take an eight-hour time period, you get 59
6 millirem.

7 If you take the head-to-head geometry,
8 the dose rate is lower. It is only about 1.7 millirem
9 per hour for a 200 millicurie patient, so that gives
10 you 14 millirem in eight hours. Now, you know, both
11 of those are well below 100 millirem, so I am hard-
12 pressed to be a lot -- to be worried a lot about
13 adjacent -- occupants of adjacent rooms. That is not
14 going to happen more than once, so that is not an
15 issue.

16 When we talk about hotel workers, you
17 know, typically, whenever I have stayed in a hotel,
18 I am usually not there when the hotel worker comes in
19 there to clean the sheets or change the towels or do
20 whatever they do, so they are not going to -- the
21 patient is not going to present a significant external
22 exposure to these hotel workers. I don't care if
23 they deal with five of them a month. It is -- they
24 are not going to run into that.

25 You talk -- now, of course, obviously, oh

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 yeah, but they are contaminating the sheets and the
2 towels and this type of thing. You know, I -- I
3 practiced -- I have practiced for so long, when we
4 used to hospitalize all these patients, and, you know
5 what, we did -- you know, we did not get skin
6 exposures. We did not get internal contamination.
7 We did thyroid monitoring on our own staff, and we
8 dealt with every patient, by the way, and we didn't
9 see contamination.

10 Now granted, maybe we were taking
11 extraordinary lengths to protect ourselves, but most,
12 you know, people, if you wash your hands, that is
13 going to take care of, you know, contamination of any
14 significant degree. Now, we have all seen concerns
15 about people -- you know, the -- I have seen videos
16 of hotel workers cleaning the toilet with a washrag
17 and then cleaning out the coffee pot. Well, that is
18 a bigger concern, but not with radioactivity. I am
19 more worried about other reasons for that, so I don't
20 drink the coffee.

21 But anyway, that deals with the hotel
22 workers I think. It is a stretch to say there is any
23 risk to them at all.

24 DR. HOWE: So Mack, could you -- could
25 you concisely --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MACK: Yes. I am sorry. It's a long --
2 you asked for a lot of different groups.

3 So anyway, summary, dose limits should be
4 based on science, not conjecture, not concern about,
5 you know, risks that are not there, and, you know, so
6 I think 100 millirem, 500 millirem, it can be --
7 either one is fine. I don't think we have to -- to
8 worry that much about it.

9 DR. HOWE: Thank you, Mack. Do I have
10 other commenters on the phone?

11 OPERATOR: No ma'am. I am showing
12 nothing further.

13 DR. HOWE: Okay. I will move --

14 MR. GLADNEY: Oh, do we have any comments
15 on the webinar?

16 PARTICIPANT: No.

17 MR. GLADNEY: Okay.

18 DR. HOWE: Now do I have comments in the
19 room?

20 MR. CRANE: Yes please. This is again
21 Peter Crane, NRC retiree.

22 In 1997, there was an article in Thyroid
23 that made the point the overall hazard is a
24 combination of quote "the external and internal
25 radiation hazards." With respect to internal dose,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the article said saliva and urine are the primary
2 sources of such contamination. That suggests to me
3 that bathrooms are an issue, that hotel workers may
4 be exposed.

5 The article, incidentally, is by Dr. Pat
6 Zanzonico of the Advisory Committee on the Medical
7 Uses of Isotopes, and I think it is quite right. We
8 are talking about an awful lot of radiation. The
9 ICRP 104 in draft made the point that a single patient
10 may be giving off more radiation than a nuclear power
11 plant emits in a year, and as we are going through
12 some of these old reports, ICRP 94 in 2004 dealt
13 specifically with the hazards that I-131 patients
14 pose to their families.

15 The report stated, among other things,
16 that a single kiss from a radioactive patient to a
17 child could double the child's risk of later
18 developing thyroid cancer. And in 2008, the NRC
19 issued a notice that said -- to licensees, indicating
20 that the risk to children from I-131 contamination
21 was greater than the Agency had assumed in 1997, and
22 that the Agency had mistakenly discounted the risk of
23 contamination. And for that reason, it asked the --
24 asked doctors to consider hospitalizing patients with
25 young children at home.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I don't -- I think we have to consider
2 external dose as well as internal. It is -- it is
3 an unfortunate thing that at the time that the 1997
4 rule was put in place, the NRC was under the
5 misapprehension that external dose was the -- was the
6 driver, and that internal dose could be neglected.
7 It is -- it is not so. Sorry. I am looking for a
8 quotation.

9 DR. HOWE: Peter, we don't necessarily -
10 -

11 MR. CRANE: Sorry.

12 DR. HOWE: -- need quotations.

13 MR. CRANE: Okay. Okay. At any rate, I
14 think that it is the responsible thing to do to make
15 some discriminations rather than have 5 millisieverts
16 to everyone. I don't think that that necessarily
17 means 100 millisieverts to everyone. I think, for
18 example, a family member who is a caregiver, a family
19 member who wants to waive the restrictions to say,
20 hey, my kid is coming home, I want things to be normal
21 for my kid, I am not going to run away, I think they
22 ought to have that privilege. I think they ought to
23 be able -- if a child is hospitalized, they ought to
24 be able to sleep in the room across the hall and come
25 sit in the doorway.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And -- and I am referring to somebody I
2 know personally within the last month. You know, sit
3 in the doorway and chat with a child of 13 and keep
4 her spirits up and not have to worry about a limit.
5 It ought to be waivable. But I think -- and in those
6 cases, you have got a situation where the radiation
7 conveys a benefit. I mean, it is a benefit to have
8 a child who is cured. It is a benefit to you
9 emotionally to know that you're doing what you can
10 for your child.

11 If you're a hotel worker and you're
12 cleaning out somebody's radioactive urine,
13 radioactive saliva in the sink, you're not getting
14 any benefit of it, and we do know that there is the
15 -- the excellent notice from the New York City
16 Department of Radiological Health in 2009, the I-131
17 that the hotel worker absorbs can go through the
18 bloodstream into the developing fetus and into the
19 milk and then get absorbed by the baby's thyroid, and
20 we know that there is a particular period during
21 pregnancy -- I think it is 8 to 23 weeks or something
22 like that -- where the fetus is hypersensitive to
23 radiation. I just think that we have to make
24 appropriate allowances for that and not use a one-
25 size-fits-all here. Thanks.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. HOWE: So Peter, what criteria would
2 you -- it sounds like you broke the groups into family
3 and caregivers who you would increase the limit there,
4 and for young children/pregnant women, you would do
5 what with the public limit?

6 MR. CRANE: I would say what -- what NCRP
7 155 says, which is 100 to all these groups, other
8 than a family member who is a caregiver. Make it
9 waivable with informed consent on the basis of a
10 family member. And encourage ALARA below 100
11 millirem if you're dealing with small
12 children/pregnant women.

13 DR. HOWE: Okay. Thank you, Peter. Do
14 I have any more comments from the phone?

15 OPERATOR: I am showing no questions over
16 the phone at this time, but again, as a reminder, to
17 ask a question, press star 1.

18 PARTICIPANT: No questions on the
19 webinar.

20 DR. HOWE: Okay. I checked on the
21 webinar, and we have no questions on the webinar. So
22 I -- so we have no questions on -- no comments on
23 Question C from the phone, is that correct, Operator?

24 OPERATOR: That is correct, no questions.

25 DR. HOWE: How many people do we have on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the phone at this point?

2 OPERATOR: 51.

3 DR. HOWE: Okay. I am showing that we
4 have a lot of time. Well, we have about 12 more
5 minutes before we move to our next topic and break,
6 so do I have anybody on the phone that would like to
7 make a comment about Questions A, B, or C?

8 OPERATOR: Again, as a reminder, please
9 press star 1.

10 DR. HOWE: Okay. Question -- let me go
11 back. Do I have any -- so Operator, I have no
12 commenters?

13 OPERATOR: No ma'am.

14 DR. HOWE: Okay.

15 MR. GLADNEY: I will just read the
16 questions just to make sure that we have them again.

17 Question A is "Should the NRC require an
18 activity-based patient release threshold under which
19 patients would be required to be maintained in a
20 clinic-sponsored facility, in other words, at a
21 medical facility or facility under the licensee's
22 control, until the standard for release is met?"

23 Question B was "Should the NRC amend the
24 regulations to clarify the time frame for the current
25 dose limit in 10 CFR 35.75(a) when releasing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 individuals?"

2 And then Question C, "Should the NRC
3 continue to apply the same dose criteria of five
4 millisieverts, or 0.5 rem, to all members of the
5 general public, including family members, young
6 children/pregnant women, caregivers, hotel workers,
7 and other members of the public while considering the
8 release of patients?"

9 DR. HOWE: So Operator, do I have any
10 comments?

11 (No audible response.)

12 DR. HOWE: Do I have any comments from
13 the room?

14 (No audible response.)

15 DR. HOWE: Okay. At that point, let's
16 take a little bit longer break, and we are scheduled
17 to be back at 2:35, and so we will reconvene the
18 meeting at 2:35, and we will start on Question D at
19 that point, and I will put Question D up so that you
20 can see it in advance. Or actually, I have a break,
21 and on the break, you need to submit your comments in
22 writing, either by mail or electronically through
23 regulations.gov, and I have included the mail address
24 for Cindy Bladey at the U.S. NRC on this slide so as
25 we are taking our break you have plenty of opportunity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to copy that address down. The address is also in
2 the Federal Register, as is the -- as is the
3 regulations.gov address. So we will be back at 2:35.
4 Thank you.

5 (Whereupon, the meeting went off the
6 record at 2:20 p.m. and resumed at 2:38 p.m.)

7 MR. GLADNEY: Okay. This is the NRC.
8 We are resuming our public meeting on patient release.
9 And with that, I hope you enjoyed your break, and
10 with that, we are -- we will start back on Question
11 D, and I will turn it over to Donna-Beth.

12 DR. HOWE: Okay. Question D is the
13 fourth of six, and it is "Should the NRC include a
14 specific requirement for the release of a patient who
15 is likely to expose young children or pregnant women
16 to doses above the public dose limit?" And we ask
17 you that if you believe that we should, explain why
18 and describe what the requirement should include. If
19 you don't believe we should have a specific
20 requirement, then explain why our current requirement
21 -- why the additional requirement is not needed, and
22 in either case, we would like for you to describe the
23 resulting health and safety benefits or lack of
24 benefits to the individual being released and to a
25 young child or to a pregnant woman.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Operator, I would like to open it up to
2 those on the phone. Do --

3 OPERATOR: Yes ma'am.

4 DR. HOWE: -- you have any comments?

5 OPERATOR: Yes ma'am. At this time, once
6 again, as a reminder, for question or comments, please
7 press star 1. We do have Lou on the line. Your line
8 is open.

9 DR. HOWE: And who is -- what is the
10 first name?

11 OPERATOR: Lou, your line is open.
12 Please check your mute button.

13 DR. HOWE: Lou, are you on mute?

14 LOU: Hi. I had a comment on the
15 previous one, but I guess I missed the window, so I
16 have no current comments. Sorry. Thank you.

17 DR. HOWE: If you have a comment on
18 Question C, then we are going -- at 4 o'clock, we are
19 going to be open for all questions, so --

20 LOU: Okay.

21 DR. HOWE: -- hopefully, you will stay
22 with us and you will give your comment at that --

23 LOU: All right.

24 DR. HOWE: -- point.

25 LOU: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. HOWE: Also, if we end up with extra
2 time for Question D, we may also go back. Okay.
3 Thank you, Lou. Hopefully we will hear from you
4 again. Any other comments?

5 OPERATOR: No ma'am, I am showing nothing
6 further.

7 DR. HOWE: Do I have a comment -- I don't
8 have a comment from the webinar, I don't think.

9 PARTICIPANT: No comments from the
10 webinar.

11 DR. HOWE: And do I have a comment from
12 the --

13 MR. CRANE: Yes please. This is again
14 Peter Crane, NRC retiree.

15 I think that it is important to include
16 a specific requirement relating to the release of a
17 patient who is likely to expose young children or
18 pregnant women, and I think it should make clear that
19 these exposures are to be avoided at all cost. And
20 the health and safety benefit is that you are
21 preventing potential cancers and mental retardation
22 in the child.

23 It is -- and I think it is especially
24 important because, again, the -- the NRC's standard
25 is based on external dose limits, whereas the greater

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 danger to children is going to be from internal dose
2 from contamination. And let me quote from an
3 authority on the subject: "It should be realized that
4 the calculation system utilized in NCRP 37 assumes
5 that the patient is a sealed source. It is important
6 to consider situations in which the patient is a
7 'leaky source.' In such situations, more
8 conservative considerations need to apply.

9 "It is important to consider the patient
10 given sodium iodide-131 in this context. I-131
11 appears in urine, feces, sweat, saliva, lachrymal
12 fluid, nasal fluid, and emitted gases. The
13 radiation-absorbed dose to the thyroid in individuals
14 who share households with patients can be much more
15 significant from contaminant I-131 than from the
16 patient as a sealed source. Therefore, the limiting
17 factor in deciding when a patient can go home should
18 be contaminant levels of I-131 that can reasonably be
19 expected to occur." And that is from a nuclear
20 medicine doctor and professor in California named Dr.
21 Carol S. Marcus.

22 DR. HOWE: So Peter, what was -- you --
23 what was your specific requirement that you think we
24 ought to have?

25 MR. CRANE: Well, I think that it would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be well to spell out that, you know -- that young
2 children and pregnant women need to be particularly
3 protected. I think 100 millirems is the absolute
4 max, but that at least -- at the very least,
5 encouragement could be given to try to keep it below
6 that.

7 DR. HOWE: Okay. Thank you. Going back
8 to the telephone lines, do I have any comments from
9 the phone?

10 OPERATOR: No ma'am, but once again, as
11 a reminder, for comments, please press star 1.

12 We do have one person. One moment.

13 DR. HOWE: Oh, good.

14 OPERATOR: And ma'am, your first comment
15 comes from Brian. Your line is open.

16 BRIAN: Hi. I think we could all agree
17 that special consideration and more appropriate
18 instructions need to happen when pregnant women and
19 young children could be exposed, right? I think we
20 can all very easily agree with that general statement.

21 That being said, this idea of the
22 internal dose to others from a patient, from an iodine
23 patient, has been studied. There have been numerous
24 studies, in the presence of precautions that have
25 been given, without precautions being given, looking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at thyroid burdens of patients and family members,
2 and really, if the patient does follow the very basic
3 instructions, and even in many cases if they don't,
4 general hygiene has been shown to really limit that
5 dose to really uphold NRC's initial assertion under
6 most cases that the dose from internal sources is
7 less than 10 percent of the dose from external
8 sources, and for most cases, external cases will still
9 dominate.

10 And that even includes use of NRC's
11 internal dose model, which is very, very
12 conservative, taking the 10^{-5} transfer factor from
13 the original administered activity to the patient and
14 applying that as transferred internal dose, and that
15 is even using pediatric-specific dose conversion
16 factors and looking at those things. So we know --
17 we know a lot more than we did in the 1970s. We know
18 more than we did in the '80s. We are still going
19 research. We are still studying. But we know that
20 the internal exposure pathway, yes, it can be
21 important, yes, we need to look out for it, yes, we
22 need to consider it, but I don't think any of that is
23 a new requirement.

24 I mean, NRC did clarify some language in
25 Rev 3 to NUREG-1556 Volume 9 sort of emphasizing it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because maybe not all licensees were really running
2 the numbers and kind of looking at it carefully, and
3 maybe the guidance really was not there to encourage
4 us all to do that, but I think we already have an
5 existing requirement to consider this exposure
6 pathway. This is why we have some standard
7 restriction times and activity restrictions, for
8 example, some of which were touched on in NRC just
9 last week when you released that summary document for
10 -- for instructions, you know, talking about food
11 preparation and some of these other ALARA things.

12 You know, there is a lot that we do for
13 ALARA, and really, this is nothing new. I think the
14 debate is what is the calculation methodology, and
15 what magic number do you plug into the input, you
16 know? Do you agree that it is 100 or 500 of the
17 endpoint? And then separate from that, what is the
18 real dose, okay? And we know that pretty much
19 whatever calculation method we come up with, it is
20 going to be a conservative method. It is going to
21 be a conservative methodology, and the more realistic
22 doses are likely to be much lower than whatever we
23 come up with.

24 Now, where we do need to be very concerned
25 about, as I said, is, you know, of potential risk, if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 maybe it exists, maybe it doesn't. We know that we
2 can argue for hours about that at these levels, at
3 background levels, essentially, but there are
4 societal benefit issues for access to care. There
5 are issues when we are talking for example about
6 mothers, young mothers with hyperthyroid conditions
7 that are getting treated. If we're looking at
8 calculations of restriction times, we would get
9 problems there, you know, because we may be
10 restricting for three weeks access to -- to a child
11 if you're using the NCRP and some NRC models,
12 depending on what your inputs are. You know, this
13 is very, very burdensome, you know, and is there an
14 attendant benefit? Is it really warranted?

15 And I think that is the fundamental
16 question, you know? And I don't think we're all
17 going to agree on that, but, you know, we need to
18 balance the ALARA and these other societal
19 considerations, you know? People have thrown about,
20 you know, quality of life and cost and all these other
21 things, but it is incumbent upon us and NRC to really
22 look hard at the balance of that, quantitatively and
23 qualitatively.

24 DR. HOWE: Thank you, Brian. So can I
25 summarize that you didn't believe we needed a specific

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 requirement because you believe our current
2 requirements, if followed, are adequate? Or did you
3 believe that there should be some change?

4 BRIAN: I believe the current requirement
5 exists and is adequate. You know, there may be -- I
6 mean, you just released some pretty good guidance in
7 that information notice I think it was you just put
8 out there. Raising some profile on licensee -- on
9 the licensee end for some of these issues would be
10 good. I mean, even if you wanted to step up some
11 stuff in benchmarking among licensees for when you
12 inspect licensees to figure out how -- how we are
13 actually doing things out in the real world, you know,
14 there may be some benefit to that.

15 I think the requirement exists already.
16 I mean, it is pretty well codified. You tie us down
17 to it in the -- in the regulatory information notices
18 and in the guidance and in our licenses, that the
19 expectations are there that we are already supposed
20 to be doing this stuff.

21 DR. HOWE: Okay. Thank you, Brian. Do
22 I have any other commenters on the phone?

23 OPERATOR: Yes ma'am, we do. We have
24 Mack. Your line is open.

25 MACK: Thank you. Yes, I agree with what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the previous commenter just said totally. One of the
2 -- the things that I do think might -- should probably
3 be a requirement is -- that has to do with the written
4 instructions. I think the written instructions
5 should -- all written instructions that are provided
6 to patients should address maybe in one statement --
7 it could probably be done in one statement -- risks
8 to children or pregnant women, not if they are likely
9 to be exposed to children or pregnant women because
10 they don't know that.

11 If they are -- if they are at home, they
12 have control over that. If they are not at home,
13 persons riding on the bus, they are sitting in a movie
14 theater and a pregnant lady sits down next to them,
15 so it might be wise to require in the written
16 instructions that a sentence be put in there that --
17 to deal with, you know, that they should stay at arm's
18 length from women that are pregnant or children, you
19 know? And so I think that is a reasonable thing to
20 do, even though I -- I am not convinced there is any
21 real hazard.

22 We talked about the 100 millirem a minute
23 ago. My advice is, if you're worried about exposure
24 of 100 millirem, don't move to Colorado while you are
25 pregnant because you are going to get more than 100

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 millirem in a nine-month period than you do if you
2 live in -- in -- well, in Indiana or wherever else.
3 So anyway, but nevertheless, I do think that it is
4 reasonable to require, in the written instructions,
5 something about caution with pregnant women and
6 children.

7 The other thing I would also recommend
8 with the written instructions, there should -- in my
9 thought process, should be a requirement that
10 documentation be maintained where the patient
11 acknowledges by signature that they have received the
12 instructions, agree to follow the instructions, and
13 have been provided the opportunity to ask questions.
14 Now, that doesn't guarantee any of those things, but
15 it at least makes the patient think about it a little
16 bit rather than, you know, when you hand them this
17 piece of paper or whatever that says, you know, you
18 need to do these things, if they have to sign
19 something, they may be -- some will be a little more
20 likely to read it. So that would be something else
21 I think I would require, is that the written
22 documentation provided to patients -- or written
23 instructions be documented. So those two things I
24 think.

25 DR. HOWE: Thank you. Do I have any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 other commenters on the phone?

2 OPERATOR: No ma'am. I am showing
3 nothing further.

4 DR. HOWE: No questions from the webinar.
5 Questions or comments from the room?

6 MR. CRANE: Yes please. I think that
7 Mack's point about putting stuff in the written
8 instructions and getting some certainty that the
9 patient has bought into it and understands it and
10 signs it, I think that is very, very helpful.

11 What I would like to do is to make the
12 point that what we are seeing, the kind of people who
13 call in to talk about these, we have a sort of self-
14 selection of people who actually take radiation
15 protection seriously. So it is easy if you are a
16 good facility and you think, this is the way we do
17 business anyway, the instructions are here, we
18 already follow them, what do you -- you know, why do
19 we need anything more?

20 If everybody else were doing as well as
21 you all, we wouldn't have the problems we do. But
22 my viewpoint reflects that of literally hundreds of
23 thyroid cancer patients whom I have encountered in
24 person at the annual conferences of the Thyroid Cancer
25 Survivors Association, and many, many, many more than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that, online media, and frequently, they get no
2 instructions at all. They get sent out the door, say
3 oh, don't bother about -- don't worry about a thing.
4 And we had a meeting at a -- a patient who was herself
5 a charge nurse who said yes, they get written
6 instructions. What happens is a stack of papers --
7 this was from some no-name place in New Jersey -- a
8 stack of papers, and somewhere in the midst of the
9 papers are the written instructions. Nobody has told
10 them what's in it. Nobody has focused them on it.

11 And, you know, it may seem like overkill
12 to people who are already doing this, but we've got
13 a lot of underkill out there, and it's a matter of
14 getting the rest of the country up to speed.

15 DR. HOWE: So Peter, can I assume that
16 you're saying that our previous commenter was
17 thinking in terms of a document that the patient
18 signed that they saw and read, that maybe you would
19 have a signature of the healthcare provider that they
20 explained them?

21 MR. CRANE: Well, yes. I mean, if I had
22 my druthers, we would do better than that. We would
23 have the NRC and the medical community agreeing on a
24 common set of guidelines representing best practices.
25 It would be recorded on a DVD in all the languages

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that patients are likely to speak, and when patients
2 were preparing to be treated or to make decisions on
3 treatment, some provider would say I am going to sit
4 you down in front of this computer with this DVD, and
5 when you watch this for half an hour, it will answer
6 a lot of your questions, and after that, when you've
7 got more questions, we will talk about it. And that
8 way, we would have some realistic buy-in, and we would
9 also know that the provider was covered because the
10 provider would certify, yes, they saw the video.

11 DR. HOWE: Okay. Thank you. Do I have
12 any more comments on the phone?

13 OPERATOR: No ma'am. I am showing no
14 questions.

15 DR. HOWE: Okay. Then I think we have
16 still got about four minutes. I had somebody that
17 had a comment about Question C earlier. Are you
18 still on the phone? That would have been Lou.

19 OPERATOR: Lou, if you are still on the
20 phone, please press star 1. We can go ahead and take
21 your comment or question.

22 (No audible response.)

23 OPERATOR: And ma'am, he is not prompted
24 up for the question.

25 DR. HOWE: Okay. Maybe he is -- maybe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 he is going to come back later. Okay then. I think
2 we have just a few minutes that we will wait until we
3 get to Question E, because I don't want to go -- I
4 don't want to get in advance and -- and have someone
5 call in and they miss their question, so we will just
6 take a quick pause right now, and we will be back at
7 3 o'clock. And while we are waiting for three
8 minutes, I will put the electronic information of how
9 to submit your comments up on the screen.

10 (Whereupon, the meeting went off the
11 record at 2:57 p.m. and resumed at 3:00 p.m.)

12 DR. HOWE: Okay. It's about three
13 o'clock. So we'll start with Question E, and since
14 I'm not getting a lot of discussion, I'm getting a
15 little bit of background on this one.

16 The question is should NRC have a
17 specific requirements for licensees to have a patient
18 isolation discussion with patients in sufficient time
19 prior to administration to provide the patient time
20 to make isolation arrangements, or the licensee to
21 make plans to hold a patient if the patient cannot be
22 immediately released.

23 Currently, we talk about giving
24 instructions but we don't really -- found that a
25 number of patients go into their final I-131 treatment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and they have not been talked to at all about
2 isolation, and at that point it becomes too late for
3 them to make arrangements, and it also if it's clear
4 that the patient has to be hospitalized, it's too
5 late for the hospital to make arrangements at that
6 time to treat the patient that day and they have to
7 come back. So that's part of why we have this
8 question.

9 It came out of our public comments from
10 the 2016 Public Comment Section from patients. So
11 the question, and we want you to explain why you think
12 there might be specific requirements that are needed
13 and describe what those requirements should include.
14 If you don't think we need specific requirements,
15 explain why the requirement is not needed. In either
16 case, we'd like you to describe the resulting healthy
17 and safety benefits or lack of benefits to the
18 individual being released, the licensee and to the
19 public.

20 I'd like to open this up to members on
21 the phone. Do I have any comments from members on
22 the phone?

23 OPERATOR: And once again, for comments
24 over the phone, please press star 1.

25 (No audible response.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 OPERATOR: Ma'am, currently I'm showing
2 no comments.

3 DR. HOWE: Okay. Comments from the room?

4 MR. CRANE: Yes, please. This is Peter
5 Crane, NRC retiree. When this came up in the previous
6 meeting, my thought was we don't really need a
7 requirement. But the more I think about it, the more
8 I realize that given the fact that we're dealing not
9 with the -- necessarily with the upper three
10 quarters of licensees, but we also have to take into
11 account the bottom quartile, I think it probably does
12 make sense to put in some kind of time requirement.

13 I'm not sure exactly what it should be,
14 to make clear that this discussion has to begin early
15 in the process. There could be a provision -- I mean
16 you don't want to interfere with scheduling.

17 Let's say you've got somebody who has
18 flown in from a foreign country for treatment at
19 Sloan-Kettering. You don't want to make them wait
20 around for two weeks because you've got a rigid two
21 week requirement for starting the process of
22 discussion.

23 On the other hand, you don't want a
24 situation in which the last thing the person hears on
25 their way out the door, after taking the radioiodine,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is oh, and by the way, you know, you've got to stay
2 away from -- send your kids away or where do you live
3 in and so on.

4 There ought to be -- under the NRC's
5 current rules, there ought to be a serious case-by-
6 case evaluation. That doesn't happen in a lot of
7 places. We know it doesn't happen. It doesn't
8 happen in a lot of agreement states. The Agreement
9 States may not even know that this requirement exists.
10 So I think it is probably a good thing to insert a
11 specific requirement, and make it waivable for good
12 cause with an explanation.

13 Why did you omit the, you know, one week
14 notice? Because you know, the patient had to leave
15 town and didn't have time to wait.

16 DR. HOWE: Thank you, Peter. Do I have
17 any comments from the phone?

18 OPERATOR: No ma'am. I'm showing still
19 no questions or comments.

20 DR. HOWE: How many people do I have on
21 the phone at this time?

22 OPERATOR: You currently have 47.

23 DR. HOWE: And no one has a comment?

24 OPERATOR: I can go ahead and remind them
25 once again. For questions or comments, please press

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 star 1. We do have Mack. One moment. Mack, your
2 line is open.

3 MACK: Yeah. I don't -- I honestly don't
4 think this is something that should be codified in
5 regulations. I mean the NRC's responsibility is to
6 ensure that the licensee meets the requirements of
7 35.75, and the licensee has the responsibility to
8 meet that.

9 Licensees will learn very quickly if they
10 don't give patients adequate time to make the
11 appropriate arrangements, they're going to find out
12 very soon that that creates some real problems, either
13 for themselves or for the patients that they serve.
14 And you know, so if they don't give them adequate
15 time they have to delay treatment. The patient is
16 inconvenienced in some ways and then that's going to
17 be problematic for both.

18 If the licensee doesn't follow through
19 with the requirements, then they're in violation of
20 the regulations and they should be taken to task for
21 that. I do think though that it makes very much
22 sense to put that type of information in guidance,
23 you know, in the licensee guidance or maybe, you know,
24 as information notices sent out to licensees or
25 something of that nature, you know. That's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 appropriate.

2 But to codify them into the rules, I don't
3 think so. I think because of you -- okay, if you
4 make it a week and then okay, I missed it by a day.
5 Does that really matter? Well no, not really. So
6 you know, I think it's a little bit too prescriptive.
7 I think it can be handled otherwise.

8 DR. HOWE: Do I have any other comments
9 on the phone?

10 OPERATOR: Yes ma'am, we do. We have
11 one from Brian. Your line is open.

12 BRIAN: Yeah. I think -- I think you
13 guys did a nice job talking about this in the
14 information notice you just released last week.
15 Honestly, the whole idea of the pre-release
16 assessment and the instruction time frame, you know,
17 to be able to make the isolation arrangements. Those
18 are very practical things that are nuts-bolts things,
19 and I think something that most licensees should
20 aspire to.

21 I mean I think we'd all agree to that,
22 that we should do that. I don't know that it rises
23 to the level of rulemaking as the best solution
24 though. I think I'm with my colleague on that one,
25 you know. Rulemaking can be very prescriptive and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it could tie, it could tie the licensees and the
2 providers into some corners where we don't really
3 want to be, in terms of being able to provide some
4 just in time therapies that we expect will be on an
5 outpatient basis, for example for hyperthyroidism,
6 for certain types of patients who are symptomatic,
7 you know.

8 We may not have be able to have that time
9 flexibility to evaluate them a week or two weeks out,
10 even however much we may want to. They could be
11 referrals. I mean there's just a complex issue and
12 a lot of moving parts. So I think guidance, but I
13 think something that Mr. Crane said really kind of
14 hit home for us, and that's, you know, with
15 variability of practice among licensees, you know,
16 the top 50 percent or 70 percent of licensees that
17 are on top of their game and maybe there are some
18 licensees that aren't doing everything the way that
19 some of us would conceive or understand that it should
20 be done with this stuff.

21 I think that ultimately is probably more
22 of an inspection and education issue between the NRC
23 and the Agreement States, for are people really doing
24 these things that we're committed to be doing and are
25 we doing them adequately and, you know, just for some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the stories, you know, that we hear about patients
2 not getting information, not understanding what's
3 supposed to happen, you know, questions whether maybe
4 some enhanced attention from inspection may not be
5 warranted, more so than an overhaul in the
6 regulations, the fundamental regulations surrounding
7 this issue.

8 DR. HOWE: Thank you, Brian. Do I have
9 any other comments from the phone?

10 OPERATOR: No ma'am. I'm showing
11 nothing further.

12 DR. HOWE: Brian, let me ask you a
13 question. Last time we had public comments, we had
14 a very good description of the period of time that
15 passes between diagnosis of I-131 cancer therapy --
16 cancer patients and the length of time that goes to
17 surgery and then finally decision on I-131 and finally
18 giving the I-131. How about the hyperthyroid
19 patients? What kind of time frame are we looking at?

20 BRIAN: I don't have good averages either
21 at fingerprints, at my fingertips on that, but I do
22 know that we at our center do get patients referred
23 in from outside sources, and they show up fairly
24 quickly. You know, we treat patients that are not -
25 - did not originate within our system, and that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 part of the issue.

2 One thing we struggle with when it comes
3 to looking at advance education, advance evaluation
4 or screening questionnaires and things is
5 logistically how do we make that happen for these
6 patients without really unduly delaying treatment,
7 right? So it's a struggle area, but I don't have
8 good solid data for you on that.

9 DR. HOWE: So Brian, do you already know
10 when the hyperthyroid patient comes to you that they
11 have been diagnosed as hyperthyroid, or do you make
12 that diagnosis at your facility, and then do the
13 treatment?

14 BRIAN: They come to us with a diagnosis.
15 I mean some are internal that we know, right, and
16 some are referrals from outside. They've already
17 been diagnosed outside and referred for treatment.

18 DR. HOWE: Okay, and those referrals, do
19 you then treat them like within a day or two, or does
20 it take time to set it up?

21 BRIAN: I think they're put on the
22 schedule fairly quickly, but I don't have the hard
23 data on that.

24 DR. HOWE: Okay. Thank you, Brian.
25 That gives us a good perspective. Do I have any more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comments on the phone?

2 OPERATOR: No ma'am. I'm showing no
3 further comments.

4 DR. HOWE: Do we have any comments in the
5 room?

6 (No audible response.)

7 DR. HOWE: Having no comments in the
8 room, then I think we will take a prolonged break
9 until 3:30? 3:30, and that will be Question F.
10 While we're taking a break, I'll once again put up
11 the information for where you can submit your comments
12 to the NRC. Let me point out that we're having two
13 public meetings. This one and the one we had in
14 April 25th.

15 But you need to submit your comments to
16 be considered by NRC to the NRC outside of this public
17 meeting. So they need to be submitted either
18 electronically, and I've got the website address
19 there for regs.gov, or they need to be mailed in to
20 Cindy Bladey at the NRC and I've got the address
21 there. So we'll take a break now until 3:30. Thank
22 you.

23 (Whereupon, the above-entitled matter
24 went off the record at 3:12 p.m. and resumed at 3:30
25 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MR. GLADNEY: Okay. So we will now start
2 back with Question F, and I will turn it over to
3 Donna-Beth.

4 DR. HOWE: Okay. On Question F, this is
5 another question that we got from our Phase 1 of this
6 particular effort on patient release, where we asked
7 people, patients and physicians etcetera who were
8 there, their thoughts in the different areas. And
9 this question is should the NRC explicitly include
10 the time frame for providing instructions in the
11 regulations?

12 For example, the instructions should be
13 given prior to the procedure. If so, explain why and
14 provide a recommended time period for the
15 instructions to be provided. If not, explain why the
16 requirement is not needed. In either case, describe
17 the resulting health and safety benefits or lack of
18 benefits to individuals being released, the licensee
19 and to the public.

20 What we heard from the public on Phase 1
21 was that some people were not receiving instructions
22 until they were getting ready to go out the door, and
23 they needed -- they needed more time and the
24 instructions may not have fit them at that point.

25 So this is slightly different from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Question E. Question E was a discussion on
2 isolation, and this is should there be a specific
3 time frame for providing instructions in the
4 regulations.

5 Let me open this up to the telephone
6 lines. Do I have any comments from the phone line?

7 OPERATOR: And once again for comments,
8 please press star 1. One moment.

9 Ma'am, I'm showing no comments over the
10 phone at this time.

11 DR. HOWE: Okay. I'll open it up for the
12 room.

13 MR. CRANE: Peter Crane, NRC retiree.
14 Here again, during the last session a month ago, I
15 thought probably not necessary, but on further
16 thought for the same reasons articulated earlier, I
17 think it's probably a good idea. But I would couple
18 it with my notion that there should be some
19 standardized guidance developed.

20 It could be terribly basic. It could be
21 a sheet of bullet points that's saying, you know, we
22 are -- you know, we are recommending you for
23 radioiodine treatment. It doesn't even have to be
24 the nuclear medicine doctor. It could be the
25 endocrinologist, it could be the surgeon. At any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rate, it could be -- realizing that we only have
2 control over licensees.

3 But if there were a page or two pages of
4 basic instructions that patients could be given, that
5 could be summoned up on the website and saying, you
6 know, as you make your plans, you're going to want to
7 think about this. This is background for your
8 conversations with the nuclear medicine doctors. We
9 want you to be prepared for that, here it goes.

10 I think that could only be helpful and,
11 y know, it shouldn't be a matter where people are
12 looking to jump down the throats of licensees with
13 violations of no serious safety import. It's just a
14 matter of trying to make sure that good practice is
15 followed across the board and not just by the top
16 performers.

17 DR. HOWE: And Peter, can you provide a
18 recommended time? That could also be a performance-
19 based time. In other words, it could be at a certain
20 point in the process, in addition to it being say
21 specific weeks, days or months.

22 MR. CRANE: I would have to think about
23 how that's done. I think there ought to be some --
24 I think there needs to be some degree of flexibility,
25 so that the doctor can say this person has absorbed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 all the shocking news he or she can for one day.
2 We'll follow up, you know. You recently -- then a
3 week later comes a letter saying you recently had an
4 appointment at which it was mentioned that you may be
5 a candidate for I-131 treatment.

6 In that case, there are certain
7 precautions that will have to be followed, and you
8 should be aware of them so that you can make some
9 advanced planning. That sort of thing.

10 DR. HOWE: Yeah. That's got more of
11 Question E. F is instructions for release.

12 MR. CRANE: Oh right, right, right.
13 That's true. Oh.

14 DR. HOWE: The concept that you're not
15 given a packet as you're going out the door. You get
16 the instructions at that point.

17 MR. CRANE: Right, right.

18 DR. HOWE: I think it's what the public
19 was trying to tell us a year ago.

20 MR. CRANE: Yes, and I think it's
21 important to remember that the instructions aren't
22 just for 500 millirems. They're for 100 millirems.
23 You're supposed to give written instructions any time
24 any member of the public may get 100 millirems, which
25 is probably not that hard a threshold to cross.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. HOWE: Okay.

2 MR. CRANE: Short answer yeah, it
3 probably is a good idea. I'd like to see what your
4 -- I just wasn't aware that the best practices thing
5 had gone out. I'd like to consult that before
6 committing myself, but maybe in the written comments
7 I can do that.

8 DR. HOWE: Okay. I think we published
9 an information notice within the last week, so you
10 can look on our website.

11 MR. CRANE: Will do, thank you.

12 DR. HOWE: Do I have any comments from
13 the phone lines?

14 OPERATOR: Yes ma'am, we do. Brian, your
15 line is open.

16 DR. HOWE: Are you on mute Brian?

17 BRIAN: Sorry, I was. It's been a long
18 afternoon. So I think we could all agree that, you
19 know, these conversations need to happen before we
20 administer the dose. I think if you're looking for
21 performance-based that at the very bare minimum this
22 should happen, is that patient should be able to talk
23 to somebody and review whatever those written
24 instructions are before you dose them.

25 That's kind of the minimum. Ideally, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 do that before they show up at treatment for all the
2 reasons that we talked about under the last question,
3 right.

4 But once again, you know, practicality of
5 implementation, I think that needs to happen at the
6 licensee level, maybe either during licensing or
7 during performance-based review on inspection, or
8 something that we demonstrate that we are -- we are
9 giving adequate instruction lead time to at least
10 most patients.

11 I think it will be licensee-specific in
12 terms of the actual logistics for how patients show
13 up, you know, in what advance notice and all these
14 other issues that will have to be worked out. But I
15 mean I certainly don't think any of us in the industry
16 feel it's appropriate to just say oh, by the way
17 here's your instructions after you dose somebody,
18 right?

19 I think we can all agree that that patient
20 should know what they're getting, what the ins and
21 outs are, you know, what the very basic ALARA
22 instructions are, you know, and all these other things
23 before that, far before that as reasonable and
24 practical.

25 DR. HOWE: Thank you, Brian. Do I have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 any other comments?

2 OPERATOR: No ma'am. I'm showing
3 nothing further.

4 DR. HOWE: No more comments from the
5 phone line? How many do we have on the phone now?

6 OPERATOR: You have 39.

7 DR. HOWE: Okay. Our next session is at
8 four o'clock, and that was when we were going to open
9 things for comments on all questions, in case somebody
10 wanted to talk about something that they either
11 weren't tuned into or didn't have a chance to express
12 themselves on.

13 Maybe we'll start that session now, and
14 see if we -- and then if we can make it to four
15 o'clock and we still have no more comments, then we're
16 going to close the meeting early. So for those of
17 you on the line, do you have any comments you would
18 like to provide on any of our six questions?

19 OPERATOR: And once again, for questions
20 or comments, please press star 1. The first person
21 we have is Mack. Your line is open.

22 MACK: Well thank you. This is actually
23 a response follow-up on Question F. I just couldn't
24 get in in time. But I kind of mirror what Brian
25 said, that my initial response to this is similar to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the one, to the previous one is well, this is kind of
2 a licensee beware. If you don't do this right, there
3 are going to be repercussions.

4 But as I think about it a little bit more,
5 it may make sense to require that the instructions be
6 provided prior to administration, because that way if
7 the patient looks at those and says well, wait a
8 minute, I can't have my child stay with a family
9 member for a couple of days or whatever, then they
10 have an opportunity to say well maybe we need to delay
11 treatment a day or two for me to make those
12 arrangements, etcetera.

13 So as I think about that more, that's
14 probably not necessarily a bad thing. To just say
15 it has to be provided prior to administration, and as
16 Brian mentioned, the sooner, the longer time frame
17 the better.

18 It dovetails a little bit into a comment
19 I made earlier about requiring the patient to -- some
20 kind of documentation, a signature that they've
21 received the instructions, agreed to follow them,
22 etcetera. If that were to be implemented, then they
23 have to be provided those things and they have to
24 agree to do that.

25 You don't want a situation where you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 administer the dose and then given that to sign and
2 they go oh wait a minute, I can't do this. Then they
3 can't sign it. Now you've got a kind of conundrum
4 that you've got to deal with. So as I look at it,
5 perhaps either -- I don't think it needs to be in the
6 regulations.

7 It could be the in the licensing aspect
8 of the process, in that something that the NRC asks
9 in the licensing portion, to say describe to us when
10 you're going to provide these and that kind of thing.
11 It can be handled that way too and make it a license
12 condition, or tied to their license application.

13 DR. HOWE: Thank you, Mack. Do I have
14 any other comments on the phone line?

15 OPERATOR: Yes ma'am. The next -- yes
16 ma'am. The next person is Ralph. Your line is open.

17 RALPH: Yes. I have a statement to make
18 and then I -- but I have two preliminary questions if
19 I may ask.

20 DR. HOWE: Yes, please.

21 RALPH: My understanding is that the
22 comment deadline has been extended. Is that correct?

23 DR. HOWE: That is correct. The comment
24 deadline has been extended 15 days to June 27th.

25 RALPH: All right, thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. HOWE: And that should have been
2 published in the Federal Register notice this week.

3 RALPH: Okay. I have not seen anything
4 yet. Is that the information notice that you were
5 referring to a little earlier?

6 DR. HOWE: No. Those are two separate
7 documents. One document is a Federal Register notice
8 that extends the public comment period to June 27th.
9 The other is an information notice that we just got
10 signed out either at the end of last week or at the
11 beginning of this week. So that's hot off the press.
12 You should be able to find it soon in ADAMS and on
13 our medical tool kit. We'll make sure that we put
14 it in the tool kit.

15 RALPH: Okay. Those of us that
16 subscribe to the listserv, would it be sent out via
17 that route, or is that a future intent or not at all?

18 DR. HOWE: No. We can send -- we can
19 send both of them out on the medical listserv.

20 RALPH: Thank you. I do have a
21 statement sort of to address all the questions in a
22 general context if I may. I'm medical physicist and
23 radiation safety officer. I'm also the chair of the
24 American College of Radiology Commission on Medical
25 Physics and the Government Relations Committee. I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 speaking on behalf of the American College of
2 Radiology.

3 The American College represents
4 approximately 36,000 radiologists with med
5 physicians, rad ops, and medical physicists. We want
6 to thank the NRC for the opportunity to provide
7 feedback on this information gathering activity, and
8 that we will be submitting formal written comments in
9 response to the NRC's request to address the
10 individual questions that have been addressed today
11 in much more detail.

12 The NRC's existing requirements in 10
13 C.F.R. 35.75 currently adequately protect public
14 health and safety, and are aligned with the core
15 principles of a risk-based dose criteria for patient
16 release. There is currently no scientific basis to
17 reopen 10 C.F.R. 35.75 or to support the activity
18 limits of the past.

19 As has been pointed out earlier, unneeded
20 hospitalization of patients introduces additional
21 concerns, such as significantly increased costs,
22 patient anxiety and subjecting them unnecessarily to
23 added non-radiation risks such as hospital-borne
24 infection, without adding a quantitative safety
25 benefit to counterbalance those concerns.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Therefore, we strongly opposed reverting
2 to the prior activity-based release limits. ACR
3 supports understandable and helpful release
4 instructions. This is a practice of medicine issue.
5 It is most appropriately handled within the medical
6 community through educational offerings, practice
7 parameters and procedure guidelines.

8 The current regulations is not
9 unambiguous in its limit. The five millisievert per
10 release is only realistic and practical considering
11 the current practice of health care. This limit
12 applies to all radiopharmaceutical administrations,
13 both diagnostic and therapeutic. So setting an
14 annual limit where multiple providers are involved
15 only creates a significant resource burden, where no
16 health or safety issues have been demonstrated.

17 The ACR and other professional
18 associations freely provide resources already that
19 can be assessed by patients directly or by their
20 providers, and can be really to via electronic health
21 records and patient portals. In our case the
22 American College of Radiology and the Radiological
23 Society of North America offers videos and content on
24 the website radiologyinfo.org.

25 If the NRC's intention is to develop or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 promote educational content for patients, we
2 recommend the agency instead refer to freely
3 accessible resources. Again, the ACR does not
4 support a rulemaking to change 10 C.F.R. 35.75 for
5 patient release. We look forward to submitting
6 written comments, and thank you for the opportunity
7 to make these verbal comments.

8 DR. HOWE: Thank you, Ralph. Do I have
9 any other commenters on the phone?

10 OPERATOR: Yes ma'am, we do. Next person
11 is Michelle. Your line is open.

12 MICHELLE: Hi. I just wanted to make a
13 comment, because I know as far as the patient release
14 instructions and everything, actually in NUREG the
15 guidance is if they receive, you know, anything above
16 seven millicuries you should give them some type of
17 instruction.

18 I would say that I find it hard to believe
19 that I've actually witnessed where people do not
20 inform the patient of, you know, release instructions
21 before they come in, because to me there's a cost to
22 the hospital because I've actually seen patients who
23 said well, I can't do that and they'll leave and then
24 the hospital's stuck with, you know, paying for the
25 I-131 dose that the patient couldn't take.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So I think that just more guidance and
2 things like that would be better because I still like
3 when it's in regulations. When people are inspected,
4 they don't necessarily look like help from the
5 licensee would sort of make it better. They just
6 look at, you know, look at just a written driven or
7 document-driven type of thing. I think guidance and
8 using best practices could help address all of that.

9 DR. HOWE: Thank you. Do I have any
10 other comments on the phone?

11 OPERATOR: At this time, but as a
12 reminder to make comments over the phone, please press
13 star 1.

14 And ma'am I'm showing nothing further at
15 this time.

16 DR. HOWE: Okay. I'd like to open it up
17 to all of our questions. So if anybody's got a
18 question, not a question but a comment on any of the
19 six questions, or a general comment as Ralph made for
20 our current effort, then I'd like to open it up now
21 for final comments. Do I have any comments in the
22 room?

23 MR. CRANE: Yes please. This is again
24 Peter Crane, NRC retiree. I'd like to respond for a
25 moment to Ralph of the American College of Radiology.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I understand his position. He raises a point which
2 hasn't been addressed, because it wasn't really an
3 issue back when this was originally discussed, which
4 is hospital-borne infections as a downside of
5 hospitalization.

6 I just want to acknowledge that that is
7 an issue worth taking into account, though it cuts
8 against my position. With respect to the anxiety of
9 hospitalization, that may be true for some and having
10 gone through five inpatient treatments myself, I know
11 that it's not a treat.

12 On the other hand if you knew as I know
13 from many, many examples, the kind of anxiety that
14 parents especially feel about the potential risks
15 they are inflicting on their children, on their loved
16 ones, that's very real too. That needs to be factored
17 in. Anxiety doesn't cut only a single way.

18 I would like to read something from,
19 let's see, excuse me. The Centers for Disease
20 Control, sorry. It's right here. Here we are.
21 Centers for Disease Control, Radiation and Pregnancy,
22 a Fact Sheet for Clinicians. For those who want to
23 find this, I'll give you the website. It's
24 [https://emergency.cdc.gov/radiation/prenatalphysici
25 an.asp.](https://emergency.cdc.gov/radiation/prenatalphysician.asp)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It says "The human embryo and fetus are
2 particularly sensitive to ionizing radiation, and the
3 health consequences of exposure could be severe, even
4 at radiation doses too low to immediately affect the
5 mother.

6 Such consequences can include growth
7 retardation, malformations, impaired brain function
8 and cancer. If a pregnant woman ingests or inhales
9 a radioactive substance that subsequently is absorbed
10 in her bloodstream, the radioactive substance may
11 pass through the placenta to the fetus.

12 "The few substances needed for fetal
13 growth and development such as iodine can concentrate
14 more in the fetus than in corresponding maternal
15 tissue. For substances that can localize in specific
16 organs and tissues in the fetus such as Iodine-131 or
17 Iodine-123 in the thyroid, consideration of the dose
18 to specific fetal organs may be prudent.

19 "Once the fetal radiation dose is
20 estimated, the potential health effects can be
21 assessed. The possible effects associated with
22 prenatal radiation exposure include immediate effect
23 such as fetal death or malformations, or increased
24 risk for cancer later in life."

25 I think to go back to where Ronald Reagan

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was 30 years ago, that that needs to be a central
2 concern. The very young, the unborn and their
3 health, and we do know that MCRP 155, which represents
4 basically state of the art thinking on the part of
5 radiation professionals, does believe that the proper
6 standard for children and family members is not 500
7 millirems, it's 100 millirems, and I think that the
8 NRC's effort to move things in the direction of
9 greater protection of the public and especially of
10 children is very sound, and you know, we talk about
11 the necessity of giving timely instruction.

12 You don't know and maybe you don't --
13 can't imagine that there are facilities out there
14 that are operated by folks who send people out the
15 door with no instructions at all. I go to meetings,
16 conference and somebody says yeah, you know. So and
17 so in my group, she got 145 millicuries, got sent
18 home to her two year old and there were no
19 instructions at all.

20 So you know, again, we come back to the
21 point it's not the top performers we're worried about.
22 It's the bottom quartile and what we can do to bring
23 them up to speed I think is all to the good, and I
24 commend the NRC for its efforts.

25 DR. HOWE: Thank you, Peter. Do I have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 any more comments on the phone?

2 OPERATOR: And once again for comments
3 or questions, please press star 1. One moment.
4 Ma'am, the first question comes from Deidre. Your
5 line is open.

6 DR. HOWE: Can you repeat the first name
7 again?

8 DEIDRE: Hi. My name is Deidre.

9 DR. HOWE: Okay, thank you Deidre.

10 DEIDRE: And I'm a certified health
11 physicist and my profession is to ensure radiation
12 safety for our staff, our patients and the public. I
13 want to make sure that the NRC in any rulemaking keeps
14 in mind that the benefits and the risks need to be
15 weighed for all of the factors that affect these
16 treatments.

17 The way that we do things in my
18 institution is we work with each patient to ensure
19 that they have a plan to keep the doses to their
20 family members below regulatory limits and as low as
21 reasonably achievable. For some patients, that means
22 we keep them inpatient. For others, we can release
23 them.

24 We need to have some flexibility that
25 comes from having a radiation dose-based release

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 criteria and reasonable requirements, so that we can
2 work with each patient. One concern I have with
3 setting a time frame ahead of administration is that
4 that won't work for every patient. That works for
5 our cancer patients; that does not work very well for
6 some of our hyperthyroid patients who come in and
7 have a scan, and then we discuss the plan and we treat
8 them the same day if that works for their families.

9 So good guidance needs to be put out
10 there, but we also need to have some flexibility to
11 do what's right for the patient, balancing all of the
12 risks.

13 DR. HOWE: Thank you, Deidre. So you're
14 saying that you do have some patients that you give
15 -- that get the diagnostic procedure. So they're
16 diagnosed with hyperthyroidism and treated on the
17 same day?

18 DEIDRE: Yes, we do.

19 DR. HOWE: That's interesting to know.
20 Thank you, Deidre. Do I have any other comments, on
21 any other questions?

22 OPERATOR: Yes ma'am. The next question
23 is from Mack. Your line is open.

24 MACK: Thank you. A couple of comments.
25 One is increasing the regulatory requirements does

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not necessarily assure compliance by bad actors.
2 It's been said and certainly I'm part of a major
3 medical center and a lot of the other commenters are
4 from major medical centers and yeah, we definitely,
5 you know, we expend a lot more effort to try to make
6 sure that we're doing things using best practices,
7 etcetera, etcetera.

8 So and but as I said, it doesn't
9 necessarily -- making more requirements for everybody
10 doesn't ensure the bad, the people that don't do
11 things correctly are going to get any better at it.
12 What ensures that falls under the enforcement side of
13 the NRC's charge, and that is, you know, when you see
14 problems with bad actors, then you take actions to
15 make them good actors.

16 So that's one thing I think needs to be
17 kind of thought about when implementing, you know,
18 more and more requirements, more regulations, what's
19 the net benefit going to be. The second thing that,
20 you know, I think kind of bears to be thought about,
21 and it does go back to this whole idea of ALARA and,
22 you know, where does ALARA -- where does
23 reasonableness come into play with respect to risk
24 and radiation risk?

25 Regardless of, you know, the MCRP, ICRP,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they both acknowledge that the LNT is not a proven
2 phenomenon, it's not a proven hypothesis. But at the
3 same time, they say well, to be conservative, we'll
4 assume that there's some validity to LNT, and so
5 that's what we're going to follow.

6 So again, it still goes back to
7 reasonableness, and I like to make analogies
8 especially when, you know, sometimes when I'm
9 teaching this type of information to physicians and
10 people of that type.

11 One comparison I would make would be the
12 speed limits. I think we can all agree that if we
13 nationally reduce the speed limit for any highway to
14 35 miles an hour, there would be fewer deaths from
15 traffic accidents. I mean no question about it.

16 But is it reasonable to do this? Well,
17 I think common sense and the general public says no,
18 that is not reasonable. I've got some place I've got
19 to be, so that's not the case. So I think we have
20 to think about -- again, it goes back to my comments
21 and some other comments about using science and
22 establishing reasonable regulations and requirements
23 based on science and not on emotion or, you know,
24 this uber -- I mean to be uber-conservative, you
25 know.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think the example of, you know, people
2 that live in high elevations. Colorado, they get
3 higher radiation doses than the average, but there is
4 no documented evidence that I'm aware of that there
5 are higher instances of cancers and that type of
6 thing.

7 So we're talking about radiation doses in
8 that realm. So I think we have to insert that --
9 this idea of what's reasonable has to be factored
10 into the whole idea. So off my soap box now. Thank
11 you very much.

12 DR. HOWE: Thank you. Do I have any
13 other comments?

14 OPERATOR: The next comment or question
15 comes from Brian. Your line is open.

16 BRIAN: Just a comment, you know. Mr.
17 Crane did a wonderful reading from the CDC radiation
18 risk general statement for pregnancy. But you know,
19 this goes back to dose, dose, dose. What is the
20 dose, what is the risk? And so yes, we have patients
21 that are pregnant and get radiological procedures.
22 It happens, okay.

23 We understand fetal radiation risk at a
24 certain level. We know when we recommend certain
25 aspects, certain procedure avoidances and things like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that. That's well-documented. There's great
2 guidance from ACR and all this other stuff. But it
3 goes back to the doses we're talking about from your
4 general public, you know, when couples give adequate
5 instructions, the dose levels that they're likely to
6 get even at the boundary conditions that we're
7 calculating for are down in the statistical noise,
8 okay.

9 This is not in my personal opinion a
10 public health crisis or for little children and
11 pregnant women who happen to be sitting on the bus
12 next to a released patient. The data and the science
13 is just not there to support that statement. I mean
14 it's just not there.

15 So but you know, also speaking to another
16 related point is the concern, the fear that some
17 patients have, you know, because of the requirements
18 that may be put on them because of the way that
19 radiation risks are communicated and how things are
20 explained and sort of the information/misinformation
21 and presentation out there, you know.

22 We need to be careful that yes, patients
23 have good release instructions and they know to be
24 respectful and to minimize doses. But at the same
25 time, our charge as professionals is to not induce

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 phobia, to terrorize these patients, right? Who's
2 going to be hurt, okay?

3 Well, you follow the instructions, the
4 bottom line is realistically nobody's going to be
5 hurt, right? You're not going to hurt your child,
6 right? As long as you're not kissing them and some
7 of these big red flag things that you worry about.

8 But these are relatively simple
9 instructions. This can be done safely. This has
10 been done safely under the current regime for over 20
11 years. I'm not aware of any documented studies or
12 cases that show any actual harm from current
13 practices, you know. As long as the practices are
14 followed, right, and that goes back to our discussion
15 earlier of bad actors or people that aren't doing
16 what they should, which is a separate issue to a
17 certain extent.

18 But it does come back to dose. It does
19 come back to risk assessment, and we do need to be
20 very careful in effectively communicating realistic
21 radiation risks and precautions, okay, and making
22 sure that people are well-educated.

23 DR. HOWE: Thank you. Do I have any
24 other comments?

25 OPERATOR: There are no further comments

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at this time.

2 DR. HOWE: Do I have any comments from
3 the room?

4 MR. CRANE: Yes please. This is Peter
5 Crane again. A number of comments about what was
6 just said. We do have -- well, back up. 2001, the
7 state of Illinois wrote to the NRC and said we see
8 your statements about your confidence that nothing
9 bad is happening, that everything is working fine
10 under this rule.

11 We don't know what your basis is, because
12 we're here on the ground dealing with the licensees,
13 and we see bad things happening, and the results of
14 that was that the then-chairman, Dick Meserve, wanted
15 to get a rule through the Commission that would have
16 required notification of the NRC when the 500 millirem
17 limit was exceeded by a factor of ten and he could
18 not get approval.

19 I don't think that it is phobia to give
20 people fair warning. The alternative is to give them
21 a sense of false confidence if they don't get
22 instructions at all, which happens. Do you think
23 that everybody out there is told don't kiss your
24 child? It's not the case.

25 There's this hospital in Seattle where I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 live, and I happened to be there for one department
2 and Nuclear Medicine was across the hall. I went
3 there and I said do you give inpatient or outpatient?
4 He said we've given one inpatient treatment in the
5 ten years I've been here. How much do you give on
6 an outpatient basis? Up to 250 millicuries. Do you
7 ever send patients to hotel? Sure. Some of our
8 patients come from Alaska. They've got no choice.
9 They can't go home.

10 I said you know that the NRC strongly
11 discourages that? Yes, that was taken into account.
12 Do you know that the state of Washington discourages
13 that? Yes, that was taken into account. These
14 things are happening, and my concern and you know, I
15 wouldn't dismiss this as emotional. I think this is
16 strictly rational.

17 My concern is that my experience of hotel
18 workers in this country is that they are predominantly
19 female, they are predominantly of child bearing age,
20 and for all we know they are pregnant or nursing.
21 They could be, and you know if, as Dr. Zanzonico was
22 saying, we've got saliva and urine being in a place
23 where the Iodine-131 is being excreted, I would be
24 very, very troubled at the idea that a pregnant or
25 nursing housekeeper is cleaning up after a patient,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because not all patients are equally tidy, you know.

2 If you flush twice, there is data
3 actually that says that men leave 75 times as much
4 urine around the toilet as radioactivity, around the
5 toilet as women do. I think that's a serious concern.
6 If you've got a place like Sloan-Kettering, Sloan-
7 Kettering treats more Iodine-131 patients than any
8 other facility in the world. They get patients from
9 all over. They should. They're a great facility.

10 But they sent patients to hotels with 200
11 millicuries of Iodine in them, and presumably most
12 people are going to the same eight hotels listed on
13 the website as giving preferential rates to Sloan-
14 Kettering patients. So you could have a hotel worker
15 cleaning multiple contaminated rooms in a year. I
16 just -- and the dose is going to be cumulative, and
17 I just don't think that that is acceptable.

18 And it was Jim Lehman of the NRC staff
19 who made the point, as I may have mentioned earlier,
20 that the realization that patients were going to
21 hotels was undercutting the rationale of long ago
22 that exposure to a radioactive patient would be a
23 once in a year or once in a lifetime event. I wonder
24 if there's anybody out there commenting, who thinks
25 that it is acceptable to send radioactive patients to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hotels and if so, why?

2 DR. HOWE: Thank you, Peter. Do I have
3 any comments from the phone line?

4 OPERATOR: Yes. We have a comment from
5 Deidre. Your line is open.

6 DEIDRE: Hi. I would like to address a
7 couple of the things that have been brought up. The
8 first is about patients being released without
9 instructions. If that is happening, that is a
10 regulatory violation. Instructions are required if
11 the dose is likely to exceed 100 millirem, and we
12 give instructions to all of our patients.

13 But if you've got patients who are
14 leaving following therapeutic I-131 without
15 instructions, that's a violation. That doesn't need
16 new rulemaking; it just needs enforcement. The other
17 question is about hotels. I agreed that we should
18 not send our patients to hotels on a regular basis,
19 and I strongly discourage that. In the time I've
20 been working, I've allowed one patient to go to a
21 hotel, and that was because the roads got closed due
22 to a blizzard and the mother was frantic. What are
23 we going to do?

24 So we worked out a plan to minimize the
25 risk to the rest of the staff at the hotel and any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 place else that this young woman was going to go. I
2 think we need to make sure that we are enforcing the
3 regulations that we have now, and that we use science
4 to determine what is the risk and what is the benefit
5 from any additional regulations that are put into
6 place.

7 Yes, there may be some small risk
8 associated with the radiation dose from one of these
9 patients. But what is the benefit from not having
10 that person hospitalized? What is the benefit to
11 society? So please use the science and reason in any
12 further rulemaking in this area. Thank you.

13 DR. HOWE: Thank you. Do I have any more
14 comments?

15 OPERATOR: There are no further comments
16 by phone at this time.

17 DR. HOWE: Okay. I think at this time
18 I'll turn the program back over to our facilitator.

19 MR. GLADNEY: Thank you, Donna. Before
20 Donna-Beth gives her final remarks and adjourns the
21 meeting, I want to remind everyone that please don't
22 forget to fill out your meeting feedback forms. Your
23 input helps us to improve future meetings, and as we
24 say at the beginning of this year, you can contact
25 Donna-Beth Howe at (301) 415-7848, and she can get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you a copy of the feedback form.

2 Or you can go to the NRC web page, website
3 at <https://www.nrc.gov> and type NRC Form 659 in the
4 search window on the upper right-hand side of the
5 page. The form folds into its own postage page mailer
6 for your convenience. Now Donna-Beth will adjourn
7 the meeting.

8 DR. HOWE: Thank you, Lee. I'd like to
9 point out that if you have any technical questions
10 about the Federal Register notice you can contact me
11 at my email address which is my name, D-O-N-N-A hyphen
12 B-E-T-H period H-O-W-E at nrc.gov, or you can call me
13 at (301) 415-7848. The preferred method of reaching
14 me is my email address.

15 And we do need your public comments to be
16 submitted to the NRC formally. To do that, you can
17 go electronically up to www.regulations.gov, search
18 for and you'll have to input the docket ID NRC-2017-
19 0094. So that's the NRC 2017-0094, and you'll have
20 -- you'll be able to type your comments in at that
21 point and they will come to us automatically.

22 If you don't feel comfortable sending
23 your comments in electronically or you have
24 difficulties with it, then you can mail your comments
25 to Cindy Bladey, Office of Administration, Mail Stop

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 2 White Flynn North 8. So that's TWFN-8-D36, U.S.
2 Nuclear Regulatory Commission, Washington, D.C.
3 20555-0001.

4 This information is also included in the
5 Federal Register notice, and is available there.
6 That concludes our presentation for today. I'd like
7 to thank everybody that joined us on the line and in
8 the room for participating and giving us valuable
9 feedback. Thank you very much and have a good idea.
10 Good-bye.

11 (Whereupon, the above-entitled matter
12 went off the record at 4:15 p.m.)

13

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701