

**Official Transcript of Proceedings**  
**NUCLEAR REGULATORY COMMISSION**

Title: Advisory Committee on the Medical  
Uses of Isotopes: Open Session

Docket Number: (n/a)

Location: Rockville, Maryland

Date: Monday, September 11, 2017

Work Order No.: NRC-3233

Pages 1-223

NEAL R. GROSS AND CO., INC.  
Court Reporters and Transcribers  
1323 Rhode Island Avenue, N.W.  
Washington, D.C. 20005  
(202) 234-4433

UNITED STATES OF AMERICA  
NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

+ + + + +

OPEN SESSION

+ + + + +

MONDAY,

SEPTEMBER 11, 2017

+ + + + +

The meeting was convened in room T2-B3 of Two White Flint North, 11545 Rockville Pike, Rockville, Maryland, at 8:35 a.m., Philip Alderson, M.D., ACMUI Chairman, presiding.

MEMBERS PRESENT:

PHILIP O. ALDERSON, M.D., Chairman

PAT B. ZANZONICO, Ph.D, Vice Chairman

VASKEN DILSIZIAN, M.D., Nuclear Cardiologist

RONALD D. ENNIS, M.D., Radiation Oncologist

SUSAN M. LANGHORST, Ph.D., Radiation Safety Officer

DARLENE METTER, M.D., Diagnostic Radiologist

MICHAEL D. O'HARA, Ph.D., FDA Representative

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

CHRISTOPHER J. PALESTRO, M.D., Nuclear Medicine  
Physician

JOHN H. SUH, M.D., Radiation Oncologist

LAURA M. WEIL, Patients' Rights Advocate

NON-VOTING: RICHARD GREEN

NRC STAFF PRESENT:

DANIEL COLLINS, Director, Division of Material  
Safety, State, Tribal and Rulemaking Programs

KEVIN WILLIAMS, Deputy Director, Division of  
Material Safety, State, Tribal and Rulemaking  
Programs

DOUGLAS BOLLOCK, Chief, Medical Safety and  
Events Assessment Branch and ACMUI Designated  
Federal Officer

LISA DIMMICK, Medical Radiation Safety Team  
Leader and ACMUI Alternate Designated Official

SOPHIE HOLIDAY, ACMUI Coordinator and ACMUI  
Alternate Designated Official

MARYANN AYOADE, NMSS/MSTR/MSEB/MRST

JACKIE COOK, R-IV/DNMS/MLIB

SAID DAIBES, Ph.D., NMSS/MSTR/MSEB

ASHLEY FERGUSON, NRO/DCIP/QVIB3

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

LATISCHA HANSON, R-IV/DNMS/MLIB

ESTHER HOUSEMAN, OGC/GCLR/RMR

DONNA-BETH HOWE, Ph.D., NMSS/MSTR/MSEB

KEVIN NULL, R-III/DNMS/MIB

DENNIS O'DOWD, R-III/DNMS/MIB

GRETCHEN RIVERA-CAPELLA, NMSS/MSTR/MSEB

ZAHID SULAIMAN, R-III/DNMS/MIB

KATHERINE TAPP, Ph.D., NMSS/MSTR/MSEB

TORRE TAYLOR, NMSS/MSTR/RPMB

IRENE WU, NMSS/MSTR/SMPB

MEMBERS OF THE PUBLIC PRESENT:

BETTE BLANKENSHIP, American Association of  
Physicists in Medicine

ASHLEY COCKERHAM, SirTex Medical

WANDA COSTELLO, *Unaffiliated*

PETER CRANE, *Unaffiliated*

MICHAEL FULLER, *Unaffiliated*

PAUL GUNTER, Beyond Nuclear

DESIREE KENNEDY, Elekta, Inc.

CAITLIN KUBLER, Society of Nuclear Medicine and  
Molecular Imaging

RICHARD MARTIN, American Association of  
Physicists in Medicine

STEVE MATTMULLER, Kettering Health

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

MICHAEL PETERS, American College of Radiology

JOSEPHINE PICCONE, Ph.D., *Unaffiliated*

CRAIG PIERCY, American Nuclear Society; Bose  
Public Affairs Group

MICHAEL SHEETZ, University of Pittsburgh

ROBERT THOMAS, Elekta, Inc.

CINDY TOMLINSON, American Society for Radiation  
Oncology

\*Present via teleconference

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## TABLE OF CONTENTS

|  | <u>Page</u> |
|--|-------------|
| Opening Remarks  |             |
| Douglas Bollock.....   | 5           |
| Kevin Williams.....  | 10          |
| Old Business   |             |
| Sophie Holiday.....  | 19          |
| Open Forum   |             |
| Philip Alderson.....   | 34          |
| Medical Events Subcommittee Report   |             |
| Ronald Ennis.....  | 40          |
| Medical Event Reporting and its Impacts<br>on Safety Culture Subcommittee Report |             |
| Susan Langhorst.....   | 67          |
| Patient Intervention Subcommittee Report   |             |
| Vasken Dilsizian.....  | 79          |
| Nursing Mother Guidelines Subcommittee Report                                    |             |
| Darlene Metter.....  | 85          |
| Patient Release Project Update   |             |
| Donna-Beth Howe.....   | 164         |
| ACMUI Comments on Patient Release  |             |
| Commission Paper   |             |
| Pat Zanzonico.....   | 179         |
| Adjourn.....   | 218         |

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## P R O C E E D I N G S

8:35 a.m.

MR. BOLLOCK: Good morning, everyone.

As the designated federal officer of this meeting, I am pleased to welcome you to the public meeting of the Advisory Committee on Medical Use of Isotopes.

My name is Doug Bollock. I am the Branch Chief of the Medical Safety Events Assessment Branch and I have been designated as a federal officer for this advisory committee in accordance with 10 CFR Part 7.11.

Present today as the alternate designated federal officers are Lisa Dimmick, our Medical Radiation Safety Team leader, and Sophie Holiday, our ACMUI Coordinator.

This is an announced meeting of the Committee. It's being held in accordance with the rules and regulations of the Federal Advisory Committee Act and the Nuclear Regulatory Commission.

This meeting is being transcribed by the NRC. It may also be transcribed or recorded by others.

The meeting was announced in the July 12, 2017 edition of the Federal Register, Volume 82, Pages 32207 through 32208.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The function of the Committee is to  
2           advise the staff on issues and questions that arise  
3           in the medical use of byproduct material. The  
4           Committee provides counsel to the staff but does not  
5           determine or direct the actual decisions of the staff  
6           or the Commission. The NRC solicits the view of the  
7           Committee and values their opinions.

8           I request that, whenever possible, we try  
9           to reach a consensus on the various issues that we  
10          will discuss today but I also recognize there may be  
11          minority or dissenting opinions. If you have such  
12          opinions, please allow them to be read into the  
13          record.

14          At this point, I'd like to perform a roll  
15          call of the ACMUI members participating today.

16          Dr. Phil Alderson.

17          CHAIRMAN ALDERSON: Here.

18          MR. BOLLOCK: Thank you.

19          Dr. Pat Zanzonico.

20          VICE CHAIRMAN ZANZONICO: Yes.

21          MR. BOLLOCK: Thank you.

22          Dr. Vasken Dilsizian.

23          MEMBER DILSIZIAN: Here.

24          MR. BOLLOCK: Thank you.

25          Dr. Ron Ennis.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 MEMBER ENNIS: Here.

2 MR. BOLLOCK: Thank you.

3 Dr. Sue Langhorst.

4 MEMBER LANGHORST: Here.

5 MR. BOLLOCK: Thank you.

6 Dr. Darlene Metter.

7 MEMBER METTER: Here.

8 MR. BOLLOCK: Thank you.

9 Dr. Michael O'Hara.

10 MEMBER O'HARA: Here.

11 MR. BOLLOCK: Thank you.

12 Dr. Chris Palestro.

13 MEMBER PALESTRO: Here.

14 MR. BOLLOCK: Thank you.

15 Dr. John Suh.

16 MEMBER SUH: Here.

17 MR. BOLLOCK: Thank you.

18 And Ms. Laura Weil.

19 MEMBER WEIL: Here.

20 MR. BOLLOCK: Thank you.

21 I affirm that we do have a quorum of at  
22 least six members. At the table, we also have Mr.  
23 Richard Green. Mr. Richard Green has been selected  
24 as the ACMUI Nuclear Pharmacist.

25 And do we have Mr. Zoubir Ouhib on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 phone? Zoubir, are you able to -- yes,  
2 unfortunately, Mr. Ouhib cannot join us in person due  
3 to the effects of Hurricane Irma. And hopefully he  
4 and his family are safe. Once he's safe, if he has  
5 time, he'll call into the meeting.

6 Both Mr. Ouhib and Mr. Green are pending  
7 security clearance but may participate in the  
8 meeting; however, at this time, they do not have  
9 voting rights.

10 In the audience we also have Mr. Michael  
11 Sheetz. Mr. Sheetz has been selected as the next  
12 ACMUI Radiation Safety Officer and will begin his  
13 term after Dr. Langhorst completes her term later  
14 this month.

15 I would like to add that this meeting is  
16 being webcast, so other individuals may be watching  
17 online. We have a bridge line available and that  
18 phone number is 888-790-6447. The passcode to access  
19 the bridge line is 93045 followed by the pound sign.

20 Individuals who would like to ask a  
21 question or make a comment regarding a specific issue  
22 the committee has discussed should request permission  
23 to be recognized by the ACMUI chairperson, Dr. Philip  
24 Alderson. Dr. Alderson, at his option, may entertain  
25 comments or questions from members of the public who

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 are participating with us today. Comments and  
2 questions are usually addressed by the committee near  
3 the end of the presentation, after the committee has  
4 fully discussed the topic. We ask that one person  
5 speak at a time as this meeting is also closed  
6 captioned.

7 I would like also like to add that  
8 handouts and the agenda for this meeting are available  
9 on the NRC's public website.

10 At this time, I would ask everyone on the  
11 call who is not speaking to place their phones on  
12 mute. If you do not have the capability to mute your  
13 phone, please press \*6 to utilize the conference line  
14 mute and unmute functions.

15 At this point, I would like to turn the  
16 meeting over to Mr. Kevin Williams, Deputy Director  
17 of Division of Material Safety, States, Tribal and  
18 Rulemaking Programs for some opening remarks.

19 MR. WILLIAMS: Good morning. As you see,  
20 I am not Dan Collins. My name is Kevin Williams and  
21 I've been in this position since right after Memorial  
22 Day. Pam Henderson did retire. Those are going to  
23 be tough shoes for me to fill and I welcome the  
24 opportunity to work with you. And Dan will be here;  
25 he just had a previous appointment, but he is coming

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and he will join us later.

2 But I'd like to welcome you to the fall  
3 2017 meeting of the Advisory Committee on the Medical  
4 Use of Isotopes. I look forward to a healthy exchange  
5 of information. I want to thank you for all the hard  
6 work that you do, how you give us information that is  
7 going to make our processes and procedures and the  
8 way we do things better.

9 Before we begin and I get into my formal  
10 comments, I did want to take an opportunity for a  
11 brief moment of silence in recognition of September  
12 11th. So, if we could just kind of pause for probably  
13 about 30 seconds. It seems to get a little bit long  
14 but I do want to take the time to honor those who  
15 have been impacted.

16 (Moment of silence.)

17 MR. WILLIAMS: All right. I'm not very  
18 good at counting, so I apologize if I interrupted  
19 anyone.

20 So there is a couple of things that have  
21 been going on within the Agency itself and let me  
22 give you guys some information about what's going on  
23 with our Commission. Chairman Svinicki has been  
24 extended to another five-year term. Commissioner  
25 Baran has been re-nominated for another additional

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 five-year term. We are still -- there are two  
2 individuals who have been selected or at least  
3 nominated to fill the other two Commissioner  
4 positions and they're going through the process.  
5 That's going to take some time as we navigate through  
6 this. But we look forward to them getting back there  
7 and getting us back to our full complement of a five  
8 commission.

9 Lisa Dimmick, as Doug had mentioned, is  
10 now the team leader. Mike Fuller did retire in May.  
11 I have come on as well. So that's some changes that  
12 we have there.

13 And as already mentioned, Dr. Langhorst  
14 will be leaving at the end of the year -- I mean  
15 September. Sorry. The end of our calendar year --  
16 our fiscal year.

17 Michael Sheetz has been selected to  
18 replace her, once Dr. Langhorst's term ends. So we  
19 appreciate all the things that you've done to assist  
20 and make us a better organization.

21 Some things that we have going on in the  
22 rulemaking area - Part 35 rulemaking that be put  
23 before the Commission, they -- on August 17th, the  
24 Commission held an affirmation vote to approve  
25 revisions for the expanding of Part 35 ruling. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 as we go through the process, we send something up to  
2 the Commission. The Commission gives us direction  
3 in the form of a Staff Requirements Memorandum.

4 So there are several activities that are  
5 coming out of that that we need to do. And the staff  
6 is currently making the necessary visions as directed  
7 by the Commission and will provide to the Office of  
8 the Chief Information Officer and the Office of  
9 Administration for their review and publication.

10 We anticipate that the rule will probably  
11 be published the later part of this winter.

12 This rule amends the medical definition  
13 for reporting and notification requirements for  
14 permanent implant brachytherapy. This rule also  
15 amends the training and experience requirements to  
16 remove the requirement to obtain a written  
17 attestation for an individual who is certified by a  
18 board with certification processes that has been  
19 recognized by the NRC or the Agreement States and  
20 addresses the request filed in a petition for  
21 rulemaking to exempt certain board certified  
22 individuals from certain T&E requirements.

23 Additionally, this rule amends the  
24 requirements for measuring molybdenum contamination  
25 as a new requirement for the reporting of failed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 technetium and rubidium generators, and allows  
2 licensees to name Associate Radiation Safety Officers  
3 for a medical license.

4 The NRC has two objectives in revising  
5 its medical use and commercial nuclear pharmacy  
6 guidance. One objective is to publish the guidance  
7 for new parts 30, 32, and 35 final rule. The second  
8 is to update all the associated guidance, like the  
9 NUREG-1556 series guidance.

10 And as we move through this, we want to  
11 be thankful of your efforts to provide us guidance,  
12 provide us direction, good information so that we can  
13 make -- do the things that we do better, whether  
14 that's regulating guidance, development, things of  
15 that nature.

16 Another thing is the abnormal occurrence  
17 criteria statement. On August 24th, the Commission  
18 approved the revisions to the AO criteria policy  
19 statement in Staff Requirements Memorandum 17-0019.  
20 One of the revisions impacts AOs from medical events.  
21 The provision requires that a medical event resulting  
22 in dose that exceeds 10Gy from the administration  
23 defined in a written directive to all organs, other  
24 than the bone, and to the eye, or gonads before that  
25 event can be considered an AO. The revision did not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 include an exclusion for reporting AOs of an overdose  
2 to an embryo or a fetus, which is reported under 10  
3 CFR 35.3047.

4 The staff within the Office of Research  
5 will publish the new AO criteria in a Federal Register  
6 notice soon. Staff will also incorporate these  
7 revisions into Management Directive 8.1. The NRC  
8 will begin implementing this updated criteria for  
9 capturing AOs beginning October 1. The report that  
10 we submit to the Congress will still use the old  
11 criteria.

12 We are developing a patient release paper  
13 for the Commission to review and it will evaluate the  
14 options and recommendations on updates to the NRC's  
15 patient release program required by 10 CFR 35.75 and  
16 we'll hear about this later today from Dr. Howe and  
17 Dr. Zanzonico.

18 I would like to thank the ACMUI for  
19 reviewing and providing comments on the draft version  
20 of this paper and associated background information.  
21 The staff plans to deliver this paper to the  
22 Commission in December of this year.

23 The things that I'd like to highlight:  
24 the ACMUI subcommittees have been working hard and  
25 there are a number of subcommittee reports that will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 be discussed and brought forward during today and  
2 tomorrow.

3 Dr. Langhorst will discuss the  
4 subcommittee's report on Medical Event Reporting and  
5 Impact on Safety Culture this morning.

6 Dr. Dilsizian will give a presentation  
7 this morning on ACMUI subcommittee's recommendations  
8 on the definition of patient intervention.

9 Dr. Zanzonico will give a presentation  
10 this afternoon on subcommittee's comments on the  
11 staff's draft commission paper on patient release.

12 Dr. Suh will discuss the Physical  
13 Presence Subcommittee's recommendations for -- I'm  
14 sorry -- the Leksell Gamma Knife Icon tomorrow  
15 morning.

16 We also have a Category 3 source security  
17 and accountability initiatives regarding the April  
18 2017 ACMUI meeting. Ms. Irene Wu gave a presentation  
19 to the committee on the staff's reevaluation of  
20 Category 3 source accountability. Staff provided  
21 this paper to the Commission on August 18th and she  
22 will provide an update to us later. So the paper is  
23 before the Commission. The Commission will make  
24 recommendations on what they will want the staff to  
25 do.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Tomorrow we will be honoring Frank  
2 Costello, who passed away, as well as Dr. Langhorst.

3           Marc Dapas will be coming in, who is our  
4 Office Director, and giving the tribute, as well as  
5 providing the thank you to Dr. Langhorst.

6           So we have some upcoming ACMUI vacancies.  
7 We would like you to know that we posted a call for  
8 nominations to the Agreement State representative, a  
9 nuclear medicine physicist and healthcare  
10 administrator positions. The nomination period ended  
11 on August 21st; however, we extended the nomination  
12 period for the Health Care Administrator position  
13 until October 5th.

14           We anticipate making selections for the  
15 Agreement State representative and nuclear medicine  
16 physicist positions in the late fall time frame and  
17 the Health Care Administrator position in the later  
18 winter time frame, after consultation with the  
19 Commission.

20           While we are making revisions to the Part  
21 35.1000 on the Germanium/Gallium-68 generator  
22 licensing guidance and this focused on the areas of  
23 the financial assurance and the development of the  
24 DFP.

25           And part of that was the revision. We

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 provided a clarification that granting exemption from  
2 the DFP requirement in 10 CFR Part 30 does not exempt  
3 the licensee from other financial assurance  
4 requirements. And we have a list of specific  
5 elements that should be in a legally binding agreement  
6 to return the generators to the manufacturers or the  
7 distributor.

8 And three, in my revision to the license  
9 condition that specifies that the licensee must  
10 return the generators to the manufacturer or  
11 distributor when they are no longer in use.

12 Said did a nice paper there that he  
13 published and I know he has been our point of contact  
14 there and we want to take the opportunity to recognize  
15 his contributions.

16 I did go a little off script there but I  
17 just wanted to thank him for his contribution because  
18 that was a long effort and it was a lot of comments  
19 that had been received and that was one thing that we  
20 were able to push over the goal lines. I did want  
21 take time to recognize that.

22 The Elektra -- Elekta high dose rate of  
23 remote afterload Part 21 issue - the NRC was made  
24 aware of the medical event reported August 18th that  
25 two patients were found to have an underdose of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 greater than 20 percent, while being treated with a  
2 high dose rate remote afterloader with ring and tandem  
3 applications.

4 The medical event was caused by a  
5 discrepancy between the source step size use for the  
6 ring application for planning, calculating, and  
7 evaluator dose in the treatment planning software and  
8 the step size after they were utilized. The step  
9 size for calculations was 2.5 millimeters, while the  
10 utilized step size was 5.5 millimeters.

11 The difference in the step size caused  
12 the source to dwell at incorrect positions, as the  
13 source step through the ring applicator, compiling  
14 the difference in each step. As a result, the latter  
15 dwell position shifted into the shaft of the ring  
16 applicator into the vaginal canal and it's an  
17 unintended treatment site.

18 So the manufacturer of this software has  
19 been notified of this issue by three users who  
20 discovered it during their quality assurance testing.  
21 Elekta was in the process of writing a user  
22 notification when they were alerted to the medical  
23 event on August 8th. On August 11th, they issued a  
24 field safety notice on the software issues to all of  
25 its users, as well as internal documentation to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 temporarily stop delivery of the applicator modeling  
2 software.

3 On August 23rd, Elekta made a 10 CFR 21  
4 report regarding the software issue and notified the  
5 FDA.

6 At this time, I would like to return the  
7 meeting to Dr. Alderson. Thank you. And thank you  
8 for having this opportunity for me to be able to speak  
9 before you.

10 CHAIRMAN ALDERSON: Thank you for those  
11 comments. I think that will take us to Sophie.

12 MS. HOLIDAY: Thank you. Good morning.

13 As you all know, and I always like to  
14 say, this is your most favorite part of the meeting,  
15 where we get to go over all of the ACUMI's past  
16 recommendations and actions and discuss if there have  
17 been any status changes.

18 Before I start, I already know that for  
19 a lot of our items, I always say, are related to the  
20 Part 35 rulemaking. Nothing has changed. However,  
21 what I will note is that I'm not going to close any  
22 of these items yet for the Part 35 rulemaking. While  
23 the Commission has voted and issued the SRM, there  
24 was a request at the last meeting in April for staff  
25 to go over a detailed explanation about what happened

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with the rules. So I'm not going to make a motion  
2 to close any of these items until we've done that,  
3 per requested by the Committee.

4 Okay so, as always, the whole 2007 chart  
5 is related to the Part 35 expanded rulemaking. We  
6 go pretty fast.

7 Yes, ma'am?

8 MEMBER LANGHORST: Sorry. I wanted to  
9 ask a question. The elective part for the gamma  
10 knife is delayed, right? So those will -- like 30.

11 MS. HOLIDAY: Yes.

12 MEMBER LANGHORST: 2007, so those will  
13 stay on.

14 MS. HOLIDAY: Yes, correct. So for Item  
15 30, all of the items that they delayed, open delayed  
16 means they were not included in the current Part 35  
17 expanded rulemaking.

18 MEMBER LANGHORST: Thank you.

19 MS. HOLIDAY: Thank you for that  
20 clarification.

21 So again, the same thing for Item 35.  
22 That's delayed as well.

23 So if we move on to the 2008 chart, all  
24 of these items are included in the Part 35 rulemaking  
25 with the exception of Items 22, and 26, and 27.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So from Item 22, this is where the ACMUI  
2 encouraged staff to begin the rulemaking process for  
3 the Y-90 microspheres. As we said many times, this  
4 resides in 35.1000 licensing guidance space. And the  
5 reason for that is is, as you will note, we're already  
6 on Revision 9. If we had put this into rulemaking,  
7 this would take us a very, very long time and we would  
8 not be able to make those nimble changes. However,  
9 this is something that is still on the staff's radar.

10           Again, Item 26 and Item 27 are delayed,  
11 meaning they are not included in this current Part 35  
12 rulemaking.

13           Okay. So, the 2009 chart only has two  
14 items, again, related to the Part 35 expanded  
15 rulemaking. And then that brings us to the 2011  
16 chart.

17           So Item 1, this has to do with the patient  
18 release criteria. This is not included in the  
19 current Part 35 rulemaking; however, you will hear a  
20 presentation later on today regarding patient  
21 release.

22           Item 6 is an indefinite open item where  
23 the committee will review their reporting structure  
24 on an annual basis which will take place during the  
25 spring meeting.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Items 11 through 15 are all related to  
2 the Part 35 rulemaking.

3           Item 16, again, has to do with patient  
4 release. As I said, you will hear about that later  
5 on today from Dr. Howe and Dr. Zanzonico.

6           The 2012 chart was closed. I'm sorry.  
7 I failed to mention the 2010 chart was closed as well.

8           So for all of 2013, all of those items  
9 are related to the Part 35 rulemaking. That's when  
10 the committee provided their comments on the draft  
11 Part 35 rule language.

12           To move on, 2014 we did close all of those  
13 items.

14           And that brings us to 2015. So the first  
15 item is Item 7, which has to do with the AO criteria.  
16 It's actually Item 7 and Item 22 have to do with the  
17 AO criteria. As you heard Mr. Williams say earlier  
18 today, the Commission voted on the AO criteria policy  
19 statement revisions and it was not accepted, Item 7,  
20 to remove the criteria where harm to the embryo fetus  
21 does not result in an AO report to the criteria.

22           And then Item 22 is where the Committee  
23 endorses that committee report.

24           So at this time, I would like to make  
25 motion to close Item 7 and 22.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 CHAIRMAN ALDERSON: Any comments?

2 MEMBER LANGHORST: I'll just say to the  
3 committee, don't give up. Medical use is different  
4 and, in particular, the fetal dose reported under  
5 35.3047 should not be a member of the public  
6 consideration.

7 So, thank you. Keep up the good work.

8 CHAIRMAN ALDERSON: Other comments or  
9 questions?

10 MS. HOLIDAY: Does anyone second my  
11 motion to close the item? Dr. Dilsizian's second.

12 MEMBER ENNIS: Just not related to the  
13 agenda, per se, but to this topic, did the Commission  
14 write something that we can understand their thinking  
15 about not adopting our recommendation?

16 MS. HOLIDAY: Well unfortunately,  
17 Katie's not in here.

18 My understanding is that the reason the  
19 Commission did not accept this is because currently  
20 medical events or events that involve underage  
21 minors, which is what an embryo/fetus falls under, is  
22 all captured under Section 1A of the AO criteria.

23 So instead of having to separate out the  
24 criteria, they kept it all under one section so that  
25 if a pregnant worker were to get exposure, things of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that nature, then that would all be captured under  
2 that particular section. So the Commission decided  
3 not to adopt the ACMUI's recommendation in that  
4 respect.

5 Does that help?

6 MR. BOLLOCK: Yes. I don't know that it  
7 was addressed in writing but I know that we did, a  
8 number of years ago, Dr. Tapp and I briefed the  
9 Commission on it and they, just in their feedback,  
10 they just felt that because the embryo/fetus could  
11 get a high dose, it could cause harm to the  
12 embryo/fetus that they wanted to continue reporting.  
13 That was just their position.

14 There is a -- one of the Commissioners  
15 actually agreed with our recommendation and the  
16 ACMUI's recommendation and noted that in their vote.  
17 But it was a two-to-one vote against that  
18 commissioner.

19 VICE CHAIRMAN ZANZONICO: Question. So  
20 what are the implications for future revision or  
21 revisiting of this issue of the ACMUI vote in favor  
22 of this motion?

23 MS. HOLIDAY: So currently, because the  
24 Commission has already issued their SRM, which is  
25 essentially the Commission's final decision regarding

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the AO criteria, that means it's not open at the  
2 current moment because now what staff will do is they  
3 will start implementing this new AO criteria, come  
4 October 1.

5 However, just like all of our other  
6 pending ACMUI recommendations and actions, should the  
7 ACMUI reform a subcommittee and put forth the  
8 recommendations that would be captured. What I  
9 cannot promise is that that is something that staff  
10 will be tackling anytime soon, since the Commission  
11 has just voted out the new criteria.

12 VICE CHAIRMAN ZANZONICO: So let me ask  
13 it in a different way. What's the implications of  
14 not endorsing it?

15 MS. HOLIDAY: Of not endorsing the  
16 ACMUI's recommendation?

17 VICE CHAIRMAN ZANZONICO: No, no, no. I  
18 mean what I'm understanding is that we're being asked  
19 to endorse the fact that the Commission did not follow  
20 the ACMUI recommendation on this issue.

21 MS. HOLIDAY: So it's not necessarily a  
22 matter of the ACMUI endorsing what the Commission has  
23 done because it is kind of when the Commission has  
24 made a decision, it's the final end-all, be-all just  
25 like with the Part 35 rulemaking.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So the ACMUI can go on record and say  
2                   that you do or do not agree with it but it,  
3                   unfortunately, won't change anything at this current  
4                   moment.

5                   VICE CHAIRMAN ZANZONICO:    Right but I  
6                   think my point is I think there's value in not  
7                   endorsing a Commission decision that the ACMUI  
8                   disagrees with.

9                   MS. HOLIDAY: Sure.

10                  VICE CHAIRMAN ZANZONICO:    Is that an  
11                  option?

12                  MS. HOLIDAY:    That is an option.    I will  
13                  say that in the past Dr. Thomadsen, when he was ACMUI  
14                  Chairman, wrote in a letter of dissent to the  
15                  Commission, conveying the Committee's dissension with  
16                  one of the Commission's decisions.    I can't remember  
17                  for what particular topic but the ACMUI always has  
18                  the option.    NRC also has an open door policy.    So  
19                  anytime the Committee has an issue with anything,  
20                  you're welcome to speak to any of our management  
21                  chain, including all the way up to the Commission.

22                  Our members of the public are always  
23                  writing to the Commission as well.    So, it's not  
24                  different for the Committee.    So if that's something  
25                  that the Committee wishes to do, you are more than

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 welcome to do so.

2 CHAIRMAN ALDERSON: So if that sort of  
3 action is going to be taken, then you're going to  
4 have to make a motion. We're going to have to discuss  
5 this and have to bring back to our forefront,  
6 forebrain, the ideas that led to this disagreement so  
7 that we can all know when we vote exactly the things  
8 upon which we are voting. So does someone want to  
9 pursue that particular path?

10 VICE CHAIRMAN ZANZONICO: Well, so the  
11 motion you made so what we would be voting on is that  
12 we're closing the item.

13 MS. HOLIDAY: It's just to close the  
14 items off of our charts.

15 VICE CHAIRMAN ZANZONICO: Okay. And at  
16 some point in the future, maybe after I'm off the  
17 Committee, it can be revisited.

18 I just wanted to understand the  
19 implications of what the vote meant. And it sounds  
20 like it is just administratively we're just closing  
21 it at the moment per the consideration.

22 MS. HOLIDAY: Correct. The motion that  
23 I put forward is just to close it off of my staff  
24 tracking chart.

25 CHAIRMAN ALDERSON: So are there any --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 yes, Dr. Langhorst.

2 MEMBER LANGHORST: Just to clarify, since  
3 Sophie is not part of the Committee, I will make that  
4 motion to close these items so that you have a person  
5 on the Committee making that motion. I believe Dr.  
6 Dilsizian seconded it.

7 MEMBER DILSIZIAN: Yes, second to that.

8 CHAIRMAN ALDERSON: Second. Any further  
9 discussion?

10 All in favor?

11 It's unanimous.

12 MS. HOLIDAY: Thank you.

13 Okay, so then Items 12, 13, and 15 have  
14 to deal with patient intervention and that's been an  
15 ongoing topic. Dr. Dilsizian will give a talk about  
16 that later on today.

17 So then that brings us to the 2016 chart.  
18 So, Items 1 through 15 are related to, of course, the  
19 Part 35 rulemaking. This is the year that the ACMUI  
20 provided their comments on the Part 35 rulemaking  
21 that went out for public comment.

22 And then we go to Item 16, which has to  
23 deal with the training and experience requirements  
24 for all modalities in 10 CFR 35.

25 So for everyone in the audience and for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 participants on the webcast, you will notice that we  
2 do not have training and experience on the agenda for  
3 this meeting and the reason for that is that the  
4 subcommittee for training and experience has been  
5 redirected to focus on 35.300. So we anticipate  
6 hearing a discussion from that subcommittee in the  
7 spring meeting, which is slated for the March or April  
8 2018 time frame.

9 Okay, Item 24 has to deal with the  
10 Committee's reach out to other professional societies  
11 or organizations to better the communications and  
12 interactions between the NRC, the ACMUI, and the  
13 medical community. This is an ongoing effort and we  
14 will hear presentation from Dr. Palestro, Dr. Metter,  
15 and Dr. Alderson later on during this meeting  
16 regarding those efforts.

17 Item 38 has to deal with the nursing  
18 mother guidelines. We will also hear a presentation  
19 from Dr. Metter later on today regarding her  
20 subcommittee's efforts on developing these  
21 recommendations.

22 Items 39 and 42, 43 have to deal with the  
23 Y-90 microspheres licensing guidance. Item 39, in  
24 particular, has to deal with the tubing issues related  
25 to the administration of Y-90 microspheres. The

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 staff was tasked with considering issuing a generic  
2 communication. Staff is still working on that. So  
3 we'll be waiting on future development for that  
4 particular topic.

5 CHAIRMAN ALDERSON: If it's okay, I have  
6 a point of clarification.

7 MS. HOLIDAY: Yes, sir.

8 CHAIRMAN ALDERSON: And it's just my  
9 misunderstanding, I believe. You just described Dr.  
10 Metter's upcoming report on nursing mothers; yet, on  
11 the page it says status closed.

12 MS. HOLIDAY: Yes, I have it closed  
13 because we're going to hear about it today. So that  
14 is a pending closed.

15 CHAIRMAN ALDERSON: I see.

16 MS. HOLIDAY: Yes, it's just in red --  
17 it's red on the screen and in your handout because I  
18 anticipate closing it after her recommendations.

19 CHAIRMAN ALDERSON: I see.

20 MS. HOLIDAY: Sorry for any confusion.

21 Okay, so Item 41 has to deal with, again,  
22 the Patient Intervention Subcommittee. Again, we'll  
23 hear about that later on today.

24 Items 44 through 52 have to deal with the  
25 NorthStar Licensing Guidance. The Committee provided

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 comments on the draft licensing guidance. Dr. Howe  
2 and Ms. Ayode's working group has been working to  
3 incorporate any comments that they've received from  
4 both the committee and the regions. So they  
5 anticipate issuing some guidance in the near future.

6 Okay. Well then that brings us to the  
7 2017 chart.

8 So again the first item on the 2017 chart  
9 is where the Committee requested that the  
10 recommendations and actions regarding the Part 35  
11 expanded rulemaking be reviewed during the fall  
12 meeting. As I stated when we started, we will discuss  
13 that during the spring meeting because I didn't want  
14 to rush through it. I wanted to give the ACMUI the  
15 respect and the time, given the amount of effort that  
16 the Committee has provided towards the rulemaking  
17 over many, many years. So we will discuss that in  
18 length, in detail during the spring meeting.

19 So I have left that item in pending so to  
20 note that we will talk about it in the spring meeting.

21 Okay, Item 2 has to deal with the  
22 subcommittee that was formed to review the physical  
23 presence requirements recommendations that Elekta put  
24 forward. We will hear from Dr. Suh's subcommittee  
25 tomorrow regarding their recommendations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Item 3 has to deal with Dr. Alderson  
2           requesting that staff provide an update on the  
3           Category 3 source security initiative during the fall  
4           meeting. I have put closed because Ms. Wu will give  
5           a presentation on that tomorrow. So I can mark that  
6           item as closed.

7           Okay, Item 4 has to deal with the  
8           subcommittee that was formed to review the draft SECY  
9           paper or Commission paper related to patient relief.  
10          Again, we'll hear from Dr. Zanzonico about that later  
11          on today.

12          Number 5, again, has to deal with the  
13          nursing mother guidelines. We will, of course, hear  
14          from Dr. Metter later on today as well.

15          So I have marked those items as  
16          tentatively closed. Also because we made a decision  
17          in the past that once subcommittees were formed we  
18          would close the action that the subcommittees were  
19          formed. So I have marked this closed because the  
20          subcommittees have been formed. I'd like to close  
21          those items as well.

22          Okay, Item 8, again, has to do with  
23          Patient Intervention Subcommittee. So we'll hear  
24          about that again.

25          And then of course Item 10, this is where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the Committee has scheduled the fall meeting, I of  
2 course would like to make a motion to close that  
3 because here we are today during the fall 2017  
4 meeting.

5 CHAIRMAN ALDERSON: Right, it's  
6 automatic. So does someone want to move? I need a  
7 motion.

8 MEMBER METTER: I'll move to close that.

9 CHAIRMAN ALDERSON: And a second.  
10 And all in favor?

11 MS. HOLIDAY: Thank you.

12 Okay and then the last item is that the  
13 Committee requested staff provide them with  
14 information related to the escalating enforcement  
15 actions to medical licensees over a five-year span.  
16 I provided that information to the Committee over the  
17 weekend; however, I won't have us close this item  
18 until tomorrow during my closing session so as to  
19 give the Committee additional time to read  
20 information that I provided.

21 Okay, are there any questions or comments  
22 related to anything that I've presented?

23 CHAIRMAN ALDERSON: There seems to be  
24 none. Thank you, Sophie, for that report.

25 MS. HOLIDAY: Perfect. Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: Yes?

2 MEMBER WEIL: I received an email from a  
3 member of the public stating that there is no  
4 information about the bridge line posted online.

5 MS. HOLIDAY: That's right. I don't  
6 normally post the bridge line information online  
7 because we have a limited number of lines. So members  
8 of the public will contact me if they want it.  
9 However, Doug did provide the bridge line information  
10 during his opening comments. So, if you would like  
11 for him to repeat it again, I'm sure he can do that.

12 CHAIRMAN ALDERSON: That would be good.

13 MR. BOLLOCK: And then I can give it to  
14 you if you want to email back.

15 MEMBER WEIL: I've responded to the email  
16 but for others.

17 MR. BOLLOCK: Yes, for others. So the  
18 bridge line for this meeting is -- the phone number  
19 is 888-790-6447 and the pass code is 93045 followed  
20 by the pound sign.

21 CHAIRMAN ALDERSON: All right, thank you.

22 Are there any other comments from members  
23 of the Committee?

24 Thank you, Sophie.

25 MS. HOLIDAY: Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: So that takes us into  
2 the open forum portion of the agenda, identifying  
3 medical topics of interest for further discussion.  
4 Are there members of the Committee who would like to  
5 raise a topic for open discussion, at this point?

6 Yes, Dr. Langhorst.

7 MEMBER LANGHORST: Thank you, Dr.  
8 Alderson. I wanted to point out that there was a  
9 petition for rulemaking that was published in the  
10 Federal Register on August 23rd and this is regarding  
11 the table -- excuse me -- the Appendix B in Part 30  
12 and to update those values in Part 30.

13 Now, I wanted to remind the Committee and  
14 I wanted to make note of those out there who may be  
15 wanting to respond on this, we extensively went  
16 through what was happening with that Appendix B during  
17 our Germanium-68/Gallium-68 Decommissioning Funding  
18 Plan Subcommittee. And for those people interested  
19 in responding to this petition for rulemaking, I'll  
20 point them to our ACMUI report August 12, 2015 and to  
21 the addendum where we reviewed where Part 30, Appendix  
22 B came from and what could be done to update those  
23 values.

24 So I just wanted to make mention that our  
25 reports are not only important for medical use but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they could be important for other types of rulemaking.

2 CHAIRMAN ALDERSON: Okay, thank you very  
3 much. Are there comments on that from the Committee?  
4 Other comments on that from the public? Is there  
5 someone who would like to comment on that issue  
6 online?

7 Hearing none, thank you.

8 Are there other comments the Committee  
9 would like to raise or new items?

10 Hearing none, do we now invite the public  
11 to do the same? Are they allowed to now comment?

12 MR. BOLLOCK: They are, yes, at your  
13 discretion.

14 CHAIRMAN ALDERSON: Yes, right. So do  
15 any members of the public have items they would like  
16 to raise at this particular point?

17 MR. GUNTER: Yes.

18 CHAIRMAN ALDERSON: There is here in the  
19 room. Please, step to the microphone.

20 MR. GUNTER: Thank you very much. My  
21 name is Paul Gunter and I'm with a public interest  
22 group called Beyond Nuclear.

23 And I think the comment, if the Committee  
24 would please consider, has to do with the use of  
25 medical isotopes and the exposure of service workers;

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for example, the Mayo Clinic and the hotel system  
2 around Mayo Clinic.

3 And I think one of the concerns that has  
4 been discussed already by Peter Crane has to do with  
5 the exposure rates of potentially for custodial  
6 workers in those hotel systems and if there has been  
7 substantial consideration of patients who are  
8 avoiding exposures to family members and other public  
9 going to those hotel systems and, thus, increasing  
10 the exposure rates of custodial workers in those hotel  
11 systems.

12 And this had been raised in a Commission  
13 public briefing session a couple of years ago and I'm  
14 not sure that it has been fully deliberated is what  
15 I'm posing the question to the Committee.

16 And I'll close just by saying it might be  
17 worth considering some pilot program work or further  
18 research into perhaps using TLDs to observe the issue  
19 of these exposure rate to custodial workers in these  
20 hotel systems. Or if that has already been a  
21 consideration of the Committee and of the NRC, we'd  
22 welcome any kind of information that would illuminate  
23 what has been done to look at exposure rates to  
24 custodial workers who are cleaning up after patients  
25 in these hotel systems. Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: Yes, thank you, Mr.  
2 Gunter. This is a topic that has, I think, come up  
3 from time to time. I can understand why it is a  
4 topic of public interest.

5 Are there people here at the table on the  
6 Committee who would like to make a comment about this  
7 issue?

8 Yes, Dr. Zanzonico.

9 VICE CHAIRMAN ZANZONICO: Well, not to  
10 address the issue in detail at this point but there  
11 will, as was outlined, there will be two sessions  
12 later today, one from the NRC staff and one by myself  
13 on the patient release issue with an emphasis actually  
14 on possible exposures to hotel workers from released  
15 patients.

16 So I think not to defer your question,  
17 but that's what I'll do, defer the question for those  
18 two presentations because I think it was addressed  
19 in-depth both by NRC staff and on multiple occasions  
20 by the ACMUI.

21 CHAIRMAN ALDERSON: Good. All right,  
22 excellent comment.

23 Other comments from the Committee?

24 I will say that in my own experience  
25 something that is analogous but not exactly the same

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 is on several different occasions while I was back  
2 east at Columbia University and the Presbyterian  
3 Hospital, hospital workers, nurses and other staff  
4 who worked in non-radiation areas in the hospital  
5 would raise this very question about how they were  
6 being exposed to people who had radioactivity onboard  
7 who were coming up out of the hospital from the  
8 therapy area or otherwise. And in every one of those  
9 situations, and I can remember at least three, a full-  
10 blown study was then performed on those floors and  
11 they always resulted with everything being well, well  
12 below permitted dose amounts. So those studies were  
13 negative. That doesn't necessarily mean that that  
14 would be the same as hotel workers but it infers that  
15 it might.

16 In any case, I thought I would just add  
17 that comment to the record.

18 Okay, any other comments at this time?  
19 And I think if there are none, we'll wait until this  
20 afternoon's patient release.

21 MR. BOLLOCK: And just from the NRC's  
22 side, we have, I mean as Dr. Zanzonico stated, that  
23 is one of our considerations.

24 So we are aware of that. There have been  
25 studies. There's been reports from the ACMUI I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 back in 2010 or 2011 time frame. So yes, staff is  
2 aware of it. Staff has been considering that for  
3 years and we do have a lot of information on that.  
4 So you'll be hearing a little bit of that later this  
5 afternoon.

6 CHAIRMAN ALDERSON: Good. All right.  
7 So hearing no other comments, and we'll defer the  
8 remainder of this discussion until this afternoon's  
9 sessions.

10 Thank you. Thank you, Mr. Gunter.

11 Other comments from the public, either  
12 online or here in the room?

13 Hearing none and seeing none, I think  
14 that we've completed this particular section of the  
15 agenda.

16 And that will take us to the Medical  
17 Events Subcommittee report. Dr. Ennis is moving to  
18 the microphone.

19 MEMBER ENNIS: Good morning, everyone,  
20 and good morning NRC staff. Good to see everyone.

21 In case you don't remember my name.

22 So now it's time for the annual report on  
23 Medical Events. We will report now on the events  
24 that have been forwarded to NRC and Agreement States  
25 from October '15 through the end of September '16.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And thank you very much to my  
2           subcommittee members, who have helped me put this  
3           together, Doctors Langhorst, O'Hara, Palestro, Suh,  
4           and Zanzonico.

5           So we'll start off with 35.200 and there  
6           were eight events during that fiscal year, seven  
7           involved technetium and one involved F18-FDG. Fairly  
8           typical types of events, as we will see, from what  
9           we've seen in years past.

10          The first event was an error in dose,  
11          caused by a staff member not confirming activity to  
12          be administered. And they decided they will no  
13          longer prepare the kits at that site.

14          There was a situation of a link, causing  
15          excessive skin exposure in a second case. A third  
16          case was a mistake in the type of isotope and what it  
17          was being used for. It was supposed to be a gastric  
18          emptying study but was, instead, given for  
19          lymphoscintigraphy.

20          And the results of the skin dose, no  
21          comment about if there was a skin reaction or anything  
22          like. And they implemented a change in policy that  
23          they would verbally confirm activity and procedure  
24          with the physician before administration.

25          The fourth event was an issue of dose,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 giving significantly more, and again, not confirming  
2 patient identity so giving the wrong dose.

3 The fifth case was a wrong patient  
4 administration. This report, unfortunately, was  
5 really incomplete, really not any good information  
6 about what the cause was, what the corrective action  
7 was, which speaks a little bit to some of the things  
8 we had talked about in the past about perhaps  
9 structuring this reporting in a way that could be  
10 more useful.

11 The sixth event, again, has to do with a  
12 gastric emptying procedure but may have actually not  
13 been, technically. It seems as though in the end  
14 they decided it was not really a medical event.

15 The seventh involved, again, a gastric  
16 emptying study where the wrong dose was given by an  
17 order of magnitude. No comment about whether there  
18 was any clinical implications, or complications, or  
19 side effects. And they changed their way the  
20 physician orders and retrained their technologists.

21 And the final one was the FDG one. And  
22 again, an error of two patients with the same last  
23 name. So they reviewed with the supervisor and  
24 changed a workflow sheet so they would not make the  
25 same mistake again.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Moving on to 35.300, so there were five  
2 events, three with radium-223, one with samarium, and  
3 one with iodine-131.

4                   The first radium event was an error in  
5 dose. Again, an issue about patient identity and  
6 weight. It's not clear from it if it was the identity  
7 that was the problem and, therefore, the weight or  
8 they had the right patient. Presumably, they just  
9 mistook two patients and the two patients did not  
10 have the same weight. It was off by a bit.

11                  So again, corrective action,  
12 administrative -- you know additional administrative  
13 actions. And again, just as sort of a comment, some  
14 of these corrective actions are a little vague. It's  
15 hard to really know what that means and if it means  
16 anything of substance.

17                  The next radium event was an order of  
18 magnitude event, where the delivered and the  
19 prescribed were off by an order of magnitude. The  
20 licensee giving it believed AU intended to give the  
21 98 microcurie does, which is the dose that was  
22 actually given, but not to those prescribed but, to  
23 be honest, it seems pretty clear the licensee was  
24 correct and what was written by the AU was not  
25 correct, presumably just leaving out a decimal point

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in the correct.

2 But technically, the prescription wrote  
3 for 980 microcuries. So it made an event, even though  
4 actually the patient probably got the right -- what  
5 was really intended.

6 The third radium event was a bit of an  
7 interesting one in that it was given in a clinic that  
8 was not an authorized location to give radioactive  
9 materials and they authorized the user. The  
10 prescriber was not an authorized user. It's pretty  
11 significant.

12 The explanation was that prior to a  
13 merger, this may have been an authorized location and  
14 the authorized user may have been an authorized user  
15 strikes me the latter is a little strange. The first,  
16 I could potentially understand.

17 So for future on, they are not giving it  
18 in that location or with that authorized user but in  
19 another use within their healthcare system.

20 I guess these things can come up as the  
21 healthcare system continues to consolidate and  
22 perhaps the administrative staff are not aware that  
23 one of their new small centers is not an authorized  
24 user. So I don't know how that gets dealt with but  
25 I could see this happening again.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And the samarium, a slight difference in  
2           dose -- not slight. Excuse me. An error in dose and  
3           it was basically a miscalculation in the pharmacy,  
4           based on the patient weight. And the I131, we've  
5           seen this before, two capsules are supposed to be  
6           given but only one was given.

7           So they revised the procedures to make  
8           sure everyone involved knows how many capsules are  
9           being transferred and given.

10           Now we have some brachytherapy. One of  
11           these may be the case we just heard about, although  
12           that was in more detail than what I had or what we  
13           had available. What was reported was an interesting  
14           and troubling error that was just discussed.

15           So this may potentially have been -- no,  
16           this was not. This was a different troubling error.

17           So a patient with cervix cancer was being  
18           treated and a catheter was placed into the tandem and  
19           ovoids to deliver the radiation. This is not HDR.  
20           And they had trouble fitting the sources into the  
21           tandem. It just wouldn't go all the way. So they  
22           just cut off the plastic tube that was holding the  
23           sources. It didn't affect the sources itself except  
24           that the source, therefore, wasn't in the tandem as  
25           deeply as it needed to be, closed it off and left it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there. And everything fit except that the source is  
2 now not all the way at the end of the applicator but  
3 it is somewhere in the middle of the applicator.

4 So the tumor was not treated properly and  
5 other tissues were exposed inappropriately. So,  
6 that's a pretty significant error and it led to an  
7 underdose of tumor by 1,500, which can really be a  
8 life and death issue, frankly; an overdose to the  
9 lower rectum and vagina because the source was lower  
10 down where it should not have been.

11 And they attributed it to inadequate  
12 training of the people who were putting in the sources  
13 and written procedures and did some repeat training  
14 to make sure this wouldn't happen again.

15 That's the only non-prostate low-dose  
16 rate incident.

17 In terms of prostate, there are several,  
18 although some, as you will see, would not really be  
19 medical events with the new definition coming down.

20 So our first one, a hospital had two  
21 events where the D90 was around 70 percent. So  
22 technically, a medical event. And they did not  
23 provide enough information about activity step so  
24 that we could weigh in whether or not it would be in  
25 the new definition.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           And they don't really tell you much about  
2           root cause analysis or anything. But this did lead  
3           to a retrospective investigation and 13 additional  
4           events were found by the inspector. And presumably,  
5           along the same lines. But again, no details were  
6           provided.

7           In another institution, there was an I-  
8           125 implant, again, about 70 percent of the D90;  
9           although in this one, there was enough information in  
10          the report to determine that 92 percent of the  
11          activity had been implanted in the target, in the  
12          prostate.

13          So, it actually would not be a medical  
14          event by the new definition.

15          Curiously, despite that, AU decided to  
16          actually make up for the low dose by giving some  
17          external radiation treatment. That's more of a  
18          medical decision than an NRC decision but it was  
19          interesting to me.

20          And they just caused attributed to human  
21          error.

22          The next event was a low D90 and, indeed,  
23          the percent of activity implanted was also low. So  
24          this is a real medical event by all definitions.

25          And this actually was not reported,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       though. This was found on inspection by an inspector  
2       reviewing past cases. And they made some  
3       modifications in their training to try and prevent  
4       this from happening again.

5                Another event where the D90 was lowish,  
6       67 percent. Again, no comment on activity. They say  
7       it had to do with seed migration. So, indeed, perhaps  
8       a fair number of the seeds were outside. So, it  
9       would be a medical event, based on activity as well.

10              And the corrective action is listed as  
11      new training and a new technique but, again, not  
12      specifying enough information for me to really  
13      interpret what that might mean.

14              And then we've had this occasionally  
15      before. I-125 was implanted into a mass mistakenly  
16      thought to be the prostate due to abnormal anatomy.  
17      So from a medical point of view I'm quite curious to  
18      understand this better but not enough information.

19              We have had a couple of cases in years  
20      past of implantation into the penile bulb, which can  
21      look a little bit like a prostate if you're not  
22      careful. This may have been that, too, but again,  
23      not enough information to say that. There is some  
24      comment about rectal abnormalities in the report. So  
25      that it may have been a rectal mass but, again, just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not enough information to really know.

2 That is the low-dose rate events for the  
3 year. In terms of HDR, two prostate, two GYN, and  
4 one skin. And the HDR -- I'm not sure if this was  
5 the one that we got more detail about. It sounds  
6 similar but not exactly the same. So I'm not sure.  
7 And this was where the catheter position was wrong.  
8 It should have been entering in the vagina but it,  
9 instead, was on the patients thigh. So, obviously,  
10 it moved or something.

11 And the patient actually got a skin  
12 reaction so they modified their procedures going  
13 forward, I guess, to be sure the catheter is in the  
14 right place.

15 And one was an error in giving the wrong  
16 patient's plan to the patient.

17 And then there were three equipment  
18 failures. So, again, maybe these are -- what these  
19 are, I'm not sure. There was not as much information  
20 as what we heard before in NMED. And basically what  
21 I could ascertain or what Dr. Suh could ascertain was  
22 that they work with the manufacturers and in two cases  
23 they found the problem, it was fixed; and one actually  
24 no problem was found.

25 It's also not completely clear. In two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cases they eventually were able to complete the  
2 treatment but in the third, it's not completely clear  
3 what they did to make up for the partial treatment.

4 In terms of gamma knife events for the  
5 year, there were three. One was a right/left problem  
6 treatment of trigeminal neuralgia. So that is a very  
7 high dose. One was treatment was stopped to sedate  
8 the patient. And then they restarted the treatment  
9 and the patient moved. But it wasn't really clear  
10 exactly when exactly the patient had moved. But by  
11 the end, it was pretty clear the frame was not in the  
12 right position. So that was a significant medical  
13 event.

14 And then the third one, a frame adapter  
15 was in the wrong position, which displaced distance  
16 by two centimeters.

17 So this was a new adapter so they did  
18 some additional training to make sure that wouldn't  
19 happen again. And for treatment site they put in  
20 procedure modifications to make sure they wouldn't  
21 get left/right wrong again.

22 In terms of microspheres, so more events  
23 of this type, as usual. Just here breaking it down  
24 more for the purposes of -- there'll be a summary  
25 slide of all the events coming up but here just to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 give the two different types of spheres, looking for  
2 trends. And again, you know they flip-flop. Some  
3 years one looks like it is the more problematic one  
4 than the other. So I don't see any trend one over  
5 the other, just the general challenge of the therapy  
6 itself.

7 There were 19 events of wrong dose; 16  
8 were low -- I'm sorry -- 16 were wrong dose; 14 were  
9 low; two were high. The kinds of things we've seen  
10 in the past, obstruction of the tubing, some residual  
11 activity left, errors of liver calculations, activity  
12 calculations, residual activity, isotope left in the  
13 vile, or in the meter, or a leak.

14 Thought sometimes not really well  
15 explained, there was one case of a wrong patient,  
16 wrong dose, wrong site type.

17 There do not seem to be any clinical  
18 impact of any of these. Unfortunately, the lower  
19 dose one is generally the patients were then able to  
20 be retreated to get to the proper dose and the  
21 overdoses did not seem to impact the patients  
22 clinically.

23 In terms of radioactive seeds  
24 localization, so there were two events, potentially.  
25 That is, two things happened. In each case the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 radioactive seed was placed and surgery was scheduled  
2 appropriately but then because of a medical  
3 complication related to the patient, unrelated to the  
4 RSL itself or the procedure to place it, patients  
5 were not able to have surgery. So technically their  
6 breasts and surrounding tissues got a higher dose.

7 In one, the patient had a stroke and she  
8 was essentially never able to undergo. The other  
9 one, the patient got pneumonia, was very sick for a  
10 while but was eventually able to undergo breast  
11 surgery and removal of the seed, along with the tumor.

12 Interestingly, they assessed the dose  
13 issues slightly differently in the two reports. One  
14 goes to the length of trying to calculate dose to a  
15 point a centimeter away as a radiation oncologist  
16 would. In fact, they involved the radiation  
17 oncologist to do that. And the other one just does  
18 total breast dose a little bit more like a more  
19 general exposure kind of perspective.

20 I remember when we talked about RSL, we  
21 had this conversation about what's appropriate and  
22 what's important to measure and how doses close to  
23 the source can be quite high and those can have some  
24 consequences separate from whether the whole overall  
25 breast dose is high or not. So just kind of seeing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that play out in practice.

2 But it is, I guess, an issue whether these  
3 really are medical events. It depends on how we  
4 define patient intervention whether they should even  
5 be something that is required to be reported. And I  
6 guess we could maybe pick up on that later.

7 So just to give a kind of overview of the  
8 last four years, a little perspective on trends. We  
9 really don't see any, I don't think. Things go up  
10 and down slightly. Obviously, as we always say but  
11 unfortunately is always true, there is a huge number  
12 of procedures of all these types of procedures being  
13 performed and the number of events continues to be  
14 very low. Microspheres continue to be the most  
15 common, as we have seen before.

16 I would point out that just kind in the  
17 overview, to me, looking through all of them, there  
18 are a significant percentage, minority, where some  
19 type of time out thing seems to be what's lacking and  
20 that could address them.

21 And the other thing is microspheres. So  
22 if we were to think about well how can we try and  
23 drive this lower, those might be two areas for us to  
24 give some thought is there anything to be done in  
25 those two ways. But otherwise, I must say, given the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 number of procedures being done both therapeutically  
2 and diagnostically across the entire country in so  
3 many different settings, the number of events and  
4 their medical implications are remarkably low.

5 And with that, I will close.

6 CHAIRMAN ALDERSON: Thank you, Dr. Ennis.

7 Yes, Ms. Weil.

8 MEMBER WEIL: I have to say thank you for  
9 that report. I continue to be uncomfortable with the  
10 fact that these reports of medical events are so often  
11 incomplete in terms of event details, or the cause of  
12 the event, and the corrective actions that are taken.  
13 And yet there appears to be no sequelae for these  
14 incomplete reports. There's no request for more  
15 information frequently. They seem to be accepted at  
16 face value, which really diminishes the value of  
17 reporting events to NRC in terms of the educational  
18 value to the medical community of what might go wrong  
19 at your place. And I don't think it should be  
20 tolerated. I think we need to take a stand and make  
21 sure that these reports are useful in the way that  
22 they are intended to be.

23 CHAIRMAN ALDERSON: Do you want to  
24 comment? A comment from Dr. Dilsizian or Dr. Ennis?

25 MEMBER ENNIS: Frankly, I agree. I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 not sure -- I don't know if it's -- I guess maybe I  
2 would like to hear from NRC staff whether this is an  
3 execution of rule problem or a change in regulation  
4 rule would be needed to effect that.

5 CHAIRMAN ALDERSON: So that's thrown to  
6 the NRC staff. Does anyone wish to respond to that?

7 MR. BOLLOCK: Yes --

8 CHAIRMAN ALDERSON: Mr. Collins.

9 MR. COLLINS: Yes, this is Dan Collins  
10 from the NRC.

11 So you're right Ms. Weil, that reports  
12 often lack the information that we would need. So  
13 what happens is when the NRC inspector or if it's in  
14 an Agreement State, when their inspectors do the  
15 follow-up, through that follow-up process the Agency,  
16 whether it's the NRC or the Agreement State program  
17 will obtain the missing information.

18 So that doesn't get to I think your point  
19 about the quality of the actual reports themselves  
20 but the regulatory bodies do get the additional  
21 information needed to do the appropriate regulatory  
22 reviews.

23 MEMBER WEIL: But that doesn't address  
24 the second issue, which is the public value, the value  
25 of reporting these things publicly so that they are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 useful to other institutions.

2 MR. COLLINS: Keep in mind, though, that  
3 the inspection reports are public documents.

4 CHAIRMAN ALDERSON: Yes, Dr. Ennis.

5 MEMBER ENNIS: So if I am understanding  
6 correctly, so NMED will only have the first pass in  
7 additional information that is garnered. When the  
8 inspectors go back and ask for more information, it  
9 does not make it into NMED, so you're saying. Is  
10 that what I understand?

11 MR. COLLINS: It does make it into NMED  
12 or it should make it into NMED in the closeout  
13 process. And Doug can expound on that.

14 MR. BOLLOCK: Yes, they both, NRC and  
15 Agreement States go back and update the information  
16 in NMED. And there is a number of reasons for  
17 different levels of information. You know we like  
18 to have as much information as we can with the cause  
19 of the event and corrective actions. And just on an  
20 event by event basis, if the event really was -- if  
21 it was fairly simplistic human error, a lot of times  
22 they just say well, we corrected this initial thing.  
23 So, it depends on the level of the event and how much  
24 was involved a lot of times for what we'll get back  
25 for the information.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And on I guess some cases these events  
2 actually turn out when they do the investment of the  
3 licensee investigation and look into it, they go away.  
4 Sometimes they'll update that in an event  
5 notification, sometimes they won't. But this kind  
6 of a significant -- the more significant the event,  
7 the more follow-up we'll have, the more information  
8 we'll have. And you know there's always the minimum  
9 requirements and then some licensees are better than  
10 others -- they're not better but provide more  
11 information than others.

12           But we do, whether it's an immediate  
13 inspection or a follow on the periodic inspections,  
14 all of our inspectors and the Agreement States, they  
15 look at the events. They'll follow-up what the  
16 licensee has done and if they get new information,  
17 they feed back into the NMED.

18           CHAIRMAN ALDERSON: Dr. Howe had the next  
19 comment and then we'll go to Chris Palestro.

20           DR. HOWE: And just because this is what  
21 is currently required in the written report, which is  
22 after the initial 24-hour notification, and that is  
23 the licensee's name, the name of the prescribing  
24 physician, a brief description of the event, why the  
25 event occurred, the effect, if any, on the individuals

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 who received administration, and what actions, if  
2 any, have been taken or are planned to prevent  
3 recurrence, and certification that they've notified  
4 the patient or responsible rep of the party.

5 Now the other point is when I had the  
6 short forms of the medical events developed, at the  
7 bottom of each medical event we'll see references.  
8 And the references are for the inspection reports and  
9 for the subsequent reports being made by either NRC  
10 or the Agreement States. I will say that sometimes  
11 those references do not provide a lot of additional  
12 information but NMED looks at those references and  
13 puts that additional information that comes in into  
14 the NMED paragraph. And in some cases you'll see  
15 they've requested additional information but they  
16 haven't gotten it.

17 So I don't know if that helps any but  
18 that's the information they are required to give. We  
19 do provide you with the references at the bottom so  
20 if you want to look at those references, you can ask  
21 us and we can get those references for you.

22 CHAIRMAN ALDERSON: Dr. Palestro had a  
23 comment. Thank you, Doctor.

24 MEMBER PALESTRO: Yes, my question is if  
25 the information in NMED is updated periodically,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would it be more advantageous or more useful, instead  
2 of looking in this case say at the fiscal year 2016,  
3 that we had gone back and reviewed say fiscal year  
4 2015 or 2014, where that information might already  
5 have been updated and so many of the questions we're  
6 asking now might have been answered.

7 CHAIRMAN ALDERSON: Dr. Howe seems to  
8 want to answer that question.

9 DR. HOWE: What we did for a number of  
10 years is I presented the information in the fall  
11 meeting for the fiscal year that just ended. Now, I  
12 present the information in the spring meeting so that  
13 you have an additional six months after the event has  
14 occurred for additional information given to NMED.  
15 And then when you look at things for the fall meeting,  
16 there's additional months.

17 So, I don't think you're really going to  
18 get much more information on these events by going  
19 back a fiscal year before this because there is plenty  
20 of opportunity for licensees and Agreement States to  
21 get that information in to NMED and then to get it  
22 into the database, but you'll find sometimes the  
23 information is quite lacking.

24 CHAIRMAN ALDERSON: Are there any -- yes,  
25 Dr. Ennis has one.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER ENNIS: My other question for NRC  
2 staff is when and how does NRC involve a physician  
3 expert to help them sort through a medical event.

4                   MR. BOLLOCK: So we appoint a medical  
5 consultant. So we have a few medical consultants.  
6 Actually if we can't get a medical consultant, we can  
7 use ACMUI staff members, as needed, to review certain  
8 MEs. But, again, it's the more significant events  
9 that there was -- we believe there may be some  
10 permanent patient harm or some deterministic effects  
11 from the event. That's when we'll get a medical  
12 consultant involved. So that is a few times a year.  
13 It's not as common. Again, a lot of these events,  
14 as it turns out the patient -- there is no permanent  
15 patient harm. In a lot of cases, there is not even  
16 -- no reddening of the skin.

17                   It's, again, typically the more severe  
18 the event, the more significant the event, the more  
19 information we'll have. And the less significant the  
20 event, the more likely after the inspectors or whoever  
21 from the state that goes out but they just go with  
22 the licensee gives the minimum information and that's  
23 enough in that case.

24                   So again, typically the more significant  
25 the event, the more information we have.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: Yes, Dr. Langhorst.

2 MEMBER LANGHORST: I know that NRC is  
3 very good in posting licensee responses in the 15-day  
4 report for medical event reporting but how do you get  
5 to state reports? I mean do the States post this?  
6 Do the Agreement States post this? I mean I've never  
7 been able to find that.

8 MR. BOLLOCK: States report. So  
9 licensees in the States report to their State and the  
10 State reports to us. They share their information.

11 MEMBER LANGHORST: Correct. But is that  
12 posted on NRC's website?

13 MR. BOLLOCK: Yes.

14 MEMBER LANGHORST: And so how do you get  
15 to that?

16 MR. BOLLOCK: It's the same, the event  
17 notification page.

18 MEMBER LANGHORST: Right, the event  
19 notification is there.

20 MR. BOLLOCK: Right.

21 MEMBER LANGHORST: But all the subsequent  
22 reports, how do you get to those?

23 MR. BOLLOCK: So those would be like --

24 MEMBER LANGHORST: For Agreement States.

25 MR. BOLLOCK: -- you've heard about like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 an Agreement State inspection, things like that.  
2 That would be dependent on State to State. I believe  
3 most, if not all, are publicly available. We can --

4 MEMBER LANGHORST: How do you find those?

5 MR. BOLLOCK: Yes, so those, because it  
6 is a State regulator, it's their document. You'd  
7 have to reach out to the States to get those reports  
8 but they do --

9 MEMBER LANGHORST: But they don't  
10 necessarily post them like the NRC posts.

11 MR. BOLLOCK: Yes, I don't think so. I  
12 don't know that they have.

13 MEMBER LANGHORST: So it is very  
14 difficult for most of these medical event reports  
15 because most are coming from Agreement States. It's  
16 inconsistent and it's hard to get that information.

17 And so that's what's frustrating.

18 MR. BOLLOCK: Yes, the most consistent  
19 information is this report and Donna-Beth's report.  
20 So we actually share those on our public website. So  
21 after this meeting, in the next couple weeks, you'll  
22 see Dr. Ennis' report on our website. And then next  
23 spring you'll see Dr. Howe's presentation for the  
24 next years.

25 MEMBER LANGHORST: I will point out,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 again, very few -- very few errors in regard to how  
2 many procedures are done across this country.

3 MR. BOLLOCK: Yes, absolutely.

4 CHAIRMAN ALDERSON: All right. Yes, Dr.  
5 Dilsizian.

6 MEMBER DILSIZIAN: I wanted to kind of  
7 expand on that. You know every time I listen to  
8 these presentations, I'm kind of blown away with the  
9 low number of events, compared to the number of  
10 procedures. And we say oh, that's fantastic, we have  
11 great physicians out there.

12 But then the minute you kind of poked the  
13 hole a bit more, you always say that if the inspector  
14 reviewed previous records, there were six or seven  
15 more events that were not detected.

16 And that kind of seems to be the theme,  
17 which comes back to what is the best way to really  
18 turn on this. Now, we don't want to make it punitive  
19 and we also understand that the NRC inspectors are  
20 not physicians and they may not really get to  
21 understand this. It seems to come back to the whole  
22 peer review, QC/QA. For example, diagnostic imaging,  
23 300 studies of our peers determined if they were  
24 correct or not. I was wondering whether this really  
25 belongs not back to the peer physicians to review and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 just make sure that additional events were not there  
2 unrecognized.

3 CHAIRMAN ALDERSON: Comments on that?

4 MEMBER ENNIS: Well, I mean medical  
5 events are an NRC-regulated thing because it's  
6 radiation. So it just gets to that where is the line  
7 between medicine and whatever.

8 So I don't think we're going to really  
9 argue that point but we want to work I think to make  
10 this more effective and efficient.

11 CHAIRMAN ALDERSON: Yes, Mr. Green -- oh,  
12 I'm sorry.

13 MR. COLLINS: So this is Dan Collins from  
14 NRC NMSS again.

15 So it seems to me that one of the things  
16 that is still hanging from this conversation is how  
17 do we get at public availability of the event reports  
18 or information, particularly from the Agreement  
19 States.

20 So what I would suggest is, as an action  
21 item coming out of this meeting, the NRC staff will  
22 have a dialogue with the Organization of Agreement  
23 States to see if we can find a way to centralize the  
24 information coming out of Agreement State follow-up.

25 So, I can't promise on what the outcome

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would be but we are certainly happy to have that  
2 dialogue with OAS.

3 CHAIRMAN ALDERSON: That's good. Very  
4 good action. Yes.

5 Is that satisfactory with us moving  
6 forward? Good.

7 All right, one more comment and then  
8 we'll wrap this up.

9 MEMBER ENNIS: Just one more comment for  
10 NRC. In the decision-making that went into bringing  
11 on an expert, certainly where it looks like it's a  
12 significantly clinical event that seems quite  
13 appropriate. But I must say my sense is sometimes I  
14 can tell that like that should really not have  
15 happened. And fortunately, no big harm happened to  
16 the patient but I don't know how you would pick up on  
17 those but I guess just be aware that you may want to  
18 involve physicians sometimes where it doesn't make  
19 sense to you or it is not something that you've seen  
20 before. And even though there was no big harm, it  
21 may be a real problem or they just got lucky that  
22 there was no harm and getting a physician expert  
23 involved to weigh in to say oh, no, all right. I get  
24 how that happens; we've had that happen versus oh, my  
25 gosh, this shows a real lack of understanding of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 something -- just as another type of criteria when  
2 you want to bring in an expert.

3 MR. BOLLOCK: Yes, we do take that into  
4 consideration. We just had an event where we got  
5 actually two medical consultants involved, a  
6 physician and medical physicist because the  
7 inspectors, right away, were thinking that I mean it  
8 was -- so the NRC is mindful of that. We know we  
9 have those resources to use. We're not afraid and  
10 don't hesitate to use them. And even during the  
11 course of inspection you can add the medical  
12 consultant. You don't have to -- you know we can  
13 always add the resource as needed.

14 And our inspectors, I believe, are aware  
15 of that. In the case of three and a half years, let  
16 them -- our regional inspectors have not hesitated to  
17 do that.

18 And under the States, in some cases they  
19 actually have physicians on staff. You know they  
20 have their resources. And at one point, we've  
21 assisted. So I believe there is the awareness that  
22 that's a possibility is there. But again, you know  
23 it is really the more significant or even potentially.  
24 So when I say it is a significant event, it is  
25 actually a potentially significant event will have -

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 - we've had or utilized our medical consultants on  
2 those as well.

3 CHAIRMAN ALDERSON: Yes, Dr. Langhorst.

4 MEMBER LANGHORST: Dr. Alderson, I know  
5 that was the last comment but I just want to make one  
6 more and this I was made aware of recently.

7 The NRC published in June a NUREG-2170,  
8 which is entitled A Risk-Informed Approach to  
9 Understanding Human Error in Radiation Therapy. And  
10 a lot of discussion goes into what medical events  
11 have happened and have resulted from human error.

12 It is unfortunate that in that report  
13 they don't go into the total numbers - that the  
14 numbers are very low, but I think it's worth reading.  
15 And so I just want to make mention of that.

16 CHAIRMAN ALDERSON: So we'll add that  
17 reference into this discussion.

18 And it's been a good discussion,  
19 actually. And you know the really critical question  
20 about whether there is a systematic difference in the  
21 quality of these reports from the Agreement States  
22 versus the NRC states is a significant one. And Mr.  
23 Collins, you said that you're going to be following  
24 that up. So I think that's an excellent thing to do  
25 and the committee will look forward to that report.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Thank you very much.

2 Okay, I believe that brings the Medical  
3 Event Committee report to a close. And the next  
4 thing on the agenda, you'll happy to know, is a break.

5 So we'll go into break for the next 30  
6 minutes and we'll reconvene for 10:30.

7 (Whereupon, the above-entitled matter  
8 went off the record at 9:57 a.m. and resumed at 10:32  
9 a.m.)

10 CHAIRMAN ALDERSON: All right, let's take  
11 our seats so we can reconvene the session, please.

12 All right, the next section will be  
13 Medical Event Reporting and its Impacts on Safety  
14 Culture. This is the report by Dr. Langhorst of that  
15 subcommittee. Dr. Langhorst.

16 MEMBER LANGHORST: Thank you very much.  
17 First off, I'd like to thank my subcommittee. You  
18 guys are awesome to work with.

19 I also want to recognize the work that  
20 Frank Costello did and the help that Mr. Zoubir Ouhib  
21 gave our subcommittee on our interim report.

22 And a big thanks goes to Dr. Katie Tapp  
23 for her support of this work, too.

24 So as a reminder, our subcommittee's  
25 charge was to explore the impact of medical event

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reporting and its impact on self-reporting or  
2 licensees-patient safety culture; identify potential  
3 ways to improve effectiveness of self-reporting in  
4 support of a culture of safety; and suggest ways to  
5 share medical event reports and lessons learned with  
6 the medical community to promote safety. Pretty much  
7 what we have just been discussing.

8 At our April 2017 meeting, we presented  
9 an interim report giving a common perspective on: the  
10 fundamental principles of radiological protection;  
11 the NRC's regulatory history regarding patient  
12 safety; development of safety culture programs in the  
13 healthcare industry; and current patient safety  
14 groups influencing medical use of byproduct  
15 materials.

16 Our subcommittee was asked to provide  
17 this final report with specific options that the NRC  
18 may take to encourage a licensee's patient safety  
19 culture, while maintaining its regulatory authority  
20 to protect patients during medical use of byproduct  
21 materials.

22 From the ACMUI's discussion of our  
23 interim report last spring, there were these major  
24 topics: First, the NRC medical event reporting  
25 criteria are set at conservative levels, which NRC

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 describes as rarely causing patient harm. Other  
2 types of patient safety events, such as sentinel  
3 events reported to the Joint Commission typically  
4 require that a patient is harmed or is at identified  
5 risk of harm to reach the criteria before reporting  
6 that to the organization.

7 Reporting medical events to a federal  
8 agency like the NRC can trigger other reporting  
9 requirements, such as, in my case, we have to report  
10 to the Joint Commission and to our State agencies.

11 On insignificant events or events on par  
12 with patient safety events that normally would be  
13 evaluated in-house.

14 The next topic. Given that the medical  
15 events rarely cause patient harm, why is NRC  
16 notification required by the next calendar day and  
17 why is NRC quick to inspect looking for violations?

18 A licensee is not given time to review  
19 what has happened before notification and the quick  
20 inspection focuses initial attention on the narrow  
21 aspect of the NRC regulatory compliance, rather than  
22 on the overall process improvement to identify what  
23 were the precursors and how we prevent recurrence.

24 Another topic. In discussing  
25 alternative ways to look at improvements, patient

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 safety requirements under professional organization  
2 accreditation programs was brought up, such as the  
3 American College of Radiology or the ASTRO  
4 Accreditation programs. And those should be  
5 considered along with the patient safety  
6 organizations, and accrediting organizations  
7 discussed in our interim report.

8 Another point was raised by the NRC staff  
9 and suggested that the subcommittee explore the  
10 Reactor Oversight Process Program and the way in which  
11 the NRC and reactor community developed and tested  
12 this change in regulatory oversight for a possible  
13 way of implementing NRC medical event oversight  
14 improvements using our current regulations.

15 And finally, in developing our final  
16 report, the subcommittee was reminded of past ACMUI  
17 discussions in which the requirement to report  
18 medical events to the referring physician and the  
19 patient for most medical events serve no productive  
20 purpose and may be harmful. The reporting  
21 requirement can cause unnecessary patient worry.

22 With these topics in mind, the  
23 subcommittee worked to develop its recommendations  
24 and that is what we bring you today.

25 We know that changing Part 35 regulations

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 does not happen quickly and the NRC would not start  
2 another Part 35 rulemaking until the recently  
3 approved rulemaking is fully implemented. That's a  
4 few years from now.

5 So for the short-term, the subcommittee  
6 developed the following recommendation: establish  
7 and test a program to allow medical licensees to  
8 evaluate their own medical events, as described in  
9 35.3045, or described in 35.1000 licensing guidance,  
10 or also included is the 35.3047, which is the  
11 embryo/fetus dose with what we're calling an NRC-  
12 approved patient safety program.

13 An approved patient safety program can be  
14 any one or a combination of the following: a licensee  
15 patient safety program which commits to reporting to  
16 a patient safety organization approved under the  
17 health and human services regulation 42 CFR 3 and  
18 which has Part 35 expertise -- we have had ASTRO  
19 representatives speak to us about their PSO called  
20 RO-ILS and we've had Dr. Bruce Thomadsen speak about  
21 the Center for Advancement of Radiological Sciences  
22 or CARS PSO -- a licensee patient safety program  
23 evaluated by an accrediting organization approved by  
24 the Centers for Medicare and Medicaid Services, such  
25 as the Joint Commission or others mentioned in our

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       subcommittee interim report; or a licensee patient  
2       safety program which is established as part of  
3       accreditation by a professional organization for  
4       medical uses defined under Part 35.

5                An NRC-approved patient safety program  
6       would continue to report medical events required by  
7       the regulations, current regulations, with the  
8       following condition: the NRC would not include this  
9       event notification in the event notification report  
10      posted on its website. If this is not possible, then  
11      we suggest the medical event notification posted on  
12      the website would leave the licensee information and  
13      location anonymous.

14               The NRC will not conduct a reactive  
15      inspection of the medical event, unless the event  
16      results in or will likely result in death, unintended  
17      permanent harm, or unintended significant temporary  
18      harm for which medical intervention was or will be  
19      required to alleviate that harm or reduce the  
20      radiation effects.

21               The medical use licensee will write a  
22      report available for the next NRC inspection,  
23      describing the event cause and corrective actions  
24      taken.

25               The NRC will develop, with ACMUI advice,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 new temporary inspection procedures for the NRC  
2 review of licensee patient safety event report and  
3 will evaluate with the ACMUI advice needed to change  
4 enforcement, manual procedures regarding medical  
5 events to support a test of this program.

6 The NRC should test out this program, and  
7 we suggest, with two large medical centers, two  
8 community hospitals, two rural hospitals, and two  
9 patient clinics for a year and evaluate the medical  
10 event reports with the ACMUI. Now, this is to get a  
11 widespread sampling of licensees but we know with the  
12 low amount of medical events there are, it may not be  
13 a big sampling but at least it could test the program  
14 and the evaluation of them.

15 During this test period, the NRC, with  
16 advice from the ACMUI, should do the following:  
17 develop the minimum criteria for patient safety  
18 program reviews; that is, that the patient safety  
19 event and related issues are well-defined; the  
20 relevant facts and circumstances are identified and  
21 collected; and the findings and conclusions are  
22 identified and substantiated by the information and  
23 evidence associated with the medical event or  
24 incident. And I put in incident here because it may  
25 not be a medical event but it could be one of those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 precursors that you would like to see your  
2 organization review.

3 Causes and program weaknesses or  
4 shortcomings are identified for that patient safety  
5 incident and corrective actions taken and evaluation  
6 of past patient procedures to determine the extent of  
7 condition for similar patient safety incidents. And  
8 this is done by the patient safety -- the licensee's  
9 patient safety program.

10 Also during this test period, assess how  
11 this change in medical event reporting impacts the  
12 NRC's ability to protect the patient health and to  
13 minimize danger to the patient's life and that  
14 includes having access to that medical care; evaluate  
15 the different types of patient safety programs and  
16 how lessons learned from their patient safety  
17 incident reviews are shared with the medical  
18 community, just as we were talking earlier.

19 After the test period is completed, and  
20 we hopefully would assume successfully completed, the  
21 NRC should consider opening the program to all NRC  
22 medical use licensees who request approval of their  
23 patient safety program and to Agreement States who  
24 request to implement the program with their medical  
25 licensees. This program could continue until the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 long-term changes, including rulemaking on medical  
2 event reporting are completed.

3 The subcommittee next developed the  
4 following recommendations for long-term changes:  
5 medical use is different in that physicians expose  
6 patients purposely to radiation or radioactive  
7 materials to diagnose or treat injury or disease.  
8 The focus of NRC regulatory oversight and expertise  
9 on the medical use of byproduct material does not  
10 include the oversight of the practice of medicine.  
11 Regulator in the medical community continue to debate  
12 where that demarcation of NRC oversight of medical  
13 use ends and the practice of medicine begins.

14 At the heart of this debate is the intent  
15 by both the regulators and the medical community to  
16 support patient safety and deliver effective patient  
17 care.

18 Given the increased complexities  
19 associated with medical use of byproduct materials,  
20 especially in regard to therapeutic procedures and  
21 the development and sophistication of patient safety  
22 programs, we recommend the NRC take the following  
23 actions to modify the NRC medical use policy and  
24 medical use regulations and guidance. We suggest  
25 that they redefine NRC's perspective of patient

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 safety to be different from occupational safety and  
2 from public safety.

3 The NRC has departed from fundamental  
4 principles of radiation protection by setting patient  
5 dose limits; those in Part 35.3045 and 3047. The NRC  
6 has applied values of dose limits to patients, which  
7 are the same as occupational dose limits.

8 The NRC has explicitly stated that the  
9 Commission considers a patient to be a member of the  
10 public to be protected by the NRC. We believe the  
11 Commission should reevaluate its perspective on  
12 patient safety to be more in line with the fundamental  
13 principles of radiation protection and the ICRP  
14 exposure to category of occupational exposure, public  
15 exposures, and medical exposures of patients.

16 Due to its strong regulatory authority,  
17 the NRC has been a leader in shaping a licensee's  
18 positive safety culture. The NRC has considered its  
19 patient safety model as part of its public health and  
20 safety charge. The recent developments and  
21 sophistication of patient safety laws, regulations,  
22 and programs can be utilized by the NRC in reviewing  
23 patient safety events in sharing lessons learned in  
24 support of improved overall patient safety and  
25 medical outcomes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           We recommend that the NRC partner with  
2           the Department of Health and Human Services,  
3           especially their agency for Healthcare Research and  
4           Quality and with the ACMUI to develop a national  
5           database taxonomy specific for reporting patient  
6           events involving medical use of byproduct material.

7           The Health and Human Services is working  
8           through its agency for Healthcare Research and  
9           Quality to develop sets of common definitions and  
10          reporting formats, common formats they call them, for  
11          reporting on healthcare quality and patient safety,  
12          as directed by the Patient Safety Act. This is in  
13          order to facilitate the creation of and maintain a  
14          network of patient safety databases that provides an  
15          interactive evidence-based management resource for  
16          providers, patient safety organizations and other  
17          entities.

18          The NRC should explore partnering with  
19          the Health and Human Services and agency of Healthcare  
20          Research and Quality in developing a segment of that  
21          network of patient safety databases to which NRC  
22          medical use licensee patient safety programs would be  
23          required to report medical event information. The  
24          event taxonomy should include the criteria for which  
25          a licensee is required to report the event, both to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 the NRC and to the national database; that criteria  
2 for which the licensee is required to report to the  
3 national database; and the criteria for which the  
4 licensee is encouraged to report to the national  
5 database.

6 In addition, the taxonomy should define  
7 the minimum specific information required to be  
8 reported by the licensee to ensure the reports are  
9 interpretable and meaningful. The information shared  
10 with national database would be anonymous and used  
11 for the purpose of reducing errors by identifying  
12 causes of preventable errors, developing,  
13 demonstrating, and evaluating strategies for reducing  
14 errors and improving patient safety, and determining  
15 effective strategies for all medical licensees.

16 The NRC medical use regulations should  
17 continue to support patient safety by establishing  
18 the training and experience requirement for  
19 authorized personnel, equipment requirements,  
20 radiopharmaceutical and sealed source requirements,  
21 and medical radiation safety program requirements.

22 The NRC policy and regulations should  
23 update the requirements for the patient safety  
24 program to verify the active involvement of the  
25 licensee's patient safety program review of medical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 errors and reporting of these reviews to the national  
2 patient safety database.

3 This is the end of my talk but we would  
4 like to have Dr. Dilsizian give his portion of the  
5 catch to our report.

6 MEMBER DILSIZIAN: Thank you very much.  
7 Just to kind of follow-up because following our Part  
8 2, Patient Intervention Subcommittee report in the  
9 spring, we were charged for specifying how  
10 unintentional treatment outcome events would be  
11 reported to the NRC and how we can modify to be less  
12 punitive and more informative and educational, which  
13 is consistent with the current presentation.

14 So our subcommittee members involved Dr.  
15 Ennis, Dr. Suh, and Laura Weil. I just want to thank  
16 them. And we had a brief discussion and conversation  
17 of how to address this. The next slide, please.

18 Again, the topic that we were addressing  
19 previously was that the treatment outcome discussion  
20 was specified to Y-90 microsphere treatment and we  
21 expanded that to all treatments. And our discussion  
22 went to the unintentional treatment outcome, due to  
23 anatomic or physiologic anomalies and/or imaging  
24 uncertainties that fall into the category of either  
25 medicine or practice. And such reporting, since it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was unpredictable and unavoidable, and for three  
2 patient-specific events, it would not help to have,  
3 therefore, a regulation related to these topics and  
4 we recommended that this cannot be regulated.

5           However, the issue came down to -- next  
6 slide -- how do we report these events. And so what's  
7 new in our presentation now is to have definition of  
8 what is a high-impact event and what is a low-impact  
9 event and that only high-impact events should really  
10 require timely notification to the NRC to reactive  
11 inspection, and timely written reports. And the low  
12 impact events, perhaps, would not or should not  
13 require notification to NRC. Next slide.

14           So the low-impact events which, again,  
15 you have to define, would undergo self-evaluation and  
16 corrective action and reporting to the NRC-approved  
17 patient safety organizations that Sue outlined and  
18 accrediting organizations or institutional robust  
19 patient safety program -- next slide please -- while,  
20 ideally, only high-impact events should be reported  
21 and made public and so we can learn something from  
22 those events.

23           The low-impact events should be  
24 anonymous. Again, this was the issue about being  
25 punitive. If it's low-impact events, once it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 triggers to the NRC, it appears that you've done  
2 something major. If it's really a low-impact event,  
3 we feel that that should be anonymous to the licensee  
4 information and the location.

5 And that's all. Thank you very much.

6 CHAIRMAN ALDERSON: Thanks to both of  
7 you. These were very tightly related, so we decided  
8 we would just present them this particular way so  
9 that this can all be discussed together.

10 So at this particular point, these two  
11 reports now are open for discussion here in the room  
12 to the committee.

13 Yes, Dr. Zanzonico.

14 VICE CHAIRMAN ZANZONICO: Well, I wanted  
15 to thank the subcommittees for their work and really  
16 -- I wasn't part of that effort -- but really endorse  
17 what's being recommended, in particular,  
18 transitioning the issue of medical events and medical  
19 event reporting from a regulatory to a professional  
20 practice context where I think it actually belongs.  
21 And obviously, there is precedent for that within the  
22 NRC regulatory framework, with respect to training  
23 and education requirements. In that area the NRC  
24 essentially defers to certifying organizations, the  
25 professional organizations to establish training and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 experience requirements for practice in different  
2 subspecialties. And this seems to be consistent with  
3 that paragon in which the professional organizations,  
4 primarily, will define a significant or reportable  
5 medical event, rather than leaving it in a regulatory  
6 context. So I think that's an important kind of  
7 paradigm shift. And I just want to endorse that  
8 thought process.

9 CHAIRMAN ALDERSON: Thank you. Other  
10 comments?

11 I think that inherent to what Dr.  
12 Zanzonico just said and Dr. Dilsizian as well, there  
13 is this big issue of defining the difference between  
14 -- the thresholds for high and low. So inferred by  
15 these comments, then, you're suggesting that that  
16 definition would be ideally referred back to  
17 professional organizations. Is that what you're  
18 suggesting, that those professional organizations  
19 would then come back to this, to the ACMUI and to the  
20 NRC with a group of suggestions, of this is what we  
21 suggest and then those decisions then could be made  
22 here?

23 Is that --

24 VICE CHAIRMAN ZANZONICO: Yes, I mean to  
25 base the criteria for reportable event on what amounts

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to some arbitrary percent deviation from the  
2 administered activity of dose without consideration  
3 of the clinical impact, there is lack of logic in  
4 that. And doing what professional organizations and  
5 patient safety organizations offer or are interested  
6 in is how do these events, whatever you call them,  
7 impact clinical care, actually impact patient safety  
8 and so forth.

9 And so those seem to be the most relevant  
10 criteria, rather than what amount to arbitrary  
11 criteria for reportability.

12 CHAIRMAN ALDERSON: Okay. Yes, Dr.  
13 Langhorst.

14 MEMBER LANGHORST: I think that the NRC  
15 was almost the only game in town, at one point, as  
16 far as medical event reporting goes, and gave -- I  
17 mean we can tell by our medical event reports that  
18 Dr. Ennis gave earlier that there are not many of  
19 these errors that happen and that's because of the  
20 strong regulatory oversight by the NRC in regard to  
21 that.

22 But things have changed and it's obvious  
23 that the NRC does not -- they rely on us for this  
24 medical use advice and probably should rely more on  
25 the medical community and the professional

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 organizations in regard to this.

2 There is the Reactor Oversight process,  
3 the NEI, Nuclear Energy Institute, was crucial in  
4 helping define what were the categories and what was  
5 the structure kind of of that program, along with the  
6 NRC. And so I encourage the professional  
7 organizations to be involved not only with ACMUI but  
8 with the NRC on what could be put together for this  
9 type of program in the medical event reporting area.

10 I think it's important to come back to  
11 having a defined this is what you need to have in  
12 this report and have it be in a meaningful way, not  
13 only to other licensees but to members of the public  
14 as far as what you learn from this. And you can  
15 learn a lot from what they call near misses to help  
16 prevent some of these events from happening.

17 And when you're in a regulatory space,  
18 you report what you have to report and you work your  
19 program to make sure that you don't have to report  
20 that.

21 But to be able to report near misses and  
22 learn from other people's situations and have this  
23 data evaluated like by these PSOs or by your  
24 accrediting agencies, this can be really helpful to  
25 licensees across the country.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: Dr. Zanzonico.

2 VICE CHAIRMAN ZANZONICO: I just wanted  
3 to qualify my earlier comment. And it wasn't meant  
4 as a criticism of the NRC. I mean they filled and  
5 they fill a lot of the time a vacuum and we understand  
6 that, as regulators, they need inspectable criteria.  
7 And certainly the most straightforward and  
8 unambiguous inspectable criteria are quantitative  
9 based on metrics like activity and absorbed dose.  
10 But as Dr. Langhorst pointed out, there has been a  
11 cultural change in medical practice with the advent  
12 of PSOs and so forth, where there is a lot of  
13 introspection on patient safety and medical events  
14 and so forth. So that may be the vacuum that the NRC  
15 regulations once filled can now be better filled by  
16 things like PSOs and other professional  
17 organizations.

18 CHAIRMAN ALDERSON: Dr. Metter.

19 MEMBER METTER: I like the paradigm shift  
20 towards shifting the responsibility to more of the  
21 medical community but I would be concerned that some  
22 of these patient safety organizations, some of the  
23 accreditation organizations, that they should also  
24 have a person, a physician, or someone with expertise  
25 in the area that they're looking at because if, let's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 say, you have a patient safety maybe administrators  
2 or those individuals, that having someone on the  
3 committee who can actually look at the medical use  
4 and see the appropriateness of the medical use and  
5 what effect it would have on the patient.

6 So just with that caveat that you have  
7 actually the expertise to review what's being left  
8 out.

9 CHAIRMAN ALDERSON: Ms. Weil.

10 MEMBER WEIL: And I'd like to reiterate  
11 my often-stated position that we can't look at Centers  
12 of Excellence as the paradigm that exists everywhere  
13 and need to consider that whatever shift happens takes  
14 into account the smaller facilities and perhaps the  
15 ones without -- who don't make use of patient safety  
16 organizations so that those kinds of practice issues  
17 are captures a well.

18 CHAIRMAN ALDERSON: Okay. Other  
19 comments from the ACMUI? Other comments -- yes, Dr.  
20 Suh.

21 MEMBER SUH: So I like the direction that  
22 we're heading. I think that there has been a  
23 perception at the NRC that the report can be sometimes  
24 viewed punitively. So by having professional  
25 societies have a bigger role in terms of what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 constitutes a medical event, you move in the right  
2 direction.

3 And I think for many, for a physician,  
4 for instance, that if a professional society said  
5 well this was considered a low incident, this was  
6 considered a higher incident that would constitute a  
7 medical event, I think it would also provide  
8 psychological safety, which is a big word that we're  
9 using today in terms of making sure that you do the  
10 right thing for the right patient.

11 So I think moving from this punitive to  
12 more of a psychological safety, it would be actually  
13 a very good move for everyone involved and also would  
14 also -- I think it is also important studies have  
15 clearly shown it is important that you can keep a  
16 recording of these events, near misses. Doing a lot  
17 of near misses.

18 I can tell you at our institution we look  
19 at it very diligently and by looking at near misses,  
20 you prevent the big incident or medical event from  
21 occurring.

22 So I think you can point at if you have  
23 a culture where you feel safe to report these events  
24 but, again, I like the way that your report has been  
25 structured moving forward from perhaps a punitively

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 viewed perception to more of a proactive  
2 psychological safety approach.

3 CHAIRMAN ALDERSON: Thank you.

4 Other comments from the ACMUI? And are  
5 there other comments in the room, other than the  
6 ACMUI?

7 Yes, come to the microphone. Please  
8 identify yourself.

9 MS. TOMLINSON: Sure. Cindy Tomlinson  
10 from ASTRO. Dr. Alderson, I'm going to read a  
11 statement on behalf of ASTRO, if you don't mind.

12 CHAIRMAN ALDERSON: Please.

13 MS. TOMLINSON: Chairman Alderson,  
14 members of the ACMUI, and NRC staff, thank you for  
15 allowing me to provide this statement on medical event  
16 reporting and its impact on medical licensee,  
17 patient safety culture, on behalf of ASTRO, the  
18 American Society for Radiation Oncology.

19 ASTRO is the largest radiation oncology  
20 society in the world, with more than 10,000 members  
21 who specialize in treating patients with radiation  
22 therapies. As the leading organization in radiation  
23 oncology, biology, and physics, the society is  
24 dedicated to improving patient care through  
25 education, clinical practice, advancement of science,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and advocacy. ASTRO's highest priority has always  
2 been ensuring patients receive the safe most  
3 effective treatments.

4 ASTRO is pleased to support the  
5 recommendations offered by the subcommittee to  
6 promote a culture of safety for medical licensees.  
7 The progressive recommendations align with ASTRO's  
8 commitment to improving quality and safety in  
9 radiation oncology while, at the same time,  
10 maintaining NRC's regulatory authority to protect  
11 patients during medical use of byproduct materials.

12 We believe that both ASTRO's  
13 Accreditation Program for Excellence, also known as  
14 APEx and RO-ILS, the Radiation Oncology Incident  
15 Learning System, fulfill the spirit and the  
16 requirements set forth by the subcommittee.

17 The mission of APEx is to recognize  
18 facilities by objectively assessing the radiation  
19 oncology care team, policies and procedures, and the  
20 facility. APEx supports quality improvement and  
21 patient safety in radiation therapy practices. The  
22 APEx program establishes standards of performance  
23 derived from evidence-based guidelines and consensus  
24 statements for radiation oncology. Facilities that  
25 obtain APEx practice accreditation will have the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 systems, personnel, policies, and procedures that are  
2 needed to deliver safe, high-quality patient care.  
3 Obtaining APEX accreditation is a multi-step process,  
4 beginning with an application and contract, followed  
5 by a thorough self-assessment, including a robust  
6 medical record review and document upload of relevant  
7 processes, procedures, and other documents; a  
8 facility visit by radiation oncology professionals  
9 who are trained as APEX surveyors; and finally, a  
10 determination made by ASTRO's APEX Committee.

11 APEX was launched in February 2015 and,  
12 to date, has accredited 57 facilities with 192  
13 currently in the program. The APEX standards  
14 represent the cornerstone of the program. To develop  
15 the APEX program, ASTRO convened a task force made up  
16 of representatives from all disciplines within  
17 radiation oncology. The resulting standards were  
18 derived for an interdisciplinary, inclusive, and  
19 transparent process. Using the Safety is No  
20 Accident: A Framework for Quality Radiation Oncology  
21 and Care Consensus Report as a foundation, a  
22 comprehensive set of standards and evidence  
23 indicators was drafted and refined with a final set  
24 of standards approved by the ASTRO Board of Directors  
25 in January 2014.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The APEx standards identify systemic --  
2           systematic -- sorry -- systematic quality and safety  
3           approaches that build on and reinforce regulatory  
4           requirements to add value for practitioners and  
5           healthcare consumers. They are organized around five  
6           pillars: the process of care, the radiation oncology  
7           team, safety, quality management and assurance, and  
8           patient-centered care. The ASTRO standards translate  
9           the goals outlined by Safety is No Accident into  
10          objective, verifiable expectations for performance in  
11          radiation oncology practice.

12           Of the 16 APEx standards, the culture of  
13          safety standard specifically requires that the  
14          radiation oncology practice foster a culture in which  
15          all team members participate in assuring safety,  
16          capitalize on opportunities to improve safety, and  
17          does not take reprisals upon staff that report safety  
18          concerns. This standard ensures that the practice  
19          fosters a culture where learning from patient safety  
20          events and unsafe conditions is a part of the process  
21          of care and is a mandatory component of the program.  
22          We believe that the most effective way for facilities  
23          to take action on a safety event or unsafe condition  
24          is for them to take ownership of the corrective  
25          actions in a non-punitive environment.        The

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 facilities are in the best position to make changes  
2 and improve safety, since they are most familiar with  
3 their own processes and procedures. We are pleased  
4 that the NRC is embracing this approach to safety  
5 culture, especially when it comes to medical event  
6 reporting.

7 As the ACMUI members may recall from our  
8 October 2016 presentation, RO-ILS embodies the same  
9 ideals, albeit in a slightly different way. RO-ILS  
10 facilitates the collection and reporting of patient  
11 safety events from all participating facilities to  
12 make suggestions for change. The mission of RO-ILS  
13 is to facilitate safer and higher quality care in  
14 radiation oncology by providing a mechanism for  
15 shared learning in a secure, non-punitive  
16 environment. RO-ILS currently has more than 360  
17 facilities participating and close to 4,000 events  
18 submitted. To date, we have issued 11 quarterly  
19 reports and three years in review. The years in  
20 review described participation, aggregate data, and  
21 other activities accomplished in the past year.

22 The RO-ILS data elements collect, among  
23 other things, the type of radioisotope and equipment  
24 used, how and where the event was discovered, whether  
25 or not the event was systematic affecting local

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patients, the dose deviation between the planned  
2 course of treatment and the delivered dose, and the  
3 significance of the event as it relates to patient  
4 safety.

5 In addition, there are multiple free text  
6 questions that ask for details about the event,  
7 including a narrative of what happened, what might  
8 prevent future events, and what changes the facility  
9 has made in response to the event.

10 While important legal protections prevent  
11 RO-ILS from sharing reported information by a  
12 facility, the facility has the ability and is often  
13 required to share relevant information with the NRC  
14 and other federal and state regulators.

15 ASTRO applauds the subcommittee for their  
16 work to improve the safety culture of radiation  
17 oncology. We are committed to working with the ACMUI  
18 and the NRC on this recommendation and are happy to  
19 provide the ACMUI and the NRC with more information  
20 about the effects of RO-ILS and I'm happy to answer  
21 any questions you might have.

22 So one other quick thing. Ms. Weil, you  
23 mentioned sort of making sure the smaller clinics and  
24 other folks are participating. The majority of our  
25 RO-ILS participants are freestanding centers, not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 necessarily connected to an academic center.

2 CHAIRMAN ALDERSON: So are there comments  
3 now related to this presentation? Dr. Ennis.

4 MEMBER ENNIS: That was a great, Cindy.  
5 Could you just explain for us APEx, what someone who  
6 is accredited, a program that is accredited with APEx,  
7 like what specifically for error reporting, near-miss  
8 reporting, is required to report to APEx?

9 MS. TOMLINSON: So the APEx standards are  
10 we have Tier I standards and Tier II standards. And  
11 the Tier I standards, you have to have. The Tier II  
12 standards kind of give you like extra bonus points.  
13 That's the way it's been explained to me. And  
14 participating in a PSO, in a patient safety  
15 organization is one of those Tier II recommendations  
16 that it is recommended that you do that.

17 I'm not as familiar with APEx as I am  
18 with like RO-ILS but I do believe that in the document  
19 upload process, the processes with dealing with  
20 medical events and things of that nature are to be  
21 uploaded because the facilities need to comply with  
22 NRC requirements and other regulatory requirements.

23 Does that answer your question?

24 MEMBER ENNIS: Yes.

25 CHAIRMAN ALDERSON: I believe that Mr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Collins has a comment that he would like to make.

2 MR. COLLINS: Yes. So I just -- thank  
3 you, Dr. Alderson.

4 I just would like to offer a couple of  
5 thoughts for consideration. So it seems to me, and  
6 this isn't the first time this has come up, but when  
7 we get into the discussions about the medical event  
8 reporting requirements that the NRC has, that  
9 sometimes folks get confused about whether or not  
10 those medical event reporting requirements are  
11 somehow based on an assessment of efficacy of the  
12 medical treatment or the impact -- the medical impact  
13 on the patient, when that's not really the case.

14 You know medical event reporting  
15 requirements that we have are really looking at  
16 radiological safety both for the patient, for the  
17 facility staff, and for other members of the public  
18 who might be nearby or somehow otherwise impacted.  
19 So I would just like for us to keep that in mind.

20 And the other thought is, and it's not  
21 clear to me whether or not the recommendations that  
22 you're thinking about are to go to something that is  
23 truly analogous to the reactor oversight process or  
24 is it something that is just kind of drawing insights  
25 from it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And the reason why I bring this up is  
2 because if you really want to go to something truly  
3 analogous to it, you wind up with a program that is  
4 far more complex, based in probabilistic risk  
5 assessments, methodologies, and performance  
6 indicators that apply to licensees. And I will tell  
7 you from my time in the reactor world when there were  
8 issues about whether or not there was a violation or  
9 a licensee was out of compliance, we have had  
10 experience with very lengthy back and forth dialogues  
11 with some licensees trying -- just arguing over the  
12 risk numbers.

13                   So just I'm bringing that up for  
14 everybody's awareness, just for you to think about as  
15 you are finalizing your recommendation.

16                   CHAIRMAN ALDERSON:       Dr. Langhorst,  
17 please comment.

18                   MEMBER LANGHORST:   Thank you very much  
19 for that caution. And yes, I did talk to some of my  
20 reactor connections and no, we don't want it anyway  
21 like that program. But it was an example of the NRC  
22 and the regulated community looking at how do we do  
23 something different. Can we develop a program to  
24 test it out? And how do we get to that point and  
25 what are its goals? That gave me great comfort that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the NRC had done something like that.

2 In the reactor world, you have loads of  
3 experts on your staff. How many physicians do you  
4 have on your staff?

5 MR. COLLINS: They're all in this room  
6 right now.

7 MEMBER LANGHORST: They're all in this  
8 room right now. And so it can't be the same.

9 I will also state that -- and please, my  
10 medical physics friends, please don't get mad at me  
11 but medical physicists, as with the physicians, try  
12 to treat toward the perfect, which is what you want  
13 to give your patient. That's not how it can be  
14 regulated. That's why D-90s are a physics term. In  
15 no way should they be a regulatory term because that's  
16 not appropriate.

17 So how do you make the regulations fit  
18 what you're trying to do? And one of the things that  
19 we want the NRC to look at is that patient safety is  
20 different from occupational safety and it's different  
21 from public safety. And we want that to be  
22 considered.

23 We know things can't change quickly and  
24 so we offered up a suggestion that's not easy either  
25 to work with the current regulations and be able to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have a program that can take advantage of this  
2 development of patients safety programs and these  
3 different ways that that information can get out to  
4 help patient safety programs at all levels and in all  
5 areas of the phone tree.

6 So thank you again for that caution but  
7 I do know we don't want to make it like the reactor  
8 oversight process.

9 CHAIRMAN ALDERSON: Dr. Metter.

10 MEMBER METTER: I liked your test program  
11 for the NRC patient safety that you proposed. And  
12 the two large hospital, medical centers, and the  
13 different categories you have I was just wondering  
14 would you consider maybe one of the large hospitals  
15 and community hospitals in each category maybe one  
16 being from an NRC system and one being from an  
17 Agreement.

18 MEMBER LANGHORST: We stuck with NRC  
19 because the NRC has control over the NRC. Sometimes  
20 you may not feel that way but that's why we focused  
21 on NRC right now.

22 MEMBER METTER: But I was wondering if  
23 you would open it up. I mean there might be some  
24 Agreement States who may want to be one of the centers  
25 that you have mentioned. I'm just putting that out.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: Yes, Dr. Ennis.

2 MEMBER ENNIS: Well, like we said, we  
3 have issues we'll have issues we have to sort through  
4 but one that gets to a bit of Laura's comment from  
5 slightly different angles. Somehow making sure  
6 through this process that the data gets aggregated is  
7 important. I think if we are going to make a big  
8 change like this, there is a lot to be gained by that  
9 and without that component, there's a lot to be lost,  
10 particularly at the smaller facilities who, in some  
11 situations, may actually have the most to gain from  
12 the educational process, not having as much oversight  
13 and other people to critique you on an ongoing basis  
14 if you have. But if it evolved to a situation where  
15 every site was just having his own or her own quality  
16 improvement program and it ends there, that would be  
17 a step backwards. So some way of making sure the  
18 data gets aggregated.

19 CHAIRMAN ALDERSON: So I've got a couple  
20 of comments that I would like to make using the  
21 chair's prerogative but before I do that, I'm going  
22 to ask once more are there any other comments here in  
23 the room. We haven't heard anyone else want to speak.  
24 No one is coming forward.

25 Is there anyone on the open phone line

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that wants to speak to this particular issue?

2 So hearing none and having no one come  
3 forward, then I would like to make a couple of  
4 comments.

5 There are a number of things that we  
6 probably should decide at this meeting. The very  
7 first one is at a conceptual basis. Forget all of  
8 the details. At a conceptual basis, does the ACMUI  
9 support this idea? That's the first thing. Because  
10 if that's not true, well then, the rest doesn't really  
11 matter.

12 So I'm going to follow that up with some  
13 other questions of that type but let's dwell on that  
14 one for a minute. So at a conceptual basis, I would  
15 like the ACMUI to comment or make a motion about the  
16 fact that we support or do not support this concept  
17 of developing -- attempting to develop a new kind of  
18 safety culture.

19 Comments? Yes, Dr. Metter.

20 MEMBER METTER: I move that the ACMUI  
21 support the concept of a patient safety culture.

22 CHAIRMAN ALDERSON: Is there a second?  
23 There is.

24 Is there discussion? Hearing none, we'll  
25 call the question. All those in favor, raise your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 hand.

2 VICE CHAIRMAN ZANZONICO: Just can I ask  
3 a clarification?

4 CHAIRMAN ALDERSON: Yes.

5 VICE CHAIRMAN ZANZONICO: I understand  
6 the motion, as it was verbalized, but does that  
7 essentially mean we're endorsing the subcommittee  
8 report?

9 CHAIRMAN ALDERSON: No, we didn't say we  
10 were endorsing the subcommittee report.

11 VICE CHAIRMAN ZANZONICO: No, okay.  
12 That's what I wanted to understand.

13 CHAIRMAN ALDERSON: We're just endorsing  
14 the concept of this.

15 VICE CHAIRMAN ZANZONICO: Okay.

16 CHAIRMAN ALDERSON: So, again, people  
17 raise their hand. I think everyone's hand was up.  
18 Yes, everyone's hand. So, that's unanimous.

19 So that's expected. I expected a thank  
20 you for that motion.

21 And now you get into some of the more  
22 interesting problems. So, a question that would be,  
23 in part, relevant to what you asked, I want to ask  
24 the NRC, I mean given the short-term we'll call it  
25 pilot program that's been suggested as part of this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 report, is that feasible? I mean could that be done?  
2 Could the NRC work with the ACMUI to do that?

3 I don't know the answer to that question.  
4 Is that possible?

5 MR. BOLLOCK: It's possible. Timeliness  
6 and -- just the timeliness would be a challenge just  
7 because of our resources. I mean this would -- to  
8 even help come up with this program and monitor, and  
9 then I know part of the recommendation is to come  
10 after they pilot it for a year, a certain amount of  
11 time, and then setting up our goals for success and  
12 seeing if we need those, and that evaluation. That  
13 all takes, I mean that's my staff and there is six of  
14 them right here. That's all we've got right now.

15 CHAIRMAN ALDERSON: Right.

16 MR. BOLLOCK: So that really is our  
17 biggest challenge for this.

18 CHAIRMAN ALDERSON: Right.

19 MR. BOLLOCK: Again, not saying that we  
20 couldn't do this, or shouldn't do this, or at least  
21 consider it and looking at your report and what you  
22 want, we would have to go through and consider  
23 feasibility and how much resource-wise to get back to  
24 you all to give our estimates. Again, it's just  
25 really the resources is the limiting factor for us.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN ALDERSON:     Right.     So the  
2                   essence of the answer, which is the answer that we  
3                   all expected, it's possible but it's complex and it  
4                   would be difficult.  It could be done but it will be  
5                   complex to do it.

6                   MR. BOLLOCK:    Right and I was just kind  
7                   of taking notes going through as Dr. Langhorst was  
8                   presenting.  I have seen the draft report and Katie's  
9                   been involved so we're aware of some of the thoughts  
10                  from the subcommittee.  And just what would each one  
11                  of the recommendations or subsets of the  
12                  recommendations, what are the take resource-wise,  
13                  time-wise.  Some of the things we're talking you know  
14                  changes to our management directives, which is  
15                  challenging enough but that's fairly -- some of them  
16                  there are changes to our regulations.  Some of them  
17                  are just coordinating with HHS and kind of figuring  
18                  that out.  There is varying levels of resources  
19                  needed and time to evaluate and getting back to you.

20                  Whatever we do, we would have to -- I  
21                  think there may be continued dialogue between us and  
22                  the ACMUI to see what -- where we kind of get the  
23                  most bang for the buck in each one of the  
24                  recommendations.

25                  CHAIRMAN ALDERSON:    Now, can this sort

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of program -- I'm just still trying to clarify in my  
2 own mind how this would go forward. Can this sort  
3 of program be done without a Federal Register notice?  
4 Is this some kind of like a research that you can  
5 just do between the NRC and the ACMUI or does this  
6 result in a notice in the Federal Register and then  
7 that gets into another whole area?

8 MR. BOLLOCK: For the pilot program?

9 CHAIRMAN ALDERSON: Right.

10 MR. BOLLOCK: Not necessarily.

11 CHAIRMAN ALDERSON: Okay, not  
12 necessarily.

13 MR. BOLLOCK: We could do that  
14 internally. However, to get to fully implement all  
15 of the recommendations --

16 CHAIRMAN ALDERSON: Oh, yes.

17 MR. BOLLOCK: -- it would, absolutely.

18 CHAIRMAN ALDERSON: It would absolutely.

19 MR. BOLLOCK: And again, there are some  
20 rule changes that would be needed. I mean right now  
21 we require to have the licensee's name of the  
22 physician. So we would have to make --

23 CHAIRMAN ALDERSON: I'm simply asking if  
24 we did this pilot program, as it is proposed, can you  
25 do that outside of the full you know disclosure,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Federal Register rut.

2 MR. COLLINS: So at the very least we  
3 would have to put together what the pilot program  
4 looks like and we would probably have to write a paper  
5 to the Commission and seek their approval to be able  
6 to move forward with that.

7 CHAIRMAN ALDERSON: Okay.

8 MR. COLLINS: Whether or not there would  
9 be a Federal Register notice, we would have to work  
10 that out in the process of development.

11 CHAIRMAN ALDERSON: And the reason I'm  
12 asking is not because -- I mean ultimately, you want  
13 to let everyone know what you're doing but the Federal  
14 Register would bring us in a whole other series of  
15 thoughts like well, who wants to be involved. Well,  
16 ASTRO wants to be involved. I don't know where the  
17 lady from ASTRO went but she's over there. But you  
18 know and what about the Society of Nuclear Medicine,  
19 and the American College of Radiology, and so on, and  
20 so on, and so on? Because everybody would sort of  
21 think that well, for their constituents they want  
22 their safety program to be considered and so on. So  
23 it gets very big and very complex in a hurry.

24 MR. BOLLOCK: Yes, there's a lot of  
25 outreach needed to -- you know just looking at this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to be successful in any way, it would take a lot of  
2 outreach.

3 CHAIRMAN ALDERSON: Right. Now if the  
4 Commission were to say this looks interesting and  
5 it's research and we think that in a very pilot small  
6 program like this we could do this, then it still is  
7 complex as the difficulties of the workload and what  
8 have you but it can move. If it has to go the other  
9 route, then you really have to consider a whole larger  
10 type of administrative public consideration.

11 Dr. Howe would like to comment.

12 DR. HOWE: I think Sue hit on one of the  
13 major points here and that is that we have very few  
14 medical events. We have very few medical events in  
15 NRC States because there is less than them than  
16 Agreement States. And so you could set up your pilot  
17 and set up your structure but you may not be able to  
18 test it because you may not have any medical events  
19 to run through to see if the pilot works. And I  
20 think that's something you have to keep in mind.

21 CHAIRMAN ALDERSON: Very good comment.  
22 That was a very good comment. It would be a very  
23 small sample of very rare events. You wind up with  
24 nothing.

25 MEMBER LANGHORST: I would argue that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 The PSOs currently -- I'm going to just use the PSOs  
2 right now -- all of that reporting is voluntary. And  
3 I know that gives the NRC pause but maybe in  
4 combination with NRC, this is a different route to go  
5 that reporting has to be done, if you choose the PSO  
6 route. And that could help develop that program and  
7 get more information out, in particular, on the non-  
8 medical event reviews.

9 Now, obviously, the NRC can't require a  
10 licensee to report everything. It has to be what  
11 they have currently in their regulations. But that  
12 could encourage that and if NRC could help support  
13 that effort because medical use is different, that's  
14 what we're asking. And if it was easy, we would  
15 already be doing it.

16 CHAIRMAN ALDERSON: Yes.

17 MEMBER LANGHORST: So please don't say  
18 because it involves Federal Register, that's on down  
19 the road. But I would hope what we're doing now is  
20 not the greatest because NRC's reporting criteria is  
21 so very low. Other patient event criteria is not as  
22 low and there is an inconsistency. Inconsistencies  
23 in safety culture don't work very well. And so we're  
24 trying to see is there a way to utilize patient safety  
25 programs, as they have been developing, and maybe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 even strengthen that as we go forward in regard to  
2 what NRC regulates for the medical use of byproduct  
3 material.

4 CHAIRMAN ALDERSON: Now there's another  
5 -- I understand that and there is another way that  
6 one could go about this. And this was kind of what  
7 I was moving through in my own mind as I was going  
8 through these questions.

9 Rather than doing a short-term pilot,  
10 which has some of the issues that we've all discussed,  
11 another thing that you could do would be to -- given  
12 that the concept of moving the medical event program  
13 to a safety culture space does seem to be broadly  
14 supported. You could go immediately -- I'll probably  
15 get fouled up in my Federal Register now, which is  
16 pretty minimal here, but immediately to an  
17 announcement in the register, which would be  
18 something like what we would call in academia an RFP,  
19 a request for proposal, and say that it has been  
20 determined that it would be positive for medical event  
21 reporting to move to a safety culture. We know that  
22 there are many organizations, ASTRO among them, but  
23 you wouldn't say that, but there are many  
24 organizations that have such programs in existence  
25 today. And so we invite interested organizations to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 submit to the NRC and to the ACMUI a proposal for  
2 such a program. And one or more of such programs may  
3 in fact be approved at some time in the future if  
4 these programs are deemed acceptable. Something like  
5 that.

6 And so you just jump into the second part  
7 and then you outsource the whole thing to these other  
8 organizations.

9 Yes, make a comment.

10 MS. TOMLINSON: Cindy Tomlinson from  
11 ASTRO.

12 Dr. Langhorst, I just wanted to mention  
13 you were talking about near-misses and things of that  
14 nature. In RO-ILS, and I don't have the numbers in  
15 front of me and I apologize for that, but the majority  
16 of our events that are reported are considered to be  
17 near-misses. So things that could potentially reach  
18 the patient but they are caught in time that cause no  
19 patient harm and that would not rise to the level of  
20 reporting to the NRC.

21 And that's what you want to see. You  
22 want to see a lot more near-misses, a lot fewer what  
23 you guys would determine to be medical events, and  
24 that things are being caught early on in the QA  
25 process. So just showing that QA processes are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 working and that things are being caught long before  
2 they even reach the patient.

3 And what I'll do is, Dr. Alderson, I will  
4 get to Sophie some -- most of the more recent data,  
5 our year in review, and a copy of my statement so  
6 that it can be disseminated to the committee.

7 CHAIRMAN ALDERSON: That's very good.

8 MS. TOMLINSON: And I apologize for not  
9 doing that anyway.

10 CHAIRMAN ALDERSON: So to summarize what  
11 we just went through the last couple of minutes, I  
12 hope no one misunderstood my comments. They were  
13 very positive about the concept. I was trying to  
14 figure out how to get through the tangles we usually  
15 get into and get to a solution. So to really make  
16 it -- to reduce it to some very short words, it's  
17 sort of one approach is pilot it and the other  
18 approach, the one I just suggested, was outsource it.  
19 And there is a lot of details that go into getting to  
20 both of those two places.

21 I don't know if anyone wants to suggest  
22 -- but if you don't make some sort of a decision like  
23 that, what I think will happen to a very well-  
24 intentioned program is it will eventually sort of  
25 fritter away and they'll say Dr. Langhorst had a great

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 idea back there in 2017.

2 MR. BOLLOCK: Dr. Alderson, just to that  
3 point, I think even if we did pilot it with a number  
4 of these organizations, if they're using some other  
5 PSO, outside organization other than their own  
6 patient safety program, they're not -- I guess like  
7 a large institution has a staff and the knowledge and  
8 wherewithal, they can do -- I believe what the  
9 suggestion is they could do their own reviews of these  
10 but those that can't send them to another  
11 organization.

12 Because I know one of the slides was  
13 talking about substantiating incident, defining it,  
14 relevant facts and circumstances, looking at the  
15 finding and conclusions, identify that it's  
16 substantiated, and looking at the cause program  
17 weaknesses or shortcomings. That to me sounds all  
18 internal. So that is a -- I mean that is resources  
19 for each licensee would have to be doing that. Even  
20 if they do use a RO-ILS, right, that's an information  
21 sharing platform as far as I know, is there some  
22 feedback from that? Is there a part of RO-ILS that  
23 reviews that and would send it back?

24 And if there are, even if we do pilot it  
25 and they decide to use one of those, we would have to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 still look at that and do our evaluation and say does  
2 this meet that.

3 So it's not necessarily a "we pilot it  
4 for you." We would need to look at whatever the  
5 pilot is using to evaluate does this meet the intent,  
6 does it meet the purpose of our regulations, and what  
7 Dr. Langhorst's subcommittee is saying.

8 CHAIRMAN ALDERSON: So another and third  
9 -- I'm sorry. Did you have another comment?

10 MS. TOMLINSON: Oh, I was going to answer  
11 Mr. Bollock's question.

12 So Cindy Tomlinson with ASTRO. So  
13 because it is a PSO, RO-ILS itself cannot share  
14 information pertinent to a licensee with NRC. The  
15 licensee, however, can show you whatever they would  
16 like to. There might be some slight legal anomalies  
17 in there but yes, the licensee can show the NRC  
18 inspector, hey, this is the stuff that we've reported  
19 in the last year. They can do analysis on their own  
20 data. They do not have access to other licensees'  
21 data, so other participants' data.

22 What happens is quarterly we have an  
23 advisory council that is representative of basically  
24 the entire practice team -- we're missing a nurse so  
25 we know we need nurses who might be interested. That

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would be awesome -- that reviews the data quarterly  
2 and then produces quarterly reports back to the  
3 community. So it's not a quarterly report on say Dr.  
4 Suh's clinic. It's a report on all of the aggregate  
5 data that goes back out to the community.

6 So that's how our participants get their  
7 feedback but, again, they are able to take a look at  
8 their own data, do analysis on their own data, and  
9 make changes based on their own data.

10 So it is sort of a multi-step kind of  
11 process, multi-tier process but it wouldn't be -- but  
12 we wouldn't report back to -- like if Dr. Suh's clinic  
13 submits to RO-ILS, we wouldn't then tell NRC like  
14 hey, Dr. Suh submitted to RO-ILS. It would be the  
15 clinic saying we are participating in RO-ILS, and  
16 this is my interpretation, at least, of this  
17 recommendation, is that the clinic is participating  
18 in these patient safety programs, whether it's an  
19 accreditation program, or RO-ILS, or another PSO and  
20 we are using that data and the data and the  
21 information they are getting back from the program to  
22 make their clinic safer.

23 MR. BOLLOCK: Okay, I think I understand  
24 that, that it's not necessarily that it would be  
25 shared with us it's more does RO-ILS receive their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information and who is doing -- I mean part of what  
2 is presented in this patient safety program is looking  
3 at the event, kind of that analysis of the event, and  
4 so then there can be a cause --

5 MS. TOMLINSON: And there is feedback.

6 MR. BOLLOCK: Well, okay, so there is.  
7 Yes, I thought there was some feedback.

8 MS. TOMLINSON: There is the quarterly  
9 report feedback to the whole community. But the  
10 clinics, there is no -- RO-ILS doesn't have any power  
11 to look at every clinic's specific stuff and then  
12 send it back to them.

13 MR. BOLLOCK: Right, okay.

14 MS. TOMLINSON: The clinic, however, can  
15 do it themselves. They can look at their own data.  
16 We look at the aggregate data and find trends and  
17 things of that nature and submit it back to you.

18 MR. BOLLOCK: Yes, I was going to say --

19 MS. TOMLINSON: Now, the thing is I will  
20 say that the trends that we might be finding might  
21 not be relevant to NRC because remember we are also  
22 collecting data from the x-ray side. It's not just  
23 on the materials side. So there might not be and I  
24 haven't looked at the data recently enough to tell  
25 you any numbers on the material side and I can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 certainly get that to Sophie and give it to you all  
2 later.

3 But so that's how that works. I can't  
4 speak for other agencies or organizations but the  
5 radiation oncology realm we are using and it's both  
6 sides of the coin. So most of our stuff is linear  
7 accelerator related because that's the majority of  
8 what we do.

9 CHAIRMAN ALDERSON: Thank you. So given  
10 the complexity of this, I mean if you imagine that  
11 the complexity of it as we have now discussed it out,  
12 it seems that we might be back to Pat's comment, that  
13 perhaps it is time to formally say that we do or do  
14 not approve this report, which is a more formal action  
15 than just conceptually agreeing with the idea.

16 And if we agree with the report, if we  
17 support the report, then either this Committee or  
18 some other Committee -- this is going to be a longer  
19 term issue. This is going to have to continue to go  
20 forward and we're going to have subsequent work and  
21 reports back to try to develop a really practical way  
22 that something can be done with this.

23 Well anyway, so I'm suggesting and Dr.  
24 Langhorst will comment, I'm suggesting perhaps that  
25 we go now to the step of formally approving the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Committee report.

2 Dr. Langhorst.

3 MEMBER LANGHORST: No, go ahead.

4 CHAIRMAN ALDERSON: All right. Well,  
5 would someone like to make that motion?

6 MEMBER DILSIZIAN: I have a question.

7 CHAIRMAN ALDERSON: Yes.

8 MEMBER DILSIZIAN: I guess before we vote  
9 on it, you know I am part of the Committee and I just  
10 didn't think about it that the data over a one-year  
11 period, as Donna brought up, may not be sufficient.

12 I think that's important because it's not  
13 the concept alone. Is it is practical enough to use  
14 several, a few centers who may not have enough data  
15 to actually conclude. So I think it's worth  
16 discussing that before voting.

17 CHAIRMAN ALDERSON: Okay. So, let's  
18 discuss that question, then. I mean that's Dr.  
19 Dilsizian wants us to discuss because he's concerned  
20 about the report, which concludes that pilot project,  
21 that that may not really be feasible.

22 Yes.

23 MR. GREEN: I like the suggestion you  
24 made of doing an RFP. Ask the community to step  
25 forward. Now whether the professional societies of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 SNMMI or ASTRO, you know I'm aware of four  
2 accreditation bodies, AOA, DNV, the Joint Commission,  
3 and one more I can't remember the acronym for, they  
4 don't really have expertise in radiology in this  
5 field. But if we submit a request for RFPs, I think  
6 they'll develop it. And then that rising tide will  
7 improve patient care across the spectrum, no matter  
8 whether a hospital is accredited by this provider or  
9 that provider, or whether a clinic that doesn't have  
10 accreditation might have participants who belong to  
11 a professional society.

12 I think there's a great deal of value in  
13 doing the RFP, rather than the trial process because  
14 you might just come out with zero. You know millions  
15 of diagnostic doses, 15 million per annum and you  
16 have under ten. So, I think the RFP has merit.

17 CHAIRMAN ALDERSON: Dr. Ennis will be  
18 next.

19 MEMBER ENNIS: I feel like I need some  
20 feedback and maybe some time but from NRC staff on  
21 this. I think our thinking about proposing this was  
22 a baby step to get everyone comfortable with the  
23 concept. And the other route is more of an all or  
24 nothing kind of approach. So I think we need to hear  
25 from NRC is a baby step really where you want to go

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 first, if you're going to need a bigger baby step.  
2 Maybe we need to do ten facilities of each type and  
3 two years. We don't have to get locked in to two  
4 facilities for one year. But I think  
5 conceptually like we heard Pat talked before, let's  
6 talk a little bit about we need to do a baby step  
7 trial project and then we can tweak how many years  
8 and how many facilities or do we just want to develop  
9 the program.

10 MR. COLLINS: So yes, this is Dan Collins  
11 from the NRC. I would suggest it would be best if  
12 we were to take whatever the concept is and provide  
13 that to the Commission with the recommendation and  
14 get their approval to move forward on it before we  
15 start down the road of getting an RFP or something  
16 similar because not only are the resources much more  
17 intense for doing that but then we're going to go to  
18 any outside organization. And you're starting off  
19 with the full public comment thing.

20 And that's not necessarily a bad thing  
21 but in terms of just being able to manage the program  
22 and expectations I think the first place to start is  
23 with concept and getting the Commission's approval  
24 first to start.

25 CHAIRMAN ALDERSON: So the idea of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 concepts and Commission approval is on the side of  
2 approving the report because the report includes this  
3 pilot project. And then the next step after that,  
4 if that happens would be the yes, all right, that  
5 this is put together and then presented internally to  
6 the Commission to say well, can we or could we do  
7 this. And the answer may come back no.

8 There's a hand over here somewhere. Yes,  
9 Katie Tapp.

10 DR. TAPP: This is Katie Tapp. I think  
11 Sophie will correct me if I'm wrong but you can vote  
12 with an amendment to the report to say not two  
13 facilities but the number of facilities and the number  
14 of years will be evaluated and looked at, whatever  
15 the motion would be. But you can amend that in the  
16 report and vote on the report, as Dr. Ennis said,  
17 with this different value than just two.

18 CHAIRMAN ALDERSON: Okay, yes, Dr. Ennis  
19 again.

20 MEMBER ENNIS: Along those lines, one of  
21 the things that we think we can get that maybe will  
22 be in this direction is the near-miss issue. So then  
23 there'll be a lot more events, we all think, than  
24 what's being reported. Even if all the medical  
25 events out there are actually being reported, if we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 call near-misses into this, I don't know how we go  
2 about that but, again, that would strengthen the case  
3 why this is a good way to go, number one, and also  
4 give us more evidence that this approach is working,  
5 if we are including near-misses in this pilot program.

6 CHAIRMAN ALDERSON: Dr. Langhorst.

7 MEMBER LANGHORST: I think also the fact,  
8 though, the medical team has very limited resources  
9 is a big indicator of why we need to go this route  
10 because NRC is not into the medical community. I  
11 mean they just don't have the expertise. They don't  
12 have the desire to do it because they don't have a  
13 big program.

14 And I think the important thing is to  
15 have the information out there of what people are  
16 learning, have it be in like what they're calling for  
17 this national database so that people with these  
18 incidents, and I say incidents rather than events,  
19 where it might be a near-miss, or even nothing to do  
20 with medical use but has a strong application in  
21 medical use of byproduct material, that is shared  
22 publicly, and that people learn from it, and that it  
23 supports overall patient safety, and the patient's  
24 ability to get that medical treatment.

25 CHAIRMAN ALDERSON: Yes, Ms. Weil.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER WEIL:     I think it's really  
2                   important that we present to the Commission that the  
3                   intention here is a gigantic paradigm shift away from  
4                   what did you do wrong to what are you doing to promote  
5                   patient safety, which would be the capture of the  
6                   near-misses, and the voluntary reporting, and  
7                   comprehensive reporting which the patient safety  
8                   organizations require of group cause analysis and  
9                   corrective action, which you're not capturing,  
10                  necessarily, in the current NRC methods.

11                  CHAIRMAN ALDERSON:   Dr. Metter.    Yes,  
12                  we'll go down the list.

13                  MEMBER METTER:   One last comment in that  
14                  the medical community, over the last several years,  
15                  has been changing their paradigm to more value.  What  
16                  value do you give to patient care?  So I think we can  
17                  kind of frame it as these incidents can add best  
18                  practices to assist in the value that we give to our  
19                  patients to prevent such events.

20                  So I think if you frame it that way, that  
21                  ought to fall in very nicely to how health care is  
22                  actually looking at how to handle patient care.

23                  CHAIRMAN ALDERSON:   Sophie would like to  
24                  comment.  Thank you.

25                  MS. HOLIDAY:    Okay, so I just want to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 make two quick comments. So I just want to confirm  
2 what Katie said. If what the Committee wishes to do  
3 is to amend the report to reflect a different number  
4 of institutions that are participating in this pilot,  
5 expanding the number of years that you're looking at,  
6 that will need to come forth as a recommendation  
7 during this meeting to amend that report so that it  
8 can be reflected in the final report.

9           Secondly, as Mr. Collins said, this is  
10 looking like it's something that has to go forth to  
11 the Commission, which means it would have to move  
12 forward in a Commission paper or a SECY paper, which  
13 is something that staff would write. And if that's  
14 the case, then of course the subcommittee's report,  
15 with these amendments, would be attached as an  
16 enclosure. This is similar to how we pursue Part 35  
17 rulemaking, or NUREG-1556, AO criteria, things of  
18 that nature. If the Committee has a formal position,  
19 we include your committee report as part of -- be  
20 enclosed into the SECY paper so that the Commission  
21 has the ACMUI's position on record as well.

22           CHAIRMAN ALDERSON: Okay. Did everyone  
23 understand that? Those were all comments relevant  
24 to the issue of should we -- the motion that was  
25 originally put out there. Are we going to support

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the report as it is now? And so that motion is still  
2 on the table.

3 VICE CHAIRMAN ZANZONICO: So if I  
4 understood correctly, Sophie, you're saying that  
5 details like the number of centers or the duration of  
6 the study would have to be specified in our approved  
7 motion. Is that correct?

8 So could we leave it open-ended and amend  
9 the motion to say with numbers of centers and duration  
10 to be determined?

11 CHAIRMAN ALDERSON: To be determined,  
12 that's the way to do it. That's the way to do it.

13 MEMBER LANGHORST: Or we could say here  
14 are suggested numbers and duration but that is to be  
15 determined. I mean we were asked to give specifics  
16 and so we did.

17 CHAIRMAN ALDERSON: Okay.

18 MEMBER LANGHORST: And so I think that  
19 we make it more suggestions instead of hard and fast  
20 recommendations.

21 VICE CHAIRMAN ZANZONICO: I'm just  
22 actually at a point where in terms of the rules of  
23 order we can pass the motion without being locked  
24 into something that we later regret.

25 CHAIRMAN ALDERSON: Yes, Mr. Collins.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. COLLINS: So just real quick. To  
2 Dr. Zanzonico's point, you could conceivably leave it  
3 open-ended with the thought that any Commission paper  
4 would be coming through the ACMUI for your review and  
5 comment before it goes to the Commission anyway.

6 So that might be part of your  
7 recommendation for the staff to consider that.

8 CHAIRMAN ALDERSON: Okay. That's an  
9 easy way to do it, just make that part open-ended.

10 Dr. Metter.

11 MEMBER METTER: Well perhaps we can even  
12 go further in that we can add that we support the  
13 concept of a pilot program with the numbers to be  
14 determined at a future date.

15 CHAIRMAN ALDERSON: That's good. I like  
16 that. I like that.

17 All right, that seems like a -- are we  
18 ready to perhaps now, considering these options,  
19 consider approving the committee report, as amended?

20 Yes.

21 MEMBER LANGHORST: One thing I wanted to  
22 add was with Dr. Dilsizian's presentation also for  
23 the patient intervention, we discussed how we might  
24 include that into our report and suggest that that be  
25 an addendum to our report from that subcommittee and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 essentially goes through that and ask that those  
2 points be considered as the overall recommendations  
3 for our subcommittee report.

4 CHAIRMAN ALDERSON: Right. So we're  
5 suggesting that when we talk about this, we're  
6 considering Dr. Dilsizian's report and yours together  
7 as the report that would be approved, as amended, in  
8 terms of the specifics and that would go forward then  
9 to the NRC to be put together as a SECY paper or  
10 whatever to go to the Commission for their views.

11 All right, well that's, again, the  
12 motion. And I think that's been seconded when we  
13 were back there. I don't know if we got to a second.  
14 Does someone want to second there?

15 MEMBER WEIL: No.

16 CHAIRMAN ALDERSON: No.

17 MEMBER WEIL: Just a comment. Perhaps  
18 we need some discussion on the Patient Intervention  
19 report before we approve it.

20 CHAIRMAN ALDERSON: All right. All  
21 right. Fine, the Patient Intervention is -- that  
22 specific segment is open for discussion, since it's  
23 now been concluded.

24 Comments? Comments from the audience,  
25 from the ACMUI? From Dr. Langhorst.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   MEMBER LANGHORST: From my perspective,  
2                   and in discussing with other members of our  
3                   subcommittee and your subcommittee, Dr. Dilsizian, it  
4                   seemed like we were on the same page and this was  
5                   just another perspective of trying to define these  
6                   high and low events.

7                   CHAIRMAN ALDERSON: Good. All right,  
8                   any further comments? All right, so -- yes, Dr.  
9                   Palestro.

10                  MEMBER. PALESTRO: Yes, before we vote,  
11                  could I ask for a restatement of the amendment?

12                  CHAIRMAN ALDERSON: All right. Yes,  
13                  Darlene did a very good job of that.

14                  MEMBER METTER: Well, I would like to  
15                  amend that the concept of the pilot program be  
16                  approved with the number of sites and the duration of  
17                  evaluation to be determined at a later point in time.

18                  CHAIRMAN ALDERSON: That is the  
19                  amendment.

20                  MEMBER LANGHORST: I would like to ask  
21                  what was the motion because I don't remember a motion  
22                  being made on accepting the report.

23                  CHAIRMAN ALDERSON: I think we were  
24                  headed in that direction.

25                  MEMBER LANGHORST: Okay, so we haven't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 had that motion yet.

2 CHAIRMAN ALDERSON: Would someone like  
3 to make that motion to accept the report, as amended?  
4 That is a second.

5 All right, so we have a motion and it's  
6 seconded to accept the report, as amended.

7 Further discussion? Seeing none, all in  
8 favor?

9 It's unanimous. So, the report is  
10 accepted, as amended.

11 MR. BOLLOCK: Okay do you, for Dr.  
12 Dilsizian's recommendations and his slides because  
13 that's not in the report. I know the discussion was  
14 kind of going that way.

15 MEMBER LANGHORST: I would like to move  
16 that we add an addendum to the report which has Dr.  
17 Dilsizian's subcommittee's recommendations that you  
18 saw on the slide and that those with the statement on  
19 there that say this also should be included in the  
20 discussions of the programs developed on our report.

21 CHAIRMAN ALDERSON: Is there a second for  
22 that? Yes, good.

23 Is there further discussion?

24 All in favor?

25 That's also unanimous.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. HOLIDAY: Dr. Alderson, just to  
2 reiterate for the record, the recommendation that I  
3 have is that the ACMUI unanimously endorsed the  
4 Medical Event Reporting Impact on Medical Licensee  
5 Patient Safety Culture draft report, as amended, to  
6 support the concept of the pilot program with the  
7 number of sites and durations to be determined at a  
8 later date and to include the Patient Intervention  
9 Subcommittee recommendations as an addendum.

10 CHAIRMAN ALDERSON: Exactly as we said.

11 MS. HOLIDAY: Thank you.

12 CHAIRMAN ALDERSON: Thank you very much,  
13 Sue, for a wonderful report. Thank you and thanks  
14 to all of you for your input. That was a very useful  
15 session.

16 All right. Well, it's five minutes to  
17 twelve and let's see. Well, it's time to go to lunch.  
18 That's what the agenda says.

19 So, all in favor?

20 VICE CHAIRMAN ZANZONICO: But we'll still  
21 meet at one.

22 CHAIRMAN ALDERSON: Yes, we're  
23 reconvening at one. Yes, reconvening at one.

24 (Whereupon, the above-entitled matter  
25 went off the record at 11:54 a.m. and resumed at 1:06

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 p.m.)

2 CHAIRMAN ALDERSON: All right, we'll call  
3 the session to order, the afternoon session. We're  
4 a few minutes late but that's okay.

5 Dr. Metter is going to present her  
6 subcommittee report on the Nursing Mother Guidelines.

7 MEMBER METTER: Thank you, Dr. Alderson,  
8 and good afternoon. I will be presenting the  
9 subcommittee report on the Nursing Mother Guidelines  
10 for the Medical Administration of Radioactive  
11 Materials.

12 But before I start, I'd like to thank the  
13 rest of my Subcommittee Members, Dr. Vasken  
14 Dilsizian, Dr. Christopher Palestro, and Dr. Pat  
15 Zanzonico.

16 Now, the subcommittee charge was to  
17 review the radiation exposure of diagnostic and  
18 therapeutic radiopharmaceuticals, including  
19 brachytherapy, to the nursing mother and child.

20 Now, at times, a nursing mother may need  
21 a diagnostic or therapeutic nuclear medicine  
22 procedure. However, radiopharmaceuticals often appear  
23 in breast milk.

24 Therefore, the use of  
25 radiopharmaceuticals during nursing raises a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 radiation exposure concern to both the nursing mother  
2 and nursing child.

3 According to 10 CFR 35.75, in-licensees  
4 can release a patient who has received radioactive  
5 materials, and in this case, the nursing mother, if  
6 the Total Effective Dose Equivalent to any individual  
7 member of the public, and in this case, the nursing  
8 child, will not exceed 5 millisieverts or 0.5 rem.

9 Now, if a nursing mother receives a  
10 radiopharmaceutical and continues to breast-feed, and  
11 if the nursing child's dose could exceed an Effective  
12 Dose Equivalent of 1 millisievert or 0.1 rem, the  
13 mother must be given written instructions as to any  
14 potential adverse consequences if breastfeeding is  
15 not interrupted or ceased.

16 She must also be given written  
17 instruction and guidance on the discontinuation of  
18 nursing. Nursing or breastfeeding, as  
19 you all know, is infant feeding from the female  
20 breast. Now, breast milk is an excellent source of  
21 nutrition for the infant or nursing child, and the  
22 process of milk production is termed lactation.

23 Now, lactation begins shortly after  
24 delivery and becomes quickly relatively constant  
25 shortly after delivery, and is driven by the hormone

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 called prolactin.

2 Now, milk production can occur without  
3 prolactin and prolactin is most abundant when the  
4 child is suckling and milk is being removed from the  
5 breast.

6 Involution, or the cessation of  
7 lactation, occurs about six weeks after the last  
8 breastfeeding. Now, if milk is radioactive, there's  
9 often a certain period of time that interruption time  
10 is going to be required for nursing.

11 And breast milk during this interruption  
12 time can be handled in one of two ways.

13 If breast milk is pumped before the  
14 mother receives the radiopharmaceutical, it's not  
15 radioactive. So, the mother can use this milk during  
16 the interruption period to feed her infant.

17 If breast milk is pumped after the mother  
18 receives the radiopharmaceutical, most often this  
19 will be radioactive, and the milk can then be  
20 expressed and discarded, or held for decay in storage  
21 until it's no longer radioactive, and used to feed  
22 the child.

23 We know many drugs, and therefore,  
24 radiopharmaceuticals, entered the maternal  
25 circulation, and this can be either through the oral

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 or perennial routes.

2 And therefore, allows for secretion of  
3 these drugs and radiopharmaceuticals into the breast  
4 milk. Radiopharmaceutical drugs uptake these at about  
5 three to four hours after administration.

6 So, now let's look at the radiation dose  
7 to the nursing mother, and a majority of it will come  
8 from her lactating breast.

9 We know that radiopharmaceutical uptakes  
10 in a lactating breast, and therefore, the absorbed  
11 dose to the maternal breast is great than in the non-  
12 lactating breast.

13 The main source of exposure to the  
14 maternal breast will be the radioactive milk in her  
15 lactating breast.

16 Mostly radiopharmaceuticals have less  
17 than ten percent excretion into milk of the initial  
18 administered activity, with the majority falling in  
19 the range of 0.3 percent to 5 percent of the initial  
20 activity.

21 Two major exceptions, however, are <sup>67</sup>  
22 Gallium Citrate and I-131 Sodium Iodide. These tend  
23 to give ten percent or greater excretion into breast  
24 milk of the initial administered activity, and  
25 therefore, has a higher absorbed dose for the maternal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 breast.

2 A major concern is I-131 Sodium Iodide in  
3 the lactating breast. As mentioned, the lactating  
4 breast has a higher I-131 uptake than the non-  
5 lactating breast.

6 For example, 150 millisievert dose of  
7 Sodium Iodide I-131 gives approximately 200 rads, or  
8 2 gray, to the maternal breast.

9 Therefore, before I-131 therapy or any  
10 does of I-131 is considered to the nursing mother, it  
11 is recommended that you stop nursing six weeks after  
12 her last breastfeeding, so that involution or the  
13 cessation of lactation can occur.

14 So, at the time of the I-131  
15 administration, this will minimize her breast dose.

16 Now, let's look at radiation exposure to  
17 the nursing child. This comes from two sources, the  
18 external source, which is the mother, and the internal  
19 source, which is ingestion of radioactive milk,  
20 external source but internal to child.

21 Now, our tenets for ALARA, which is our  
22 radiation protection Bible, I guess, is going to be  
23 time, distance, and shielding.

24 So, if the mother is the radiation  
25 source, the distance stemming from the close

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 proximity that she has with her child for childcare  
2 and feeding is significant. And then the time  
3 interval can be TEDE significant.

4 And therefore, the dose that the mother  
5 gives to the child where these two parameters can be  
6 quite significant, the dose to the child will be from  
7 her breast exposure and then her whole-body exposure  
8 as external sources.

9 Interim milk ingestion is going to be the  
10 internal source. Again, less than ten percent of  
11 radiopharmaceuticals administered get into the milk,  
12 usually, again, within a range of 0.3 to 5 percent.

13 And the dose to the child from an internal  
14 source would be the amount of the milk ingested, and  
15 that's about 800 CCs per day.

16 So, if you look at the total dose to the  
17 nursing child, it'll be from the external, maternal  
18 exposure, and the internally-ingested milk.

19 Now, if breastfeeding is not interrupted  
20 and the mother receives radioactive material, most  
21 radiopharmaceutical doses will slightly exceed the 1  
22 millisievert or 0.1 rem dose to the nursing child.

23 So, often, there's going to be a need for  
24 temporary nursing interruption period.

25 Now, let's look at other procedures,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 radiotherapy and other radioactive sources, that  
2 could be a potential exposure to the nursing child.  
3 And there'll be three predominant procedures.

4 One is brachytherapy, Radioembolic  
5 therapy, which is yttrium-90 microspheres, and  
6 Radioactive Seed Localization, that the nursing  
7 mother could undergo.

8 So, let's look at Brachytherapy. This  
9 is boost radiation dose for certain early-stage  
10 breast cancers at the lumpectomy site.

11 This is done after surgery and whole-body  
12 radiation, that extra boost dose to the lumpectomy  
13 site. And this is a multi-catheter, traditionally,  
14 approach, which is a complex procedure and has a steep  
15 learning curve.

16 Recently, however, there's been notice to  
17 be a decline in brachytherapy, and the rationale being  
18 a wider access of external electron radiotherapy  
19 which can actually give this boost dose.

20 And a concept coming up that perhaps  
21 Brachytherapy isn't needed for all early-stage breast  
22 cancers. Despite this, brachytherapy remains an  
23 important mode of treatment for certain breast cancer  
24 patients.

25 Now, mammosite has a new brachytherapy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 technique that appears to be simpler. It uses a  
2 single balloon catheter that delivers two treatment  
3 doses to the lumpectomy sites per day for a total of  
4 five days.

5 The second type of therapy or radioactive  
6 source could be radioembolic therapy, with yttrium-  
7 90 microsphere.

8 As we know, Y-90 is a pure beta agent,  
9 and this is given by the Interventional Radiologist  
10 as the intra-arterial embolization of these  
11 microspheres for certain liver tumors.

12 Radioactive Seed Localization, this is a  
13 pre-operative localization of non-palpable breast  
14 lesions for surgical incision.

15 And usually, an I-125 seed is implanted  
16 into the breast approximately two to seven days prior  
17 to the surgical procedure, or it can actually even  
18 involve the same-day procedure.

19 Generally, the source is located by gamma  
20 probe during the inter-operative procedure, and the  
21 seed and targeted breast tissue is removed at the  
22 time of surgery.

23 Our subcommittee recommendation for  
24 nursing mothers for the medical use of radioactive  
25 materials is based on multiple recommendations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           We looked at a wide set of  
2 recommendations, and the subcommittee chose to use  
3 the most conservative, and sometimes very  
4 conservative, recommended timeframes, which is  
5 generally going to be the longest-time interruption  
6 period.

7           Now, we used the maximum dose to the  
8 nursing child of 1 millisievert and incorporated the  
9 current NRC and ICRP recommendations.

10           The subcommittee generally used one time  
11 interval for each radioisotope, and this looked into  
12 the different factors, particularly the following  
13 three clearance scenarios, and applies to all three  
14 of them.

15           The first is the interrupted time period  
16 for breastfeeding. The second is the physical  
17 proximity interruption time period of mother to  
18 child. And the third is the radioactive decay needed  
19 for radioactive milk for decay and storage.

20           So, for technetium-labeled agents, there  
21 are many labeled radiopharmaceuticals. I know the  
22 24 hour recommended period is going to be excessive  
23 for some, but it's still maintained within the 1  
24 millisievert exposure to the nursing child.

25           And really, if you just use one time

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 interval, it simplified the guidelines, avoids  
2 confusion, and limits the potential error.

3 For Fluorine-18 or Gallium-68 labeled  
4 radiopharmaceuticals, 12 hours. For the PET agents,  
5 C-11, N-13, O-15, and Rubidium-82, since the PET  
6 agents have very short half-lives, the mother is no  
7 longer radioactive when she leaves the clinic so no  
8 breastfeeding cessation is needed for these agents.

9 I-123 sodium iodide, seven days. For  
10 indium-labeled white cells, seven days. 201 thallous  
11 chloride, 14 days. 89 zirconium, 28 days. And 177  
12 lutetium, diagnostic purposes, 35 days.

13 Breastfeeding cessation is recommended  
14 for the following. I-131 Sodium Iodide, recommended  
15 for the current child to breastfeeding stop six weeks  
16 prior to the I-131 scheduled dose.

17 Breastfeeding cessation is also  
18 recommended for 67 Gallium-Citrate, 177 Lutetium  
19 therapeutic doses, these are higher doses, and any  
20 alpha emitter.

21 Brachytherapy and radioactive source/  
22 seeds, Y-90 microspheres do not enter the system of  
23 the breast tissue or breast milk. So, really, no  
24 nursing interruption period is needed for Y-90  
25 microspheres.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           As long as there's no radioactive source  
2           or seed within the maternal breast, there is not  
3           radioactivity, so the mother can nurse during the  
4           timeframe when there are no radioactive materials  
5           within her breast.

6           And lastly and importantly is patient  
7           information. Nuclear medicine and nuclear cardiology  
8           clinics should post signs to alert the nursing mothers  
9           to inform the nuclear medicine staff as to a nursing  
10          condition so that radiation safety precautions can be  
11          implemented with respect to a nursing mother either  
12          before, during, or after, their scheduled nuclear  
13          medicine procedure.

14          These are the recommendations that I've  
15          used for this presentation. Thank you.

16                 CHAIRMAN ALDERSON: Oh, good, thank you.  
17          Very nice and clear. So, questions, comments? Yes,  
18          just one?

19                 MEMBER WEIL: Did you come up with any  
20          recommendation for how far in advance of treatment  
21          this information should be given to the nursing mother  
22          so perhaps she has time to pump and store breast milk?

23                 MEMBER METTER: This is predominantly  
24          known to be for I believe the I-131 therapy because  
25          of the high dose, you should stop. But --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   VICE CHAIRMAN ZANZONICO:    The simple  
2                   answer is no. There will be, as you know, as part of  
3                   the patient release program, a recommendation to  
4                   provide radiation safety precautions in advance of  
5                   the treatment.

6                   And so the assumption is that advice  
7                   related to breastfeeding would be part of that  
8                   briefing, so to speak. But we didn't specifically  
9                   address it as part of this report.

10                  MEMBER WEIL:    Can I follow up on that?

11                  CHAIRMAN ALDERSON:    Please.

12                  MEMBER WEIL:    So, in the patient release  
13                  subcommittee report, we did not recommend a  
14                  particular timeline?

15                  VICE CHAIRMAN ZANZONICO:    Correct.

16                  MEMBER WEIL:            So, the six-week  
17                  breastfeeding cessation is a significant -- it's  
18                  significant, let's leave it at that.

19                  The other interesting thing that I noted  
20                  is that the exposure to the child from an irradiated  
21                  mom is 1 millisievert.

22                  But I believe the NRC regulation for  
23                  position patient release is 5 millisievert for a  
24                  family member, including children?

25                  MR. BOLLOCK:    The regulation also address

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this, but the regulation is that for expected dose  
2 over the 0.1 rem or 100 millirem, that they're just  
3 given instructions.

4 So, it is written instructions so it is  
5 in alignment with the patient release.

6 MEMBER WEIL: But patients can be  
7 released if no member of the caregiver's family will  
8 receive more than 5 millisievert, correct?

9 MR. BOLLOCK: Yes.

10 MEMBER WEIL: So, you're recommending 1  
11 millisievert?

12 MR. BOLLOCK: No, that's not -- do you  
13 want to speak?

14 MEMBER METTER: It's the dose that we're  
15 giving the maximum dose to the child.

16 MEMBER WEIL: To the child, maximum dose  
17 to the child, but patient, mom, after I-131 can be  
18 released home if no member of her family will receive  
19 more than 5 millisievert. And that includes  
20 children. And your report is recommending that any  
21 child in that family should receive no more than 1  
22 millisievert.

23 MEMBER METTER: Right, well, what happens  
24 is, after that, you'd have to give guidance regarding  
25 that. And I think Dr. Tapp has something to say.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 DR. TAPP: If I may, or Dr. Metter can  
2 also answer this, 35.75 does require that  
3 instructions be given if the child is likely to  
4 receive 1 millisievert based on no interruption of  
5 breastfeeding.

6 And there's specific guidelines, then, of  
7 instructions that need to be provided. They still  
8 are allowed to be released but they have to have  
9 instructions to keep the child's dose as low as  
10 possible.

11 DR. HOWE: But, Laura, you're correct.  
12 The release does cite it as 500 millirem to any  
13 individual, including a nursing child.

14 VICE CHAIRMAN ZANZONICO: Right. If I  
15 may, also, the recommendations from this subcommittee  
16 are specifically in relation to breastfeeding.

17 So, there'll be other roots of exposure,  
18 so to speak, just not on breastfeeding-related  
19 exposure, which could bring the dose to about 100  
20 millirem but still below the 500 millirem.

21 So, these are expressly the precautions,  
22 with respect to breastfeeding, for the applicable  
23 regulations.

24 CHAIRMAN ALDERSON: Are there other  
25 questions or comments? I understand your problem

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with what is apparent inconsistency.

2 MEMBER WEIL: So, I have a suggestion  
3 that maybe we either take it as an action item to  
4 look more into it and see -- I understand we should  
5 at least look at it a little bit more just to make  
6 sure that we can provide a better answer.

7 MEMBER LANGHORST: I'm confused at this  
8 also. So, Dr. Zanzonico, is this on slide -- well,  
9 they're not numbered.

10 Where it says the recommendations for  
11 nursing mothers, the dose to the child, you're saying,  
12 should only be internal dose? Or...

13 VICE CHAIRMAN ZANZONICO: The algorithm  
14 that was used was the internal dose plus the external  
15 dose associated with breastfeeding.

16 So, we followed that recommendation,  
17 which is basically from the reg requiring guidance  
18 for breastfeeding.

19 So, that dose includes an internal  
20 breastfeeding dose and an external dose associated  
21 with breastfeeding, but not other external exposure  
22 in the course of their life.

23 MEMBER LANGHORST: So, this is a  
24 recommendation to limit the dose of the child? Or a  
25 recommendation when written directions need to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 given to her?

2 VICE CHAIRMAN ZANZONICO: Yes, it's the  
3 latter.

4 MEMBER LANGHORST: Okay, that's not clear  
5 from this.

6 DR. ZANZONICO: Okay, so we need to  
7 clarify that in our report.

8 MR. BOLLOCK: Yes, I think it was clear  
9 to at least myself and some of our staff from your  
10 draft report that that's what your intent was.  
11 That's why it is -- we didn't take it as a  
12 recommendation to lower the release limits.

13 It's just re-emphasizing that if expected  
14 to expose any member over 100 -- well, specifically  
15 for a nursing child, over 100, you get that the doctor  
16 gives the instructions, actually additional  
17 instructions, for the breastfeeding mother, which  
18 includes, I think it was almost verbatim from the  
19 regulation guidance, interruption or discontinuation,  
20 and information of potential consequences, if any, of  
21 failure to follow the guidance.

22 So, we didn't read this report as a  
23 recommendation to make a regulatory change. I think  
24 it's recognizing that if you're going to expose the  
25 child to greater than 0.1 rem, that you give

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 instructions.

2 And these were kind of guidance in  
3 helping with those instructions, is how we took it.

4 CHAIRMAN ALDERSON: It isn't as  
5 inconsistent, now that I've listened to your  
6 discussion, it's not as inconsistent as I think --

7 MR. BOLLOCK: Yes, so we just want to  
8 make that clear.

9 CHAIRMAN ALDERSON: You're just calling  
10 out a subset here and saying if it's going to be this  
11 much, then you've got to do more for that subset,  
12 even though it's within the reg.

13 MR. BOLLOCK: Right, so if anyone thinks  
14 it's inconsistent, we can -- we just want to make  
15 sure it's clear here.

16 VICE CHAIRMAN ZANZONICO: Well, clearly,  
17 we have some very well-informed individuals who've  
18 highlighted a lack of clarity. So, we should at  
19 least amend the report, which is a draft report, to  
20 clarify that point.

21 MEMBER LANGHORST: I think you do state  
22 in the report that the ICRP has that recommendation,  
23 but I guess, what you need to say is what the  
24 subcommittee's recommending.

25 And so my understanding is the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 subcommittee's recommending that these are the  
2 precautions to take if it's greater than 100 millirem  
3 to ensure that you're meeting the criteria of 35.75.

4 VICE CHAIRMAN ZANZONICO: That's exactly  
5 it.

6 MEMBER LANGHORST: Okay, thank you.

7 CHAIRMAN ALDERSON: Yes, Laura?

8 MEMBER WEIL: I would be more comfortable  
9 if the subcommittee recommended a specific timeframe  
10 for instructions being given to nursing mothers who  
11 are receiving, I guess, specifically, I-131.

12 Because it's necessary for a nursing mom  
13 to have time to stop breastfeeding so that she hasn't  
14 got an active lactating breast, which will increase  
15 her risk, as well as put her child at risk.

16 And just saying instructions will be  
17 provided or posting signs in the office doesn't strike  
18 me as proactive enough.

19 MEMBER METTER: Well, if the mother's  
20 going to undergo I-131, usually it's going to be for  
21 therapy, and usually, that instruction is given ahead  
22 of time, as far as discussion with the mother by the  
23 doctor.

24 And usually, that's actually considered  
25 -- and usually, it's going to be nuclear medicine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 physicians that are doing that.

2 MEMBER WEIL: Given anecdotal evidence  
3 from patients who receive I-131, which this committee  
4 has heard before and which we certainly discussed in  
5 the patient release subcommittee, you really can't  
6 count on that being -- we need to say that needs to  
7 happen because you can't count on that happening.

8 Sometimes patients are not given  
9 instructions until the day of therapy.

10 VICE CHAIRMAN ZANZONICO: So, if we had  
11 language in the latest patient release subcommittee  
12 report on the subcommittee -- on the second paper,  
13 rather, we can use that language in terms of giving  
14 precautions in advance, and incorporate that into the  
15 breastfeeding report.

16 And it avoided, as you know, a  
17 prescribing of a specific period of time, but it did  
18 give a strong advisement to inform the patient of all  
19 the necessary precautions, which in this case would  
20 be breastfeeding, as far in advance as possible.

21 CHAIRMAN ALDERSON: Dr. Howe is next.

22 DR. HOWE: I'm just a little bit  
23 confused. You're talking about I-131 therapy.

24 NRC's experience in that in 1990 was that  
25 we had a patient that was getting a whole-body scan,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not a therapy dose, but a whole-body scan, because  
2 they no longer had a thyroid.

3 And the licensee did not ask if the person  
4 was nursing, and they received the dose, they waited  
5 24 hours, they nursed their child.

6 The child ended up with an estimated  
7 dose, which was proven in about three different ways,  
8 of 30,000 rads to the thyroid.

9 So, it's not enough to just say therapy.  
10 The whole-body dose can also be a thing. And in that  
11 case, they hadn't asked whether she was nursing, so  
12 there was no time to cease.

13 So, just keep those things in mind. And  
14 that's a real incident.

15 CHAIRMAN ALDERSON: Dr. Palestro is next.

16 MEMBER PALESTRO: Yes, my only comment  
17 was going to be, and now Donna just kind of shot that  
18 down, would be that if authorized user -- I was going  
19 to say that it would be incredulous to me that the  
20 authorized user would administer a dose of I-131 to  
21 a woman without first addressing those issues,  
22 whether it's therapy or diagnostic.

23 And neither of those have to be done  
24 immediately; they're not that urgent, particularly  
25 nowadays. With Virugen, it can be maintained on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Synthroid for those six weeks.

2 So, I guess, even if you're going to have  
3 the defined time in advance, if they don't answer the  
4 question, it doesn't solve the problem, you're right.

5 MEMBER WEIL: So, just one final comment  
6 on this, and then I'll cease.

7 We were comfortable without providing a  
8 specific timeframe in the patient release  
9 subcommittee report but that's because there isn't a  
10 defined interval that needs to be addresses, as in  
11 the case of a lactating mother. We need six weeks.

12 So, there's a rationale there for a  
13 defined interval between instructions and receiving  
14 the radiopharmaceutical.

15 So, I, for one, am not comfortable with  
16 that being an amorphous in-advance-of-treatment  
17 statement. I think it needs to be much more specific  
18 in the case of lactating breasts.

19 CHAIRMAN ALDERSON: So, I'll make a  
20 follow up on that statement. As I've listened to the  
21 discussion, I think it's a very good report and I  
22 think that the guidelines that are presented are  
23 potentially extremely useful.

24 The question along the same lines is so,  
25 how do we now be sure that patients and practitioners

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 are getting this advice?

2 We'll put it in the Committee book and  
3 we'll hope that people learn about it, and it'll keep  
4 happening. So, what's the plan?

5 I mean, there needs to be a plan to take  
6 this kind of information, even on these last few  
7 slides, it's got to be out there so that people know.

8 I don't know, do you put it in the Federal  
9 Register? This is a communication problem.

10 But how do you get this out there where  
11 people now know that a learned group has looked at  
12 this and this is the right advice and this is what we  
13 should do? What's the answer to that?

14 VICE CHAIRMAN ZANZONICO: Well, I think  
15 as with any other measures, it would be in regulatory  
16 guidance, and I think authorized users and licensees  
17 pay attention to that. And that would be the root  
18 of disseminating this information.

19 CHAIRMAN ALDERSON: You mean the NRC  
20 would disseminate this through Regulatory Guidance?

21 VICE CHAIRMAN ZANZONICO: That would be  
22 my presumption.

23 MR. BOLLOCK: Yes, we are planning on  
24 updating Reg 8.39, which is the patient release, and  
25 this report would -- we're going to take all

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 authorization we have to help update that.

2 So, we would take this report, the  
3 information on the report, and likely use that, along  
4 with others, other information to update the Reg  
5 Guide.

6 Some immediate things we could do, just  
7 that we have the capability to do and we could, once  
8 the report's official, once the report's final and  
9 going to be made public, it'll be on the NRC public  
10 website.

11 We can put it on Medical List Server.  
12 There's a number of things we could do to advertise  
13 your subcommittee's report. So, the immediate thing  
14 --

15 CHAIRMAN ALDERSON: This is the kind of  
16 thing that would seem to me that anything you could  
17 do to further publicize it is a good thing.

18 And it makes me think about our  
19 communication agenda and whether our people, like  
20 Darlene and Chris who are involved in that  
21 communication, should actually make sure once the  
22 document is written and it goes out in an official  
23 way, could then take that same document, be given  
24 that, and send it to the ACR or the Society of Nuclear  
25 Medicine, whatever organizations are out there, with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the idea that we'll hope you'll get this out to all  
2 of your members.

3 Because this has come from the NRC, and  
4 so on and so forth.

5 MR. BOLLOCK: Yes, we also have a  
6 recreated -- as part of our Patient Release Project  
7 over the years, we have a website that we primarily  
8 use to reference other guidance for patient release.

9 And we typically use guidance from the  
10 Society of Nuclear Medicine and ThyCa and things like  
11 that on our website.

12 So, we can add this to it as well. I'm  
13 sure my staff before they put anything on our website  
14 will take a look at it.

15 Not that we don't trust the subcommittee  
16 report; as far as we know, it's one of the more  
17 thorough reports we've seen. We're actually very  
18 impressed and thankful for the thoroughness and all  
19 the effort that went into it.

20 But, yes, we can put it on that website.  
21 There's a number of things we can do immediately in  
22 near term to get it out to public domain to be useful.  
23 Katie has some things like that.

24 CHAIRMAN ALDERSON: Dr. Tapp?

25 DR. TAPP: This is Katie Tapp. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 actually had a question for the subcommittee, just so  
2 I'm clear.

3 The six weeks' cessation of breastfeeding  
4 before radioactive iodine procedures is not too  
5 protect the child, but it's actually to protect the  
6 patient's breast?

7 MEMBER METTER: Correct.

8 DR. TAPP: That's correct, so it would  
9 still be up to the practitioner and the patient to  
10 make sure they balance the treatment of the disease  
11 and that dose?

12 I don't think that would be the  
13 practitioner, or practice of medicine to balance  
14 those risk-benefits. Is that correct?

15 MEMBER METTER: You mean the final dose  
16 that is administered to the mother?

17 DR. TAPP: Knowing that she's still  
18 lactating?

19 MEMBER METTER: Right, so usually six  
20 weeks is really the timeframe that the mother will  
21 stop lactation. And so I'm not quite understanding  
22 what your question is.

23 DR. TAPP: It's solely a risk to the  
24 patient, not to a member of the public or the nursing  
25 child? I just want to make sure that was correct.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER METTER:   Correct in the sense of  
2                   -- you mean as far as -- oh, I see, when the mother  
3                   receives the radiopharmaceutical.

4                   It's mainly to the mother, but then the  
5                   nursing child also, because she's not receiving --  
6                   right. It's really actually to both of them.

7                   CHAIRMAN ALDERSON:   I agree with that.  
8                   It's to both.

9                   The mother also, because there would be  
10                  a great -- I think there will be a tendency if the  
11                  mother hasn't stopped lactating and still her breasts  
12                  are still full, and the child is still crying for  
13                  milk, there's going to be this tendency to capitulate.

14                  So, I think it does affect both.

15                  MEMBER DILSIZIAN:   So, I guess we need  
16                  to inform the endocrinologist, right, six weeks  
17                  before -- the nuclear medicine physician is not really  
18                  involved six weeks before.

19                  So, the advice as to -- we can circulate  
20                  all we want to --

21                  CHAIRMAN ALDERSON:   But it has to get to  
22                  the endocrinologist.

23                  MEMBER DILSIZIAN:   Yes, we can circulate  
24                  to ACR but I don't think we'll be able to get to the  
25                  treating physician.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: But our people have  
2 to get it to those consultants, that's right. Any  
3 other questions or comments on this report?

4 Well, great guidelines and very  
5 important, and hopefully, we can do everything we can  
6 to get the word out.

7 VICE CHAIRMAN ZANZONICO: Well, Laura's  
8 made us aware of a very inconvenient truth, and so I  
9 would just like to come up with some concrete action  
10 plan as to how to address that.

11 Namely, should we include in our report  
12 some prescriptive interval of time, like six weeks,  
13 prior to the planned therapy for cessation of  
14 breastfeeding to address this point?

15 MEMBER WEIL: I have some suggested  
16 language.

17 VICE CHAIRMAN ZANZONICO: Okay.

18 MEMBER WEIL: So, somewhere in your  
19 report you say that instructions need to be provided  
20 in advance, correct?

21 MEMBER METTER: I believe so.

22 MEMBER WEIL: Probably just in advance,  
23 in advance of treatment or whatever.

24 And after that, a qualifying statement  
25 saying except in the case of the breastfeeding

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patient, when instructions must be provided at least  
2 six weeks in advance to allow for cessation of  
3 breastfeeding.

4 VICE CHAIRMAN ZANZONICO: I would just  
5 say again the issue of must is a red flag, because  
6 there may be medical issues. And there's many  
7 different treatments --

8 MEMBER WEIL: You're right.

9 VICE CHAIRMAN ZANZONICO: -- where the  
10 welfare of the mother trumps that --

11 MEMBER WEIL: Well, probably not in the  
12 situation of thyroid cancer?

13 VICE CHAIRMAN ZANZONICO: But they  
14 should, I think, rather than they must.

15 MEMBER WEIL: It should be. Yes, okay.

16 MR. SHEETZ: Mike Sheetz, University of  
17 Pittsburgh. I've heard the subcommittee report. I  
18 think the recommendations would be really useful for  
19 the medical community by grouping the recommendations  
20 by category of isotope, as opposed to each  
21 radiopharmaceutical being listed with a different  
22 recommendation, as is in the literature.

23 I do want to point out that it has been  
24 reported that Y-90 has been detected in patients  
25 treated with Y-90 microspheres, while it's a very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 small percent.

2 And I don't know of any literature that  
3 states whether it's located in breast milk or not, so  
4 we may want to put some precaution there until  
5 information's available.

6 CHAIRMAN ALDERSON: Well, that sort of  
7 thing, I certainly don't know the answer to that  
8 question.

9 MEMBER METTER: I don't know the answer  
10 to that.

11 CHAIRMAN ALDERSON: If any of you are  
12 going to do any Y-90s in the right circumstances.

13 VICE CHAIRMAN ZANZONICO: The only reason  
14 I hesitate to address that point is I actually  
15 reviewed the paper for Health Physics. I reported  
16 that but it's not in the literature yet.

17 So, it's kind of a dilemma.

18 MEMBER WEIL: There's I think someone on  
19 the phone who would like to make a comment.

20 VICE CHAIRMAN ZANZONICO: We've got  
21 someone on the phone who would like to make a comment.

22 CHAIRMAN ALDERSON: Very good, is there  
23 someone on the phone would like to make a comment?

24 MR. CRANE: Yes, there is.

25 CHAIRMAN ALDERSON: Please, speak up so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 we can hear you.

2 MR. CRANE: Can you hear me?

3 CHAIRMAN ALDERSON: Yes, that's better.

4 MR. CRANE: Okay, should I just bellow?

5 CHAIRMAN ALDERSON: Yes, you're doing  
6 fine.

7 MEMBER WEIL: Please identify yourself.

8 CHAIRMAN ALDERSON: Identify yourself,  
9 please?

10 MR. CRANE: Sure, my name is Peter Crane.  
11 I'm an NRC retiree and my experience with the NRC.

12 And medical regulation goes back  
13 literally to 1975, and I have a good deal of  
14 institutional history, which I would have liked to  
15 contribute to the previous session, except there  
16 wasn't a call to the phones unfortunately.

17 I want to commend the speaker for the  
18 seriousness with which the issue of the nursing  
19 mothers has been taken. I think that's admirable.

20 And I want to second what Dr. Alderson  
21 just said about there needing to be a plan so people  
22 know. Because there is inevitably a great gap in the  
23 dissemination of knowledge within the profession, to  
24 licensees.

25 I had an example of this a couple of years

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ago when Dr. Mike Tuttle, who's -- no, this was Bryan  
2 McIver, was making a presentation on new thinking in  
3 the use of I-131 and thyroid cancer.

4 And I asked, well, this is fine for the  
5 Sloan Kettering's and the MD Anderson's and the  
6 Moffitt's, but how do you get this out to the  
7 hospitals where the real care is going on?

8 And he said realistically, we know it  
9 takes ten years. So, the profession realizes that  
10 getting the word out is a formidable issue.

11 I was troubled at the beginning of this  
12 presentation because I thought I heard the briefers  
13 saying that the standard of 35.75 was allowed no more  
14 than 0.1 or 100 millirems to a child or a nursing  
15 child, when that is not the case.

16 It is, of course, and this was clarified  
17 by Laura Weil and Donna-Beth Howe, that in fact, it  
18 is 500 millirems to anyone.

19 This is contrary to what the  
20 International Commission on Radiation Protection and  
21 the National Council on Radiation Protection  
22 recommend. They think it ought to be a maximum of  
23 100 millirems, and the NRC has so far been unwilling  
24 to do that.

25 I think it's troubling that under the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 NRC's rule, you could have a dose of 95 millirems to  
2 a nursing child, to any child, and it would require  
3 not only no -- it would require no information of any  
4 kind to that patient.

5 And I think that's certainly troubling.  
6 I wanted to pick up on Donna-Beth Howe's point about  
7 the diagnostic dose of the whole-body scan that  
8 delivered 30,000 rads to the child, the baby's,  
9 thyroid.

10 It was a myth that was being propagated  
11 very widely in the '90s by the opponents of NRC  
12 Regulation that diagnostic doses were inherently safe  
13 and opposed no risk to the public at all. And this  
14 certainly contradicts that.

15 I think that this, the issue of the  
16 nursing mother, ties into the issue of patient release  
17 in one serious way, which is with regard to hotel  
18 workers.

19 Because, as you probably know, in I think  
20 2009, the City of New York Health Department warned  
21 people against releasing patients to hotels, saying  
22 that there was a quite plausible possibility that a  
23 hotel worker who is nursing or pregnant and cleans up  
24 a contaminated hotel room, could absorb a dose and  
25 pass it onto the nursing child and deliver a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 significant dose.

2 And you may recall that at the ACMUI  
3 meeting of October 2010, Jim Luehman of the NRC staff  
4 made the point that this undercuts the proposition  
5 that a person is likely to receive a dose from a  
6 released patient only once in a lifetime.

7 This could happen repeatedly in a hotel  
8 near a major cancer center, and the patient could be  
9 picking up a dose each time.

10 I guess I would like to stress that if  
11 you think that children should not be getting more  
12 than 1 millirem, more than 100 millirems, it's going  
13 to take a rule change to accomplish that.

14 And I personally think it's high time  
15 that the NRC did bring itself into sync with  
16 international standards. And if that's your  
17 position, I think that ought to be clear.

18 And I also wanted to pick up, finally, on  
19 something that Susan Langhorst said in the previous  
20 meeting, in which she pointed out that the NRC had  
21 inadequate or, sort of, minimal resources for the  
22 medical area.

23 And I think that is a critical point, I  
24 think she's utterly right, that the material section  
25 has always been a stepchild and if the NRC is going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to do this job at all, it ought to be doing it well.

2 And it's fish or cut bait. They should  
3 do it right and give the area the resources it needs  
4 and the attention it needs, or it should say Food and  
5 Drug Administration, take over, we don't have the  
6 interest, we don't have the resources.

7 So, that's my comment.

8 CHAIRMAN ALDERSON: So, Dr. Crane, I'd  
9 like to ask you one more thing, since we will review  
10 a very good transcription of these events, Crane could  
11 be spelled in two different ways. How do you spell  
12 your last name?

13 MR. CRANE: I spelt it C-R-A-N-E, and I  
14 am no doctor. I am a retired lawyer.

15 CHAIRMAN ALDERSON: Very good, all right.  
16 Thank you, sir. C-R-A-N-E.

17 MR. CRANE: Thank you, Doctor. Thank  
18 you, goodbye.

19 CHAIRMAN ALDERSON: Goodbye. Are there  
20 any other comments on the phone before -- Mr. Green  
21 has a comment here.

22 MR. GREEN: I appreciate the amount of  
23 work that went into this subcommittee report, and I  
24 do like its brevity in that it does not get into all  
25 29 FDA-approved drugs, but groups them by nuclide.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   But I see that that has been -- we've  
2                   jumped tracks. The I-123 Sodium Iodide is nuclide  
3                   chemical specific. It doesn't talk about DAT scan  
4                   or MIBG.

5                   Could that be broadened to all I-23-  
6                   labeled compounds? The same with the Indium-111  
7                   leukocytes, it doesn't encompass the other two  
8                   radiopharmaceuticals that are currently approved that  
9                   are Indium-labeled.

10                  One is lutetium-only prostate cancer  
11                  where they're not going to lactate, but the Octreoscan  
12                  is still on the market. Could that be broadened to  
13                  Indium-labeled drugs and still be safe?

14                  And not be deleterious to clinical  
15                  practice? And thallous chloride, again, is where we  
16                  are drug-specific. Can we make them all nuclides for  
17                  simplicity?

18                  VICE CHAIRMAN ZANZONICO: Can I suggest  
19                  something or would you like to respond? Okay, so as  
20                  we said, this is a draft report and there's a number  
21                  of very good points raised.

22                  If these comments could be sent to  
23                  Darlene, who's Chairman of the Subcommittee, then  
24                  after, we could have a subsequent open meeting, a  
25                  teleconference to finalize the report after

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 incorporating these comments.

2 Because it just seems there's enough  
3 substantive points raised that we're not in a position  
4 to approve the report at this point.

5 CHAIRMAN ALDERSON: I think that's a  
6 great suggestion, actually. Darlene, do you have a  
7 comment on that?

8 MEMBER METTER: I agree, and the other  
9 thing regarding the first bullet in this  
10 recommendation of a maximum dose of 1 millisievert,  
11 that is actually under the doses that you'd have to  
12 go for additional action.

13 So that's why we chose the 1 millisievert  
14 on this, because additionally, you have to take  
15 additional actions as was mentioned.

16 CHAIRMAN ALDERSON: Well, I think, in  
17 summary, that this has been a terrific report. It  
18 obviously is of great interest to a number of  
19 different constituent communities. It's very  
20 important to get it out there.

21 And the Committee now has suggested that  
22 the way they'll incorporate all the good comments is  
23 to have a follow-up conference call to this meeting,  
24 and then use that to help finalize their report, which  
25 I guess will have to come back in front of this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Committee at the next meeting or in a subsequent  
2 meeting.

3 All right, are there any further comments  
4 on this subject before we move onto the next issue?  
5 I see none. Dr. Metter, thank you very much.

6 MEMBER METTER: I'd like to thank the  
7 rest of my Subcommittee Members for this, and thank  
8 you.

9 CHAIRMAN ALDERSON: All right, that  
10 brings us to Dr. Howe, Patient Release Project Update.

11 DR. HOWE: Okay, I'll be talking about  
12 the Patient Release Project Update, and I don't have  
13 a lot of time. I only have 15 minutes, so it's kind  
14 of interesting trying to figure out what to say in  
15 those 15 minutes.

16 Why are we here? Well, we had a  
17 Commission document and it's the COMAMM-14-001 that  
18 came from both our Former Chairmen, and one of our  
19 Former Commissioners.

20 And the title of it was Background and  
21 Proposed Direction to NRC Staff to Verify Assumptions  
22 Made Concerning Patient Release Guidance. And that  
23 was issued in April 2014.

24 And there were a number of elements of  
25 this. What they wanted us to do was to go out and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 get input from a wide spectrum of stakeholders, the  
2 public, patients, patient groups, physicians,  
3 professional societies, licensees, the ACMUI, and  
4 Agreement States.

5 And we approached them by going out with  
6 the Federal Register Notice, in fact we went out with  
7 multiple Federal Register Notices, and having public  
8 meetings.

9 The SRM asked us to do two things. We  
10 split them into two parts. Part One, we went out in  
11 2016; from November to February we had information  
12 collection, and we asked what patients -- were able  
13 to help them understand the I-131 treatment process.

14 We asked physicians and licensees their  
15 best practices when making informed decisions on when  
16 to release I-131 patients.

17 And we asked for instructions provided to  
18 patients on how to reduce radiation doses to others.  
19 We also asked if there were brochures out there.

20 We were specific about I-131 because that  
21 is the treatment that, for most therapy treatments,  
22 is the one that's most prevalent and can create both  
23 an external and an internal radiation hazard.

24 Part Two was to explore with the public,  
25 licensees, State Partners, whether the agency should

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 make changes to 10 CFR Part 35.75 for specific  
2 reasons.

3 We went out with the Federal Register  
4 Notice and we asked six questions. We asked open  
5 questions. We asked you do you think we should do  
6 this? Do you think we should do that?

7 If so, tell us why you think we should,  
8 tell us what the safety basis is for releasing the  
9 patient for the public, for the licensee, for other  
10 individuals.

11 And the results of this information  
12 collection on Part Two is going to form the basis for  
13 a second paper on whether to pursue changes in 10 CFR  
14 35.75.

15 So, we got 132 responders. That's a  
16 pretty big number. For Part 35, we got 45 responders.  
17 Now, out of 132 responders, we had 41 that were repeat  
18 responses.

19 One person writes a response and other  
20 people write in and say we agree with so-and-so's  
21 response, and they send a form letter that says we  
22 agree with this.

23 They copy over their response and put  
24 their name on the bond, so we really only had about  
25 90 individual responses to look at.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   How were these broken down? We got 47  
2 responses from Sodium Iodide I-131 patients. That's  
3 a quite large number of responses from the patient  
4 community.

5                   We got three responses from patient  
6 relatives, two parents and a spouse. We got six  
7 professional and medically-related organizations.

8                   We got five medical facilities, and in  
9 that case, it came from the Radiation Safety  
10 Committee, or if it came from the Department, then we  
11 considered it part of the medical facility.

12                   We got 65 medical personnel that  
13 responded, that includes nurses. We had nine repeat  
14 responses from the nurse community.

15                   We had technologists; we had six repeat  
16 responses from technologists. We had medical  
17 physicists and consultants. We had 24 repeat  
18 responses from that category.

19                   We had two additional repeats from  
20 medical physicists and we had one additional repeat  
21 that was technical, probably from a medical or health  
22 physicist. And we also got responses from individual  
23 doctors.

24                   We had two responders that I couldn't  
25 tell whether they were in the medical personnel field

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 or they were sodium iodide patients. And we had  
2 responses from four Agreement States.

3 Not everybody answered the six questions.  
4 Some of the professionals and the patients -- most of  
5 the patients provided life experiences. One thing  
6 I'd like to say about this data is we have 132  
7 responders. This is not enough to be  
8 statistically significant. The breakdown of  
9 responses within each category is not enough to be  
10 statistically significant or to make recommendations  
11 based on this is what this community feels.

12 So, and the other part is that most of  
13 our medical personnel did respond to all six questions  
14 and gave us a basis. In some cases, the responses  
15 were very technical. They referenced ICRP's, NCRP's,  
16 NRC's original documents, NRC's Regulatory Guides.

17 But our responses from the patients were  
18 not. So, you can't really compare the responses  
19 from the patients with the responses from the medical  
20 personnel.

21 And you have to figure out, in some cases,  
22 what the patients were really trying to tell you.  
23 So, there has to be some interpretation in there.

24 So, it's not a simple question of so many  
25 responded this way, so many percent responded a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 different way.

2 So, what I'd like to do is go through,  
3 and I've been really wrestling with how to do this.  
4 Because with 132 responses, it's pretty much all over  
5 the place on how to present this in a manner that  
6 makes sense or gives you a flavor for the depth of  
7 the responses I had.

8 So, what I'd like to do is I'll probably  
9 cover about two questions at a time, and the first  
10 one was the activity-based patient release threshold.  
11 So, we go back to that.

12 And actually, this is not just an  
13 activity base that we had back before the 1997 change,  
14 but it was an activity and radiation measurement-  
15 based patient release threshold. And we also had one  
16 about the timeframe.

17 So, the medical community, I think it's  
18 not a surprise to anyone, was pretty much unanimous.  
19 We don't want to go back to activity-based, we want  
20 to stay with dose-based. We believe there's more  
21 flexibility.

22 They gave additional reasons. We think  
23 the patients like it better, we think it is less  
24 stressful for our patients, we believe it gives us  
25 flexibility.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And what did I hear from the patient side?  
2                   What I heard from the patient side is it is not less  
3                   stressful to go home. It is very stressful to be a  
4                   cancer patient and have to rearrange your whole life  
5                   when kids are there, to be home and isolated.

6                   We would prefer to be in hospitals or we  
7                   would like to have the choice.

8                   And most of them felt that -- you have to  
9                   infer they're talking about an activity base because  
10                  they see the dose-based criteria as essentially  
11                  closing the option for being hospitalized, and making  
12                  it very difficult.

13                  And I had some patients that had bad  
14                  hospital experiences, so they wanted to go home.  
15                  They think they could have done better at home.

16                  I have many that went home and felt that  
17                  they had medical conditions that would have been  
18                  better treated, should have been treated, in the  
19                  hospital, but they were sent home too early.

20                  So, I had a wide spectrum there, but I  
21                  think most of the patients would like to have a choice  
22                  on hospitalization. Most of the patients were very  
23                  vocal in they didn't believe patients should go to  
24                  hotels.

25                  Now, to move onto the timeframe for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 current dose limit. NRC is on record in a risk  
2 document that we believe it is a per year basis.  
3 There have been other interpretations from NRC Staff  
4 after that point that says no, we really think what  
5 we wrote was per year -- I mean, per event.

6 So, we asked what should you have? I  
7 think the medical community came out very clearly  
8 they went per event. I think there is confusion in  
9 the medical community. We had one person at a public  
10 meeting that thought it was per lifetime.

11 We've had Agreement States that believe  
12 that it should be per year because per year is how we  
13 do other radiation doses to the public and members of  
14 the occupational workers. So, we had a spectrum on  
15 that.

16 And with regard to the Agreement States, I had  
17 one Agreement State that, essentially, for all six  
18 questions, didn't bother to respond to the six, just  
19 said flat-out no. We don't want any changes, we like  
20 things the way they are.

21 The other three Agreement States gave me  
22 different opinions, and once again, I've got 4  
23 Agreement States out of 37.

24 There's no way that you can draw any  
25 conclusion that this is what the Agreement States

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 feel, other than this is what individual Agreement  
2 States believe and gave us as comments.

3 Questions 3 and 4 kind of go together.  
4 Should we have the same dose criteria, 500 millirem,  
5 to all members of the general public, including all  
6 family members, young children, pregnant women,  
7 caregivers, hotel workers, and other members of the  
8 public, when considering the release of the patients?

9 And 4 is if we have a new requirement for  
10 the release of a patient who's likely to expose a  
11 young child, should we have a new requirement for the  
12 release of a patient who's likely to expose young  
13 children or pregnant women to doses above the Part 20  
14 limit, which is 100 millirem?

15 And the medical community, for the most  
16 part, believed they should stay with the 500 millirem.

17 There were some members of the medical  
18 community that believed we already had two different  
19 release criteria, 500 millirem for the maximally-  
20 exposed person, the caregiver, and 100 millirem for  
21 the nursing child, the child, and the pregnant women.

22 That's not the correct interpretation of  
23 what our Regulation says, but we had a number of  
24 people that believed that was already the case.

25 We had members of the medical community

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 that thought there should be 100 millirem criteria  
2 for children and pregnant women, because they're the  
3 most sensitive members of the public.

4 The patients very rarely spoke about this  
5 particular question, but those that did wanted 100  
6 millirem for all members of the public except the  
7 caregiver.

8 I did have two commenters that believed  
9 that there should be a higher limit for certain  
10 caregivers that give their consent. And that there  
11 should be the same limit for caregivers that give  
12 their consent whether the patient is hospitalized or  
13 released.

14 And that's based on an exemption that we  
15 are giving for patients that are hospitalized. And  
16 if the AU agrees and the caregiver agrees, they can  
17 get an excess of the 500 millirem.

18 Okay, so I had members of the medical  
19 community who thought it was 100. I had members,  
20 many members, of the patients that believed it should  
21 be 100 millirem.

22 And the Agreement States, I had a few  
23 that I think agree and thought that 100 millirem would  
24 be a reasonable limit for children.

25 And new requirements for release of a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patient. This is an interesting one because it's not  
2 exactly the same question as 3.

3 This would be, should there be a  
4 requirement if somebody has to do something if they're  
5 going to expose young children or pregnant women? I  
6 had a number of different approaches.

7 One medical consultant RSO indicated that  
8 what he does is he knows the limits are 500 millirem  
9 and so for the maximally-exposed person, he does  
10 calculations on what he should provide for  
11 instruction at the 500 millirem level.

12 But if he knows there's a child or  
13 pregnant woman in the family, then he makes  
14 calculations based on 100 millirem and adjust those  
15 instructions for the children and the pregnant women  
16 to the 100 millirem level.

17 So, that could be a consideration in the  
18 rulemaking, is that you might give instructions at  
19 different levels, depending on the situation.

20 And then I had some patients and some  
21 medical community that believe that if you're going  
22 to expose a child or a pregnant woman to 100 millirem,  
23 that should be a reason for hospitalizing.

24 So, I had a wide spectrum from a number  
25 of people. I think the Agreement States also, my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 four Agreement States, thought that there could be  
2 some considerations for the child.

3 And my next two questions go together  
4 also.

5 One is a specific requirement for  
6 licensees to have patient isolation discussion with  
7 the patients in sufficient time prior to  
8 administration, to provide the patient time to make  
9 isolation arrangements, for the licensee to make  
10 plans to hold the patient if the patient cannot be  
11 immediately released.

12 And the other would be for NRC to  
13 explicitly include a timeframe for providing  
14 instructions in the regulations that the instructions  
15 should be given prior to the procedure.

16 In the medical community, almost everyone  
17 just across the board believed that the only way to  
18 really ensure that doses to members of the public are  
19 low and below the release levels is if the patient  
20 will comply with the instructions. And if the  
21 patient is given adequate instructions.

22 So, there was a uniform agreement that  
23 instructions need to be given. They looked at this  
24 question and they said, yes, instructions need to be  
25 given early enough for people to make the right

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 decisions to make the arrangements as they need at  
2 home, or to be hospitalized if they can't be released.

3 I had some counterpoints. One  
4 counterpoint was they thought this might be a burden  
5 for the standalone therapy treatment facilities. And  
6 that's an interesting comment because that would make  
7 the assumption that every patient that was treated  
8 would automatically be released.

9 And there would be no one from the  
10 standalone facility that would require  
11 hospitalization. And it's hard to imagine that all  
12 patients could be released.

13 What was in disagreement was how to do  
14 this. The medical community does not like to be  
15 regulated. They do not like to have specific  
16 regulations.

17 So, most of the medical community said  
18 no, we don't need a requirement, we need a new  
19 guidance. There's also an understanding that if it's  
20 in guidance it doesn't have to be followed, it can't  
21 be enforced. So, I think there's a  
22 preference from the patient side and from others that  
23 this be a requirement. I had Agreement States that  
24 believe this needed to be a requirement.

25 There was a lot of concern about how do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you quantify the sufficient time prior to  
2 administration to allow for these decisions to be  
3 made and the arrangements to be made. That could be  
4 handled in rule space.

5 We could come up with a performance  
6 method that would fluctuate, depending on whether you  
7 got a lot of time between when you needed the  
8 treatment. And the time between diagnosis and  
9 treatment was very quick.

10 So, that could be done in rule-making  
11 space, but I think there was a uniform agreement that  
12 this absolutely had to happen. And instructions have  
13 to be, the discussion has to be, given early enough.

14 And another point for giving the  
15 discussions early is how are you going to make the  
16 instructions fit the patient if you haven't talked to  
17 the patient to find out what the limitations are?

18 And that has to be done early on so that  
19 you get the right instructions and you get the right  
20 release time. Question 6 was should you give  
21 these prior to the procedure? Most of the negative  
22 comments on this were that they did not see how NRC  
23 could come up with a specific time, a day, hours,  
24 weeks, that would fit all cases.

25 And there needed to be flexibility in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 case someone needed treatment very quickly. And  
2 that's another thing where we could probably come up  
3 with a performance criteria that would be sliding,  
4 that could meet this requirement.

5 So, all the patients say, yes, we need  
6 time to make arrangements. The physicians agreed  
7 that you need to give instructions.

8 And since right now in the rule from 1997,  
9 you have delegated the ultimate responsibility of  
10 keeping patient radiation doses to members of the  
11 public as low as possible to the patient.

12 And the best way to do that is to have an  
13 informed patient that understands what their  
14 responsibilities are.

15 You can make calculations as a licensee,  
16 you can have expectations, but if the patient doesn't  
17 understand what they're supposed to be doing and isn't  
18 capable of following that instruction, then you won't  
19 achieve what you need to achieve to release them.

20 So, that's pretty much a quick overview  
21 of the data that I got. And what are the next steps?  
22 Well, we have the ACMUI Subcommittee Report.

23 We are going to be sending out the draft  
24 second paper to the Agreement States for review. And  
25 we're going to have a regional review of the draft's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 second paper, and we expect to have the second paper  
2 up to the EDL commission in December of 2017.

3 Any questions?

4 CHAIRMAN ALDERSON: All right, excellent  
5 report. This is open for questions now. This is  
6 touching on a number of the issues that we've touched  
7 on in some other reports this afternoon.

8 Some difficult and controversial issues.  
9 So, comments? Dr. Zanzonico?

10 VICE CHAIRMAN ZANZONICO: Thank you very  
11 much. As you said, many of these responses were  
12 based on very limited numbers with respect to each  
13 category.

14 In particular, the number of responses  
15 from patients who said they wanted to be administered  
16 to the hospital at the time of their treatment.

17 Do you recollect the number of responses  
18 that corresponded to?

19 DR. HOWE: The vast majority of the  
20 patients which I had 47 of, and I may have had like  
21 48 of them because one could have been a patient,  
22 wanted the option for hospitalization.

23 I had probably two or three that said I  
24 had a really good experience going home, I'm fine  
25 with that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           I had a number of those 44 that said I  
2           had a bad experience, I was still sick when I went  
3           home, I got sicker when I got home and I felt I should  
4           have been hospitalized.

5           VICE CHAIRMAN ZANZONICO:       And the  
6           responses, again, were not parsed enough to determine  
7           among those patients who either wanted the option or  
8           preferred to stay in the hospital, that that response  
9           was based on how they felt physically as opposed to  
10          concerned about radiation exposure to their family  
11          and friends?

12          DR. HOWE:    Many of them cited concern  
13          about radiation exposure to members of the public and  
14          their family.    A number of them that expressed  
15          concerns were also based on things that happened to  
16          them when they went home.

17          And then a number of them got sick after  
18          they went home.   They got nauseous, they threw up,  
19          they had heart afibrillization type of things, so a  
20          number of them -- and colds, where they're sneezing  
21          and blowing their nose all over the place.

22          So, a number of them had situations that  
23          they believed could have been handled better.   I  
24          would not expect the patient community as a whole to  
25          give me really in-depth scientific things.   They are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 telling their life story.

2 CHAIRMAN ALDERSON: Yes, Chris Palestro?

3 MEMBER PALESTRO: My one concern about  
4 that, and I don't think there's any way around that,  
5 is that the patients had what they viewed as an  
6 unhappy experience at home and if they had the option,  
7 they would have much preferred to have been in the  
8 hospital.

9 The problem is they weren't also in the  
10 hospital to able to have a comparison. And so it  
11 wasn't really that much better than being at home.  
12 You know, the grass always looks greener on the other  
13 side of the street.

14 DR. HOWE: I think I had maybe one or two  
15 patients, they were hospitalized, and their  
16 experience at the hospital was really bad. So, they  
17 felt they would have been better off at home.

18 So, in both directions, I have a very  
19 limited sample size. But I have a very large sample  
20 size compared to patient responses from other things  
21 when we've gone out and asked them for information.

22 But is it statistical? Is it scientific?

23 CHAIRMAN ALDERSON: Dr. Langhorst?

24 MEMBER LANGHORST: I just wanted to make  
25 one perspective comment, and that is if a pregnant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 woman is pregnant in Denver, they would be getting  
2 100 millirem more in a year over that pregnancy than  
3 we do here in Washington D.C. or we do in St. Louis.

4 These levels are extremely conservative  
5 and of the order of background radiation. Thank you.

6 CHAIRMAN ALDERSON: Yes, good comment to  
7 put it in that context. Other comments?

8 Part of what I was talking to Katie Tapp  
9 about this issue a little earlier before this session  
10 started, part of the issue here is what the insurance  
11 companies will do.

12 Because no one is going to want to stay  
13 in the hospital if their option is to pay for it.  
14 They're only going to want to be there if their  
15 insurance will pay for it.

16 And the insurers will only pay for it if  
17 the guidelines or the regulations are quite clear  
18 that they must pay if this is the case. And I don't  
19 think that exists today.

20 So, that's part of this whole equation.

21 DR. HOWE: And I got comments with  
22 respect to that also, I've got comments from the  
23 Society of Nuclear Medicine, I think it's the Society  
24 of Nuclear Medicine, that they've had physicians that  
25 have written the letters to the insurers to get the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patients hospitalized, and they've been denied.

2 So, they're frustrated that when they  
3 believe the patient needs to be hospitalized for  
4 radiation safety concerns, they're not able to get  
5 insurers to pay.

6 I think I also had comments from patients  
7 and from the Agreement States that getting the  
8 insurance is absolutely important for being able to  
9 hospitalize.

10 CHAIRMAN ALDERSON: Yes, Ms. Weil?

11 MEMBER WEIL: Thank you, Dr. Howe, for  
12 this presentation and for presenting patients'  
13 voices, which is not something we often hear here.

14 I think that we need to accept  
15 responsibility for the fact that this insurance  
16 situation exists, because it exists specifically  
17 because of the 1997 Patient Release Rule.

18 And if that insurance situation is going  
19 to change, then the rule needs to change.

20 DR. HOWE: Laura, I think I'm hearing you  
21 say if the rule is somehow written, it might help the  
22 insurance situation?

23 CHAIRMAN ALDERSON: Comments on that?  
24 That's a very important comment and it suggests to  
25 me, at least just listening to it, that it would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 suggest that perhaps we need to dive more definitively  
2 into the 1997 Rule and see where that applies.

3 And then perhaps make a recommendation as  
4 a committee for that change in a specific way. I  
5 don't know the Rule well enough to make a comment on  
6 that at all.

7 It just seems to me that's part of what  
8 we're dealing with here. Anyone want to comment on  
9 that? Does anyone know the '97 Rule all that well?

10 DR. HOWE: Well, the '97 Rule essentially  
11 would not release patients unless they were below 30  
12 millicuries, or had a radiation dose measurement at  
13 a meter that was 5 millirem per hour or less.

14 And that meant all I-131 thyroid  
15 carcinoma patients could not meet that criteria when  
16 they were given their dose, so they were not  
17 releasable.

18 And because they were not releasable,  
19 hospitals had rooms that were specifically shielded  
20 for I-131 patients.

21 And if you are a free-standing practice,  
22 at licensing time, you had to provide information  
23 that showed where your patients could go if you  
24 treated them in excess of 30 millicuries.

25 Now, there has been a change in treatment

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of thyroid carcinoma patients or recommendations for  
2 treating thyroid carcinoma patients, where there are  
3 more thyroid carcinoma patients. It's an increase  
4 for a year now, at about 64,000.

5 I think I read in the Thyroid Association  
6 that they're starting to recommend not as much I-131  
7 treatment and that for thyroid carcinoma, if there's  
8 a good surgical result, not to immediately to go to  
9 I-131, to wait and see if there's a reason for it  
10 and, in some cases, to cut the dose down.

11 Now, one of the other things that  
12 happened back as a result of the '97 Rule was that  
13 patients had their treatment fractionalized.

14 So, if you needed to be treated with 100  
15 millicuries, you got 30 millicuries, and then you  
16 came back not the next day, but you came back probably  
17 in a about a week or two and you got another 30  
18 millicuries, until you got up to the dose they thought  
19 you needed.

20 Now, it appears from the American Thyroid  
21 Association that they're talking about going back  
22 down to a 30 millicurie treatment to then give you,  
23 as being adequate if they need it. And that also  
24 saves some of its side effects for later.

25 VICE CHAIRMAN ZANZONICO: I think we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 should be careful.

2 I mean, that may be for ablation of a  
3 thyroid remnant, but I don't think the ATA or any  
4 other professional organization is recommending for  
5 metastatic thyroid cancer, where you have the largest  
6 doses, that you get anywhere near 30 millicuries.

7 I mean, I know there was a tendency to  
8 reduce the rate of Y-90 dosage for metastatic thyroid  
9 cancer from what used to be.

10 But we're still talking about of the  
11 order of hundred of millicuries, 100 to 200, perhaps  
12 even more.

13 So, there's an important distinction  
14 between radio Y-90 ablation of remnants post-  
15 thyroidectomy versus treatment of metastatic thyroid  
16 cancer.

17 CHAIRMAN ALDERSON: Yes, Dr. Palestro?

18 MEMBER PALESTRO: You know, in listening  
19 to the discussion, I get the sense that we're looking  
20 for ways to have patients potentially admitted to the  
21 hospital by perhaps going back to these '97 Rules and  
22 so forth.

23 But I think the real issue is not how to  
24 get patients admitted to the hospital for I-131  
25 therapy. The question is do these patients really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 need to be admitted to the hospital?

2 And I think that's the issue that needs  
3 to be addressed, and I think in some ways, the  
4 subcommittee report addresses that. I think that's  
5 really the issue.

6 DR. HOWE: I also got comments where,  
7 especially from the American Thyroid Association,  
8 that if there was some intermediate, not full medical  
9 care but some intermediate place that the patients  
10 could go that was still under medical care, but not  
11 for really ill patients.

12 They think that would be a help with this.  
13 And I got that also from some of the patients.

14 CHAIRMAN ALDERSON: These items seem to  
15 relate, again, in some ways to some of the things we  
16 were discussing earlier.

17 And the next report coming up is about  
18 patient release and so, again, I think that fits very  
19 closely with this.

20 So, if there are further comments, we can  
21 take them now or we can move on to Dr. Zanzonico and  
22 his report. And then we can try to pull all that  
23 together in the half hour that remains in the open  
24 session today.

25 Yes, Mr. Green?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. GREEN: Dr. Howe, you've talked a lot  
2 about the mathematics and the dosing, but you also  
3 collected literature, guidance documents, brochures.

4 Is there a way that the NRC can collect,  
5 category, or provide guidance to the industry and the  
6 patients where we can get the best of that?

7 DR. HOWE: The Part One of the process  
8 which was asking for guidance, et cetera, we did a  
9 number of things that you've heard about today.

10 We put up a website that we referenced a  
11 lot of this material so the patients could go and  
12 find it. We just provided an information notice on  
13 best practices for our things to talk about for  
14 releasing patients.

15 So, we've tried to make that information  
16 available.

17 We can probably go back and update things  
18 more, but we are trying to make the information  
19 available on Phase One, and then we're working on the  
20 second paper for Phase Two.

21 CHAIRMAN ALDERSON: Okay, so we'll move  
22 on to Dr. Zanzonico and his subcommittee's report.

23 And if there are members of the public  
24 who are out there and would like to make a phone  
25 comment, a comment over the phone to us, we will try

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 to do that as part of this next and final report,  
2 which will in some ways sort of wrap together some of  
3 these things we've been talking about.

4 So, Dr. Zanzonico is on.

5 VICE CHAIRMAN ZANZONICO: Okay, thank you  
6 very much, Dr. Alderson. So, I'm presenting the  
7 draft report on our subcommittee report on the patient  
8 release commission paper.

9 And as always, I want to thank my  
10 Subcommittee Members. We really had a very engaged,  
11 hardworking committee, all of whom contributed  
12 importantly to what I'll present, Susan Langhorst,  
13 Chris Palestro, Laura Weil, and myself.

14 And the charge of our subcommittee was to  
15 review and provide recommendations on the draft  
16 second paper entitled Staff Recommendations for  
17 Revisions to the Patient Release Program.

18 Just in terms of background, by now, I  
19 think we're all familiar, the current Dose-Based  
20 Patient Release Rule replaced the long-standing  
21 Activity-based Rule, what many of us refer to as the  
22 30 millicurie Rule, in 1997.

23 And as we've heard multiple times now,  
24 the current dose-based rule allows the licensee to  
25 release a patient if the projected Total Effective

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Dose Equivalent, TEDE, to any other individual from  
2 exposure to patient is not likely to exceed 5  
3 millisieverts or 0.5 rem.

4 So, there was an informational document  
5 for the commission, which asked for evaluation of  
6 whether there were gaps in the available data  
7 regarding doses received by doses to the public from  
8 released radiotherapy patients.

9 And if gaps were found, to provide  
10 recommendations on whether and how such data to  
11 address such gaps could be approved.

12 And then there was a subsequent SECY  
13 paper, which identified gaps and primarily related to  
14 internal doses to members of the public because, as  
15 you know, the model guidance ignores the internal  
16 dose contribution, Reg Guide 8.39, for example.

17 And two, whether it addressed the  
18 question of doses, both internal and external, to  
19 members of the public from patients released to  
20 locations other than their primary residence, most  
21 notably hotels and nursing homes.

22 And so the document our subcommittee  
23 reviewed was the draft SECY paper, Staff  
24 Recommendations for Revisions to the Patient Release  
25 Program, and two support documents to that SECY paper.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           One was the results of a licensee survey  
2           on assessment of where patients reside immediately  
3           following their release report.     And a rather  
4           extensive report, which incorporated literature  
5           reviewed plus model calculations.

6           That was entitled Patient Release  
7           Following Radio-Iodine Therapy, a review of the  
8           technical literature dose calculations and  
9           recommendations.

10           So, these were the three documents that  
11           we reviewed in preparing our report.

12           And so the next series of slides presents  
13           our comments and recommendations.   And I think our  
14           entire subcommittee was impressed with the rigor of  
15           the literature review and the model calculations.

16           It was really very thorough, very  
17           balanced, and the model calculations were really  
18           state of the art.   They were based on MCNP6 Monte  
19           Carlo simulations, which really is state of the art  
20           in dosimetry.

21           And as I think we all acknowledged, I  
22           don't think there's very much debate on this point,  
23           and that is that the current dose-based approach to  
24           assessing patient releasability was validated as more  
25           protective of public safety than the activity-based

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 approach.

2           And the reports we reviewed, and as has  
3 been cited multiple times, we know, for example, that  
4 a patient treated for hyper-thyroidism or Graves  
5 disease with as little as 10 to 15 millicuries of I-  
6 131 iodide can certainly deliver a higher radiation  
7 dose to members of their household than a thyroid  
8 cancer patient treated with several hundred  
9 millicuries, just because of the marked difference in  
10 kinetics in those two patient populations.

11           We also concluded that the current 5  
12 millisievert and 1 millisievert projected dose limits  
13 for family members and the general public  
14 respectively should remain a per-event limit, and are  
15 appropriate for all potentially exposed cohorts,  
16 including pregnant women and children, and  
17 importantly, for all radiotherapy administrations.

18           Understandably, the NRC guidance has  
19 dealt primarily with I-131 Iodide treatments, and  
20 that of course, remains the most widely-used type of  
21 treatment.

22           But even now, and certainly in the  
23 foreseeable future, there will be many different  
24 types of radionuclide therapies. Lutetium-177,  
25 peptides to treat neuroendocrine cancer.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   We know that there are remarkably  
2                   specific radiopharmaceuticals targeting prostate-  
3                   specific membrane androgen in prostate cancer. And  
4                   those look like they can easily be translated to a  
5                   therapeutic application.

6                   So, now we're talking about a very big  
7                   population with a new application and a new isotope.

8                   And even though radionuclidetherapy, as  
9                   long as it's been studied, has been disappointing,  
10                  there are new strategies such as multi-step  
11                  targeting, which at least have the potential for more  
12                  effective applications of antibodies in treating a  
13                  variety of cancers and a variety of isotopes.

14                  So, it's important that whatever the NRC  
15                  and the ACMUI recommend, that it not be short-sighted  
16                  and overly dedicated to I-131 Iodide.

17                  We certainly also believe that the 100  
18                  millirem dose limit for requirement patient safety  
19                  instructions should remain in place.

20                  And just a personal note, I think it's  
21                  important to make a distinction between a dose limit  
22                  and, for lack of a better term, what might be  
23                  considered design criteria.

24                  For example, when a facility is  
25                  installing a new CT scanner or any other radiation-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 generating device or installation, there has to be  
2 some criteria applied for things like shielding and  
3 citing of the device and so forth.

4 And often those design criteria are more  
5 conservative than the regulations just for purposes  
6 of practicality and just prudent conservatism.

7 But a design criteria should not be  
8 interpreted as a dose limit, and it absolutely should  
9 not be interpreted as a benchmark above which  
10 something becomes hazardous, and below which it's not  
11 hazardous.

12 And I think in the context of the  
13 discussion of, for example, the precautions for  
14 breastfeeding patients, that, yes, a 100 millirem  
15 limit is sort of a design criteria as to when  
16 precautions should be discussed and recommended, but  
17 should not be interpreted as a regulatory or safe  
18 limit.

19 Third comment, the assumption in  
20 regulatory guidance that the internal dose  
21 contribution is negligible has certainly been  
22 validated.

23 There's actually very extensive  
24 literature which was reviewed which included, among  
25 other things, measurements of the thyroid burden of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 household members of thyroid cancer patients. And  
2 the doses were surprisingly low.

3 There's a rule of thumb that many of you  
4 may know that it is assumed that one-millionth, 10 to  
5 the -6, of the activity from a radioactive patient  
6 gets incorporated into members of the household or  
7 the environment where that patient lives or works or  
8 resides.

9 And in the analysis in the documents  
10 we've reviewed, they went tenfold higher than that,  
11 and assumed it was 10 to the -5.

12 And even that benchmark for  
13 internalization didn't result in a dose limit, an  
14 internal dose limit that significantly contributed to  
15 the overall TEDE.

16 And as was noted, other assumptions and  
17 methods in the regulatory guidance are excessively  
18 conservative, and I would like to make a personal  
19 plug that for NCRP Report No. 155. I've invested  
20 interest, I was the co-author of that report.

21 But I think it incorporates a great deal  
22 of the practical flexibility in generating  
23 recommendations objectively, systematically, and so  
24 forth. And it also includes a template document for  
25 the duration of precautions and so forth.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So, I think, frankly, it addressed a lot  
2           of, for lack of a better term, the shortcomings of  
3           existing guidance on this point.

4           And I think surprisingly, in the survey,  
5           the licensee survey, it demonstrated that patients  
6           staying at hotels following radionuclide therapy is  
7           not a widespread practice.

8           I think if you tally up the results  
9           presented, it was well in the ten percent of all  
10          treated patients actually chose to go to a hotel  
11          immediately post-treatment.

12          And importantly, it was very unlikely to  
13          result in doses to workers and others at greater than  
14          1 millisievert.

15          In fact, the estimates that were  
16          generated, again using conservative assumptions, is  
17          that a hotel worker or a custodian worker taking care  
18          of a room occupied by a radionuclide therapy patient  
19          would get about 5 millisieverts per patient staying  
20          at that hotel.

21          So, that would take 20 such patients  
22          staying at that hotel, and that custodial worker  
23          caring for all of them, to reach the 100 millirem  
24          limit.

25          So, again, I think this was another point

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 where this survey does it completely independently  
2 and by very different methodology arrived at the same  
3 conclusions our ACMUI subcommittee on this point  
4 several years ago.

5 Certainly, instructions must be provided  
6 to the patient well in advance of a planned therapy,  
7 that is not on the day of administration, but without  
8 compromising patient care.

9 And again, there was a great deal of  
10 lively debate within our subcommittee as to whether  
11 there should be a prescriptive time interval  
12 introduced.

13 And we stopped short of recommending that  
14 again in the interest of clinical considerations,  
15 where there may be instances where there may not be  
16 an option in the interest of the wellbeing of the  
17 patient to postpone therapy strictly for the purpose  
18 of making sure there was some prescribed period of  
19 time in advance of which they were given these  
20 instructions.

21 The NRC should consider, we think,  
22 updating Appendix U in NUREG 1556 to reference  
23 Regulatory Guide 8.39.

24 I think in the user community, Reg Guide  
25 8.39, whatever its deficiencies may be, is the go-to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 document for determining patient releasability,  
2 rather than NUREG 1556.

3 And since it's a regulatory, since it's  
4 a guidance, document rather than a rule, it has a  
5 little bit more flexibility and so forth.

6 So, we would recommend keeping 8.39 in  
7 place, and if anything, simply referencing Appendix  
8 U, 8.39.

9 Again, we felt that all of the documents  
10 and information and the documents we reviewed really  
11 validated the ACMUI's Patient Release Report from  
12 2010.

13 And I really want to emphasize that we  
14 think the Patient Release Program should be  
15 applicable to all radionuclides. It should be  
16 flexible and not overly conservative so as to not  
17 encumber the development of new medical procedures.

18 As I said, I think we're -- I know you  
19 won't be into this sort of thing, but I think we are  
20 at the precipice of an expansion in radionuclide  
21 therapy given the development of really molecularly-  
22 targeted agents in some of the big cancers that would  
23 expand the use of radiation therapy.

24 And certainly, no one wants that  
25 encumbered by excessive regulation. And there are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 our abbreviations. So, I'm happy to take any  
2 questions.

3 CHAIRMAN ALDERSON: Thank you, very nice  
4 report. It seems to draw together many of the issues  
5 we've discussed over the last several hours, in a way  
6 that assures us that with good study by this committee  
7 that what's out there is pretty solid.

8 So, we'll take comments now. That may  
9 not be the case but that's how it seems.

10 MEMBER WEIL: Just a question. Pat, I  
11 think on Slide 9 when you were talking about exposure  
12 of hotel workers, either you misstated the values or  
13 I misheard them. Could you just find it?

14 VICE CHAIRMAN ZANZONICO: We read the  
15 document just before and my reading was that the model  
16 calculations based on the Monte Carlo Analysis to  
17 radiation work is on the basis of kinetics of iodide  
18 in the patients. They estimated 5 millirem  
19 per hospital worker -- I mean, per hotel worker per  
20 patient stay. Did I misstate that?

21 CHAIRMAN ALDERSON: Dr. Tapp?

22 DR. TAPP: Yes, this is Katie Tapp. The  
23 document we provided for you guys to review, we did  
24 specify that research was double-checking those  
25 numbers.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And they have identified it's at least  
2                   ten percent lower in 5 millirem. We want to double-  
3                   check it using --

4                   VICE CHAIRMAN ZANZONICO: In our ACMUI  
5                   report, the 2010 report, we estimated that as well  
6                   completely independently and we came up with 30  
7                   millirem, which I think is reasonable agreement,  
8                   given all the variable and confounding factors.

9                   So, I really consider those corroborative  
10                  kinds of results.

11                  CHAIRMAN ALDERSON: Good. Yes, Dr.  
12                  Ennis?

13                  MEMBER ENNIS: I had a couple of  
14                  questions. On this topic, do we want to then  
15                  incorporate that fact into some kind of guidance or  
16                  something that if there is such a place, like at a  
17                  big center that does a lot of these, that hotel  
18                  workers should be measured or they should not care  
19                  for more than X number of patients per year?

20                  VICE CHAIRMAN ZANZONICO: I guess that's  
21                  debatable. If you ask me, it would be no because,  
22                  again, we're trying frankly to parse radiation doses  
23                  to certain cohorts of individuals like hospital  
24                  workers, which are in the weeds frankly. That is  
25                  within the range of variability of background doses.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And I think from a regulatory point of  
2 view, a dangerous precedent, and from a scientific  
3 point of view, an unsavant precedent.

4           So, I think all of the analyses that have  
5 been done to date demonstrate there's no realistic,  
6 there's really no credible scenario, which is how the  
7 document we review phrased it, that hotel workers  
8 would get an excess of 100 millirem.

9           Now, does that mean there might be some  
10 instance where one hotel worker got 103 millirem?  
11 Does that really warrant the implications of  
12 monitoring hotel workers, non-radiation workers?

13           I don't think there's any scientifically  
14 plausible argument for that.

15           MR. BOLLOCK: Dr. Zanzonico, just when  
16 you're saying that plausible cases, because what  
17 we've seen -- are you talking cumulative, multiple  
18 patients in that?

19           VICE CHAIRMAN ZANZONICO: Well, I'm  
20 basing it on what the report had said, that they  
21 estimated 5 millirem per hospital stay, so 20 hospital  
22 stays -- no, the hotel stay. Per stay, not per day.

23           MR. BOLLOCK: Right, so it was per  
24 patient.

25           VICE CHAIRMAN ZANZONICO: Per patient,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 right.

2 CHAIRMAN ALDERSON: So this relates  
3 directly to a comment that was made by a member of  
4 the public right here at the microphone this morning.  
5 Now, I don't know if that gentleman is still here,  
6 probably not.

7 VICE CHAIRMAN ZANZONICO: I think we  
8 would have known.

9 CHAIRMAN ALDERSON: Yes, he'd be up there  
10 at the microphone. Whereas, he was dealing with a  
11 hotel and I guess a hotel across the street from the  
12 Mayo Clinic, where a lot of patients go over, many,  
13 many patients go over, and whether this was an issue.

14 And so I think the question you're asking  
15 isn't -- you're both saying the right thing. It  
16 isn't anything about the scientific credibility of  
17 what's come forward, that's very solid.

18 But the question is are there still  
19 instances of extreme situations where further  
20 consideration might be given? That's what the  
21 question is.

22 And I'll make a further -- so, a  
23 contextual reason why that kind of statement might be  
24 more important in this day and age is because we are  
25 now beginning to live in the era of individualized

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 therapy, precision medicine.

2 It's all over, it's everywhere. All the  
3 medicine that we've done has been based on the concept  
4 that science gives you standard doses for standard  
5 conditions and you treat standard patients with  
6 those. But each individual isn't standard anymore,  
7 according to the people who are pushing precision  
8 medicine.

9 And so a lot of people in high places in  
10 the government and NIH and other places. So, this  
11 is that same reasoning. Yes, there's no question the  
12 science is solid on average, there's no question it's  
13 correct.

14 Are there circumstances where it could,  
15 you know, be reconsidered? And so I guess what Ron  
16 is saying is are there situations where there just  
17 should be some sort of statement that under extreme  
18 conditions, further consideration might be given  
19 individually?

20 And I think that's what he's getting at.

21 MEMBER DILSIZIAN: So, we can take that  
22 step one further, but just take the Mayo patients and  
23 at the end of the day, let's say 5 to 20 patients are  
24 treated, and the same hotel service person is changing  
25 the sheets.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Well, that's an unusual case, that's an  
2 extreme case, and monitoring in that patient, in that  
3 individual, will probably show it. But that's an  
4 extreme case.

5 VICE CHAIRMAN ZANZONICO: I can't imagine  
6 we want to recommend doing radiation monitoring of  
7 random individuals in society.

8 I guess you could come up with a  
9 compromise of a recommendation to the effect that  
10 patients treated at a particular center, if they chose  
11 to stay at a hotel, should not uniformly stay at the  
12 same hotel.

13 Something to that -- it really gets  
14 unwieldy though. And I think the other point to  
15 recognize is that both in our analysis and the ACMUI  
16 analysis and in this analysis, the assumptions were  
17 conservative.

18 So, these are probably significant  
19 overestimates. Again, sort of analogous to the point  
20 Dr. Langhorst made earlier, you get about 5 to 6  
21 millirem in flying from the East Coast to the West  
22 Coast.

23 So, should airline passengers be alerted  
24 if they're transcontinental commuters that they  
25 approach or exceed the 100 millirem limit? That's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 probably a more realistic scenario than would be a  
2 hospital worker scenario.

3 CHAIRMAN ALDERSON: Right, and the  
4 hospital --

5 VICE CHAIRMAN ZANZONICO: The hotel  
6 workers.

7 CHAIRMAN ALDERSON: Mr. Green?

8 MR. GREEN: I'd like to just make a  
9 comment in support of specifically Recommendation 4  
10 not requiring a specific regulatory time limit to  
11 required instructions being given to the patient.

12 We see in the community that a large  
13 proportion of radioiodine-123 uptake in scans morph  
14 same day into a hyper-thyroid or Graves Disease  
15 therapeutic I-131 dose, because the kit is a kit for  
16 the preparation of capsules.

17 So, the pharmaceutical can dispense the  
18 diagnostic I-123 in the morning and have the uptake  
19 and scan performed at the hospital or the clinic.

20 And that patient who came in from out of  
21 town or from rural areas can be dosed the same day,  
22 given an hour, hour and a half, delay, if the pharmacy  
23 can prepare a capsule.

24 So, I would support the need for advice  
25 and direction and guidance and written brochures, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I would not want to impose a time requirement.

2 VICE CHAIRMAN ZANZONICO: And I think  
3 that's why it's important to include verbiage like  
4 without compromising patient care, because as  
5 desirable as it may be to provide instructions as far  
6 in advance as possible, there may just be some real-  
7 world considerations for the well-being of the  
8 patient that supersede that.

9 CHAIRMAN ALDERSON: Dr. Ennis wants to  
10 comment further?

11 MEMBER ENNIS: Yes, so on this topic, two  
12 aspects. So, one, it may be possible to say something  
13 like some encouraging language that would be given in  
14 advance while then allowing an escape, if you will,  
15 when medical decisions are needed.

16 But I'm still a bit uncomfortable with  
17 this requirement of giving patient information in  
18 that I don't see how that really is going to happen  
19 in any way that's going to change anything.

20 If we're talking about the patients, I  
21 mean, particularly what we were talking about before,  
22 where it seems like what we were basically saying is  
23 we're going to have to out and educate the  
24 endocrinologists about six weeks in advance.

25 In my opinion, this is Authorized User

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 territory. It's my responsibility as an Authorized  
2 User to take care of that.

3 In fact, that's one of the crucial and  
4 core elements of my responsibility, is authorizing to  
5 take care of the patient protection issues, not the  
6 endocrinologist.

7 So, I think what we really need is  
8 patients need to be referred in earlier. We need to  
9 change practice.

10 Pat, I know this is potentially a big  
11 deal, but I don't see that punting it to an  
12 endocrinologist or another physician is an effective  
13 way of protecting the public.

14 And if that means educating our referring  
15 physicians that a patient needs to come for a consult  
16 first and treatment six weeks later, then I think  
17 that's what it means.

18 Otherwise, I don't think we're really  
19 carrying out our responsibility as an Authorized User  
20 in these settings.

21 CHAIRMAN ALDERSON: And ultimately to  
22 consider the Mayo Clinic scenario again.

23 You recall this morning that when the  
24 gentleman was speaking, I made an example of hospital  
25 workers at New York Presbyterian, and I said in those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cases when those things came up, we studied it.

2 You know, we put monitors out, we checked  
3 it out. In every case, there was no problem. So,  
4 the same thing obviously can be done with the Mayo  
5 Clinic and the hotel.

6 So, this is not difficult to do. I don't  
7 think it's the responsibility of the NRC to educate  
8 them to all do that, but I think it's in fact easy to  
9 do. If that's really a concern, they can study it.

10 Further comments or questions on this  
11 issue? Yes, Mr. Daibes?

12 DR. DAIBES: Is there a ratio on how many  
13 patients are in a location in the United States?

14 VICE CHAIRMAN ZANZONICO: Well, I can't  
15 speak for other institutions.

16 We've done very, very few in-patient,  
17 although just alluding to the earlier very legitimate  
18 point that was made about insurance companies, we do  
19 have patients that stay in the hospital that are  
20 either incontinent or suffer from some sort of  
21 dementia where they can't possibly be expected to  
22 follow various precautions, and they do stay in the  
23 hospital.

24 This is not the only medical instance  
25 where they want us to fight with insurance companies

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and where they deny coverage.

2 As unfortunate as it is, that just  
3 strikes me as beyond the scope of our committee. But  
4 to answer your question, very few patients nowadays  
5 are treated as in-patients, at least at Memorial,  
6 practically none.

7 CHAIRMAN ALDERSON: Other questions or  
8 comments on this report? Thank you very much, Dr.  
9 Zanzonico. I think your Committee did an excellent  
10 job with that. It's just a couple of minutes until  
11 3:00 P.M.

12 MR. CRANE: Do you just want to go to the  
13 phones?

14 CHAIRMAN ALDERSON: Yes, absolutely,  
15 you're correct. Yes, you're correct.

16 VICE CHAIRMAN ZANZONICO: I'm sorry for  
17 the SECY report, do we want to endorse the report?  
18 Do we want to make a motion to endorse the report?

19 CHAIRMAN ALDERSON: First thing we want  
20 to do, and I promise to do it about 15 minutes ago  
21 and was about not to do it, is to make sure that  
22 there's no one on the phone who would like to comment  
23 on this issue or any of these issues in the last  
24 hours?

25 MR. CRANE: Yes, please.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: We have a comment  
2 from the phone?

3 MR. CRANE: Yes.

4 CHAIRMAN ALDERSON: Hello, yes, please  
5 identify yourself, and speak up a bit.

6 MR. CRANE: Yes, this is Peter Crane.

7 CHAIRMAN ALDERSON: Oh, it's Peter Crane.

8 MR. CRANE: Former NRC lawyer, also 44-  
9 year survivor of thyroid cancer.

10 I was treated as an outpatient with I-131  
11 twice with 29.9 millicuries in order to ablate the  
12 remnant, and then 5 doses as an in-patient with 100,  
13 150, 150, 150, 150, for what was supposed to be a  
14 recurrence later on at NIH.

15 So, I have some experience of this.

16 I can tell you a lot of about the genesis  
17 of the 1997 rule but I can't do it in two minutes.  
18 I'd be happy, Dr. Alderson, to send you a memo that  
19 I sent to the NRC a few years ago that will illuminate  
20 this. It is not a pretty story.

21 You will perhaps know that the idea of  
22 going to a dose-based rule was raised originally in  
23 1980 by Dr. Eugene Saenger at the University of  
24 Cincinnati, best known for the human radiation  
25 experiments he conducted.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The NRC in 1986 codified its rules, put  
2 what had been license conditions into Part 35.

3           And they said there was this proposal for  
4 going to a dose-based standard and that this was  
5 unacceptable because, although it's easy to do the  
6 calculations, the mathematics is not that difficult,  
7 it's the underlying assumptions of knowing how close  
8 the patient is to whom.

9           The original proposal in 1997 that came  
10 in was to relax the Rule for everything except I-131  
11 because it was known that I-131 was a special case.

12           However, then a proposal came in from the  
13 American College of Nuclear Medicine that said we  
14 should have up to 400 millicuries of I-131, and the  
15 original petitioner changed the petition to remove  
16 that exception for I-131.

17           There were comments, highly critical  
18 comments, from six states all saying I-131 is a  
19 special case, it presents dangers that none of the  
20 others do.

21           But none of this got to the Commission.  
22 Instead, it was represented as this very popular thing  
23 that was going to be good for the patient because it  
24 was going to increase flexibility.

25           There was going to be greater choice

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 between in-patient or out-patient and there was going  
2 to be a psychological benefit, and so forth.

3 Now, what people did not realize at the  
4 time, and I think Dr. Laura Weil made this point,  
5 that the NRC has got to own the facts of the insurance  
6 situation.

7 The thought was that in appropriate  
8 cases, patients could go home if they lived by  
9 themselves, if they could take care of themselves,  
10 and this would be a plus. But the patient who needed  
11 it would stay in the hospital.

12 The problem was that was soon as the rule  
13 was passed, a lot of insurance companies decided as  
14 a blanket matter that they weren't going to pay for  
15 any in-patient treatment.

16 So, the doctor who prescribed in-patient  
17 treatment was in danger of not being reimbursed.

18 And the effect of this, the best evidence  
19 of this, is an ACMUI Meeting from 2007, where Dr.  
20 Leon Malmud, who has been the Chairman of the  
21 committee, says that in his hospital, we whisk them  
22 all out the doors as quickly as possible.

23 Nobody is an in-patient anymore, there is  
24 no question of that. A Dr. Eggli says it's impossible  
25 to get an authorization for in-patient treatment even

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 when I have family situations that require it.

2 So, the point is do you want to make  
3 doctors spend time on the phone that could be used to  
4 be treating patients, instead fighting with insurance  
5 companies who are adamantly refusing?

6 The answer is it's much easier to send  
7 everybody home and that's become the new norm. Now,  
8 Dr. Zanzonico said that -- I should put in a note.  
9 There was some question of the gentleman this morning  
10 and why he isn't there.

11 I think that is Paul Gunter, and Paul  
12 Gunter is with an organization called Beyond Nuclear,  
13 and he is tied up this afternoon and regrets not being  
14 able to be there.

15 He is tied up making sure the nuclear  
16 plants in Texas that have been affected by the  
17 hurricane are in the process of safe shutdown. So,  
18 it's not lack of interest on his part that he's not  
19 there.

20 Dr. Zanzonico makes the point that the  
21 dose-based rule is not less protective than the  
22 activity-based rule.

23 The NRC said in 1997, yes, we know that  
24 there's better protection afforded to the family  
25 members in the hospital than in the home.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But this is offset by the fact that staff  
2 members are receiving less doses, and so will people  
3 who make frequent visits to the hospitals like members  
4 of the clergy.

5           The other thing about the 1997 rule is  
6 that it's based, as has been acknowledged repeatedly,  
7 only on external dose. It disregards internal dose.

8           This was based on the advice of the NRC's  
9 consultant, Dr. Pollycove, who is a believer in  
10 hormesis, who thought that I-131 was not cancer  
11 carcinogenic.

12           But the 30 millicurie rule protected  
13 against both internal and external dose. The dose-  
14 based rule protects only against external dose. So,  
15 I disagree and there are studies.

16           There's a study by Dr. Grigsby on a  
17 handful of patients. He had his patients be at the  
18 other end of the room taking several showers a day,  
19 while there were film badges on the family members in  
20 the other part of the house. That's not good data.

21           And Dr. Grigsby also says he's treated  
22 1000 patients, he told the NRC he's treated 1000  
23 patients and never had a case of vomiting. Tell that  
24 to an audience of thyroid cancer patients and they'd  
25 burst out laughing, because we know better.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Dr. Zanzonico says that ten percent of  
2 hotels is a small number. I don't think that's a  
3 small number, and if the patient is pregnant, if the  
4 hotel worker, rather, is pregnant or nursing -- I  
5 made a presentation at an International Atomic Energy  
6 Agency Conference in Bonn in 2012 on the subject of  
7 this rule.

8           Incidentally, the guy who was chairing  
9 that session was a doctor at Sloan Kettering and he  
10 announced cheerfully from the platform that the NRC's  
11 Rules said 500 for caregivers, 100 for members of the  
12 public.

13           And I had to put up my hand and say that's  
14 a common misconception. That's not the Rule, just  
15 read the Rule.

16           Well, I can tell you the people in Bonn  
17 were just appalled at the idea that hotel workers  
18 were being exposed to radiation without their  
19 knowledge. Because the whole basis of radiation  
20 protection is informed consent, and there is no  
21 informed consent to these people. And I think  
22 dragging in irrelevancies about how you can get more  
23 radiation on a plane, that's fine.

24           If you're pregnant and you don't want to  
25 fly or you don't want to go to Denver or whatever,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that's your privilege.

2 But these people are being exposed  
3 without their knowledge. And the suggestion was made  
4 that perhaps we could arrange for them to go to  
5 different hospitals.

6 Well, Dr. Zanzonico's hospital, Sloan  
7 Kettering, gives more I-131 treatments than any other  
8 in the world.

9 And it is a fair bet that if you're a  
10 patient there, you're going to one of the eight hotels  
11 listed on the MSKCC website as having preferential  
12 rates for Sloan Kettering patients.

13 And as far as informing them, you could  
14 simply do this.

15 You could say it is a reasonable  
16 inference if you are a hospital giving a treatment to  
17 somebody who comes from overseas or across the country  
18 that upon release, they are either going to the  
19 airport, which is unlikely, or they're going to a  
20 hotel. Because they're not going to be sleeping in  
21 Central Park.

22 So, if you are releasing somebody from  
23 far away and you make a reasonable effort to ascertain  
24 where they're going, you ascertain that they're going  
25 to a hotel, you say, fine, we'll call the hotel and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 tell them by the way we are sending over a patient  
2 who has 200 millicuries of radioactive iodine in their  
3 system.

4 They will be emitting some of this, just  
5 want you to know that. You might want to assign  
6 somebody who is not of childbearing age and definitely  
7 not pregnant to clean the room.

8 What's so wrong with that? What's wrong  
9 with that is that no hotel in its right mind would  
10 let you get away with that. Isn't that correct?

11 So, I don't want to take more of your  
12 time, but Dr. Alderson, if you don't mind, I will  
13 send you an account of the genesis of the 1997 Rule.  
14 I'll send you the paper that presented in Bonn.

15 CHAIRMAN ALDERSON: We thank you for  
16 that, Mr. Crane.

17 I think the best way to make sure the  
18 Committee gets most of it seen or that we can get it  
19 around is in fact to send it to Sophie Holiday, and  
20 she will see that I get it or the committee gets it  
21 as best is relevant.

22 And Sophie's address is widely available.

23 MR. CRANE: Okay, will do.

24 CHAIRMAN ALDERSON: And she's smiling now  
25 in anticipation of receiving this document. So,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 thank you very much for your comments.

2 Those were very helpful and continue to  
3 illuminate what is, in fact, still, despite excellent  
4 science, a somewhat controversial subject. Thank you  
5 very much.

6 MR. CRANE: Goodbye.

7 CHAIRMAN ALDERSON: Goodbye. Anyone else  
8 on the phone? I'm hearing none. Are there any  
9 further comments on this issue as we get ready wrap  
10 up the open portion of today's meeting?

11 Hearing and seeing none. We'll call the  
12 open session to close. I'm sorry, there was a hand?

13 VICE CHAIRMAN ZANZONICO: We need to act  
14 on -- we need to have that motion.

15 CHAIRMAN ALDERSON: Yes, you're right,  
16 we didn't act on this document. All right, so we  
17 need a motion.

18 VICE CHAIRMAN ZANZONICO: Can I make the  
19 motion?

20 CHAIRMAN ALDERSON: Make the motion.

21 VICE CHAIRMAN ZANZONICO: I make the  
22 motion to approve the subcommittee report.

23 CHAIRMAN ALDERSON: Is there a second?  
24 Is there further discussion of this motion? I'm  
25 hearing none. All in favor, raise your hand. That's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 unanimous.

2 And that should then close the business  
3 of today. Sophie, is it possible to take a brief  
4 break now?

5 Yes, ten-minute break and then we'll  
6 reconvene at about 3:30 p.m., and we'll go along with  
7 the training part of the session.

8 (Whereupon, the above-entitled matter  
9 went off the record at 3:20 p.m.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701