

Official Transcript of Proceedings
NUCLEAR REGULATORY COMMISSION

Title: Advisory Committee on the Medical
Uses of Isotopes: Open Session

Docket Number: (n/a)

Location: Rockville, Maryland

Date: Tuesday, September 12, 2017

Work Order No.: NRC-3233

Pages 1-144

NEAL R. GROSS AND CO., INC.
Court Reporters and Transcribers
1323 Rhode Island Avenue, N.W.
Washington, D.C. 20005
(202) 234-4433

UNITED STATES OF AMERICA
NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

+ + + + +

OPEN SESSION

+ + + + +

TUESDAY,

SEPTEMBER 12, 2017

+ + + + +

The meeting was convened in room T2-B3 of
Two White Flint North, 11545 Rockville Pike,
Rockville, Maryland, at 8:30 a.m., Philip Alderson,
M.D., ACMUI Chairman, presiding.

MEMBERS PRESENT:

PHILIP O. ALDERSON, M.D., Chairman

PAT B. ZANZONICO, Ph.D., Vice Chairman

VASKEN DILSIZIAN, M.D., Nuclear Cardiologist

RONALD D. ENNIS, M.D., Radiation Oncologist

SUSAN M. LANGHORST, Ph.D., Radiation Safety

Officer

DARLENE F. METTER, M.D., Diagnostic

Radiologist

MICHAEL D. O'HARA, Ph.D., FDA Representative

CHRISTOPHER J. PALESTRO, M.D., Nuclear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

Medicine Physician

JOHN H. SUH, M.D., Radiation Oncologist

LAURA M. WEIL, Patients' Rights Advocate

NON-VOTING: ZOUBIR OUHIB*

NON-VOTING: RICHARD GREEN

NRC STAFF PRESENT:

MARC DAPAS, Director, Office of Nuclear
Material Safety and Safeguards

DANIEL COLLINS, Director, Division of Material
Safety, State, Tribal and Rulemaking Programs

DOUGLAS BOLLOCK, Chief, Medical Safety and
Events Assessment Branch, ACMUI Designated
Federal

Officer

LISA DIMMICK, Medical Radiation Safety Team
Leader, ACMUI Alternate Designated Official

SOPHIE HOLIDAY, ACMUI Coordinator, ACMUI
Alternate Designated Official

MARYANN AYOADE, NMSS/MSTR/MSEB

JACKIE COOK, R-IV/DNMS/MLIB

SAID DAIBES, Ph.D., NMSS/MSTR/MSEB

ASHLEY FERGUSON, NRO/DCIP/QVIB3

LATISCHA HANSON, R-IV/DNMS/MLIB

DONNA-BETH HOWE, Ph.D., NMSS/MSTR/MSEB

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

KEVIN NULL, R-III/DNMS/MIB

DENNIS O'DOWD, R-III/DNMS/MIB

GRETCHEN RIVERA-CAPELLA, NMSS/MSTR/MSEB

ZAHID SULAIMAN, R-III/DNMS/MIB

KATHERINE TAPP, Ph.D., NMSS/MSTR/MSEB

TORRE TAYLOR, NMSS/MSTR/RPMB

IRENE WU, NMSS/MSTR/SMPB

MEMBERS OF THE PUBLIC PRESENT:

BETTE BLANKENSHIP, American Association of
Physicists in Medicine (AAPM)

ASHLEY COCKERHAM, SirTex Medical

WANDA COSTELLO, *Unaffiliated*

MICHAEL FULLER, *Unaffiliated*

DESIREE KENNEDY, Elekta, Inc.

CAITLIN KUBLER, Society of Nuclear Medicine and
Molecular Imaging

RICHARD MARTIN, American Association of
Physicists in Medicine

STEVE MATTMULLER, Kettering Health

MICHAEL PETERS, American College of Radiology

JOSEPHINE PICCONE, Ph.D., *Unaffiliated*

CRAIG PIERCY, American Nuclear Society; Bose
Public Affairs Group

MICHAEL SHEETZ, University of Pittsburgh

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

ROBERT THOMAS, Elekta, Inc.

CINDY TOMLINSON, American Society for Radiation
Oncology

*Present via teleconference

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

CONTENTS

NRC Online Resources.....	5
Source security and accountability.....	17
Physical Presence Requirements for the Leksell Gamma Knife Icon Subcommittee Report.....	39
Yttrium-09 Microspheres Brachytherapy Licensing Guidance.....	79
Enhancing Communications with the Medical Community.....	98
Special Presentation for Mr. Francis Costello....	118
Special Presentation for Dr. Susan Langhorst.....	132
Thoughts on Leaving the ACMUI.....	136
Adjourn.....	145

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

P R O C E E D I N G S

(8:30 a.m.)

1
2
3 MR. BOLLOCK: Good morning, everyone.
4 Start of the second day of the ACMUI meeting. And I
5 will turn it over to Dr. Alderson.

6 CHAIRMAN ALDERSON: Well, so this morning
7 we are going to start with Sophie Holiday who is going
8 to tell us about some of the NRC online resources. I
9 do want to mention that the status update on source
10 security given by Ms. Wu will follow Sophie's
11 presentation directly. That presentation by Ms. Wu
12 was originally scheduled yesterday, but it was changed
13 to this morning. So that will be from 8:45 to 9:15.
14 Sophie?

15 MS. HOLIDAY: All right. So I am going to
16 do my presentation over hear from the computer so you
17 guys can't see my face in the front and center of the
18 room. So for those of you who do not know, NRC has a
19 public website. You can access it through
20 www.nrc.gov. The purpose of my presentation today is
21 that there was a lot of discussion at various
22 professional society organizational meetings about
23 wondering how to access NRC's tools, for lack of a
24 better word -- online resources, what information is
25 available to members of the public and how easy is it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to navigate the public website.

2 I can tell you, I have worked for the NRC
3 for almost 10 years and it is not always the easiest
4 to navigate. There has been a time where I have
5 actually had to call Dr. Langhorst to ask how she got
6 certain documents.

7 (Laughter.)

8 MS. HOLIDAY: When you go to www.nrc.gov
9 this is the page that will pull up. There are various
10 tabs here, and for those of you who are interested in
11 medical, for obvious reasons, there is a section here
12 called nuclear materials. Under this tab you will see
13 special nuclear material; source material; byproduct
14 material; medical, industrial and academic uses;
15 radium; uranium recovery; fuel cycle facilities;
16 materials transportation and research activities. The
17 most relevant to this group will be the medical,
18 industrial and academic uses.

19 So when you click that link this website
20 pulls up. Can you all see this?

21 CHAIRMAN ALDERSON: Yes.

22 MS. HOLIDAY: Okay. So under here it
23 gives you all of the basic information about what we
24 regulate. On the right side, the key topics and the
25 related information are probably going to be your most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 used website links. There is enforcement actions.
2 This is of course like the information I have provided
3 to the Committee over the weekend.

4 Information about patients administered of
5 radioactive iodine -- so for patient release
6 information. The medical use licensing Part 35
7 Toolkit -- this is the website that I use the most.
8 Response to the 2016 GAO Audit -- this is a
9 presentation that we gave to the ACMUI a year ago.
10 And then if you scroll down under related information,
11 there is the ACMUI link. There is also an MOU or
12 Memorandum of Understanding between the NRC and the
13 FDA.

14 And then public availability of material
15 licensee applications and of course a NUREG. So if --
16 uh-oh. If we click the Toolkit, this is going to be
17 your best friend -- for members of the public, for
18 public stakeholders, for licensees. Under here we
19 have a section called announcements. All of our most
20 relevant information we post on this link. So when we
21 were doing the Part 35 rule making, this was something
22 that was included under announcements. When we were
23 doing the data collection for patient release, that is
24 also under this section. So that part is updated
25 periodically.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 As Doug mentioned yesterday we do have --
2 we created a website for patient release as a result
3 of Dr. Howe's project -- ongoing project -- that is
4 another link that we created. So I will just open
5 that very quickly. Uh-oh. Sorry, give me just a
6 second. So this is the website. So information for
7 patients administered radioactive iodine -- there is a
8 lot of background information. This is pretty much
9 telling you why we created this website.

10 And as Doug indicated, there are a lot of
11 links to other professional society documentation. So
12 this is all definitions and then here is links to
13 ThyCa information. There's information from SNMMI.
14 There are links to NRC's regulatory issue summaries,
15 or RISs. There is a radiation dose assessment
16 resource, so this is a particular area where anybody
17 who is needing broad information about patient release
18 can go to our website and find information about it.

19 Okay, so if we go back to the medical
20 toolkit, there are other major topics that we have
21 identified. So of course, the ongoing shortages of
22 molybdenum-99 and technetium-99m. Regulations and
23 medical policies statement -- the purpose of medical
24 event reporting. Medical event presentations -- if
25 you will recall Doug made a mention of how after this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 meeting ends -- shortly after we will post the ACMUI's
2 medical event presentation from this year. That gets
3 posted on there along with Donna-Beth's standard
4 presentation that she does in the spring as well.

5 The Medical List Server -- this is a very
6 important link. NRC will send information out to a
7 List Server, which is -- anybody can subscribe to it.

8 Any time medical related information is published in
9 a federal register -- so that could be the ACMUI
10 meetings. That could be the patient release data
11 collection information, the issuance of 10 CFR 35.1000
12 licensing guidance -- we dispatch that through the
13 Medical List Server.

14 Another link is the Emerging Technologies
15 and 10 CFR 35.1000 table. So I am going to scroll
16 down after I go through these links. And that lists
17 all of the -- it houses all of the links to our
18 35.1000 licensing guidance documents, along with other
19 emerging technologies that staff may have determined
20 not to be 35.1000 such as Radium-223 Dichloride.

21 There's other links for guidances, or
22 other guidance, inspection procedures, license types,
23 fees and forms. So just to scroll very quickly --
24 again, this is the announcements section. And this is
25 the little blurb about the moly-99 and technetium-99m.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Under regulations the medical policy statement there
2 is a link to the 2014 proposed rule, the current Part-
3 35.

4 And then if you scroll down, your other
5 various links -- the Medical Policy Statement, other
6 10 CFR parts that are relevant to byproduct material
7 or source materials. And then of course I mentioned
8 regulatory issue summary earlier so you can get a link
9 to all of the regulatory issue summaries that are
10 related to medical use licensees from 1999 going
11 forward, as well as information notices that we have
12 published as well.

13 So here is a section about why we do
14 medical event reporting. Of course that was one of
15 the hot topics yesterday. Dr. Langhorst gave a
16 presentation about medical event reporting and its
17 impacts on safety culture at medical-use licensees.
18 So staff developed -- Dr. Daibes developed this little
19 blurb about why we actually require medical event
20 reporting. So you can read this information if you
21 are interested.

22 And here are the links from 2014 going
23 forward for the Medical Event presentations. This is
24 obviously a relatively new thing that we put onto our
25 website. So it can show you the differences between

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 staff's report of medical events for the fiscal year
2 as well as the ACMUI's medical event reporting.

3 So here is a list for if your -- Medical
4 List Server. All it is is you click it and it tells
5 you how to request subscription to the Medical List
6 Server. Emerging technologies and 35.1000 -- this
7 explains to you why we have 35.1000, or 10 CFR part 35
8 subpart K. And here are all of the emerging
9 technologies that staff has handled since
10 approximately 2002. So here it will tell you if it
11 was licensed under 35.1000, if the request was
12 retracted, if it was licensed under a different
13 section.

14 And if there were older guidances, such as
15 for the Y-90 microspheres, we have also kept that as a
16 hyperlink. So you can see the differences between the
17 older versions and the current version. So we will
18 hear later from Dr. Suh's subcommittee about the
19 Perfexion/Icon. So prior to that it was just the
20 Perfexion license. After May 2016 it was superseded,
21 combining the Perfexion and Icon into a new licensing
22 guidance document.

23 So if you scroll down you will see other
24 guidance documents. These are really what we call our
25 NUREGs. So NUREG-1556 Volume 9 -- this is probably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the most relevant to everybody in this room. This has
2 to deal with guidance about how to apply for a
3 materials license. Dr. Langhorst is very, very
4 familiar with this along with the other volumes here.

5 But once these documents are updated --
6 included the Part 35 language slated for early next
7 year, this website will be updated as well. And these
8 are other guidance documents that staff finds of use
9 for the medical community. There is also a link for
10 specialty board certification. There is another
11 document -- this will be updated soon -- High Dose-
12 Rate Remote Afterloader Brachytherapy Devices Approved
13 for Patient Treatment Using Sources Exceeding 10
14 Curies.

15 Here are a link to some of the most
16 relevant inspection procedures for medical use
17 licensees. So if you ever want to know how NRC will
18 inspect your program, these are the inspection
19 procedures that we are looking for -- or that we are
20 using. And these of course are the program codes for
21 the various different license types. And then of
22 course fees and then additional forms that you would
23 have to use as a medical use licensee.

24 And then the only other website I want to
25 show you is one that everybody in this room should be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 very, very, very familiar with. This is the ACMUI's
2 website. So all information related to ACMUI I will
3 post on this website. So this is the general
4 information about the committee. This is a link to
5 the membership -- the history of ACMUI, the ACMUI's
6 charter -- which is renewed every two years. It will
7 be up for renewal next year. The ACMUI bylaws, which
8 the ACMUI voted on and approved a few years ago.

9 And then this link -- ACMUI meetings and
10 subcommittee reports. This is where you will find
11 information related to all of our public meetings. So
12 for example, 2017 -- currently we only have the April
13 26th and 27th and then the September 11th and 12th
14 meetings for this year. You will find the agenda, the
15 transcripts, the meeting handouts and the meeting
16 summaries. Obviously, we don't have a transcript for
17 this meeting yet because it is still ongoing, but once
18 that is available we post this -- approximately 30 to
19 60 days after the meeting.

20 A meeting summary will be posted as well
21 for this meeting. So for anyone that would like to
22 get a transcript of everything that has happened in
23 this meeting, you are able to get this on our website.

24 And then this information goes all the way back until
25 1993.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So that's just the gist of our public
2 website. I wanted to give this overview presentation
3 so that the committee and the audience members -- both
4 here in the room and on the website -- are able to
5 know how you can navigate our website to get
6 information. I guess one final link that I will
7 mention -- because I am thinking of Dr. Langhorst --
8 is public meetings.

9 And so when you are trying to watch our
10 meeting on webcast you can click here under public
11 meetings. If there are commission meetings that are
12 current, you can also click under here. And so this
13 will give you the commission meeting webcast
14 information, public meeting schedule -- the public
15 meetings schedule lists all public meetings that are
16 happening at the NRC, not just medical or materials.
17 This includes reactor information as well.

18 And so for each commission meeting there
19 are always transcripts available shortly after the
20 meeting. If there are meeting handouts those are
21 posted on that website as well. Are there any
22 questions from the committee?

23 (No audible response.)

24 CHAIRMAN ALDERSON: Any questions for Ms.
25 Holiday? Shall we ask people on the phone? Because I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 wonder if anyone is listening in or watching. Anyone
2 on the phone or watching who would like to ask a
3 question about the website?

4 (No audible response.)

5 CHAIRMAN ALDERSON: Hearing none, any
6 final questions from the audience? Yes, Dr. Howe.

7 DR. HOWE: Can you pull up SECY papers.

8 MS. HOLIDAY: Sure I can. That's going to
9 be a trick for me because I always do that from our
10 internal -

11 (Off-microphone comments)

12 MS. HOLIDAY: Here I am, still getting
13 schooled on our website.

14 (Laughter.)

15 MS. HOLIDAY: So under document
16 collections you will scroll down -- oh, here you can
17 also see the other links to the ACMUI, ACRS, ACNW&M.
18 And then our -- our major collection for all documents
19 as well -- reg. guides, the regulations, NUREGs,
20 management directives, MOUs. Right.

21 So under Commission documents this will
22 house all of our SECY papers, or commission papers,
23 SRMs, Staff Requirements Memorandum -- which is when
24 the commission directs staff to do something, or it is
25 their response to a paper or a meeting -- Commission

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 voting records -- if you want to see individual
2 Commissioners' votes for any documents that have come
3 across their desks.

4 COMs or COMSECYs, Commission Action
5 Memorandums -- so a couple members asked me yesterday
6 when there was a reference to COMWDM instead of a
7 regular SRM or regular SECY, that's -- that can be
8 found here. And this is a link that Dr. Langhorst
9 directed me to a couple weeks ago for commission
10 meeting agendas, slides, transcripts, meeting SRMs,
11 and full-written explanations for closed meetings.

12 So for the Part 35 affirmation vote, this
13 is how she was able to obtain that information -- by
14 clicking this link. Thank you, Donna-Beth.

15 CHAIRMAN ALDERSON: Good. Any other
16 questions? Yes?

17 MEMBER WEIL: Well on the previous page,
18 what is the difference between --

19 CHAIRMAN ALDERSON: Your microphone?

20 MS. HOLIDAY: What previous page?

21 MEMBER WEIL: If it's under --

22 MS. HOLIDAY: Under the Medical Toolkit?

23 MEMBER WEIL: No, no. It was under the --
24 in the document collections, go down to ACMUI.

25 MS. HOLIDAY: It's only red because I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clicked it.

2 MEMBER WEIL: Oh.

3 MS. HOLIDAY: I'm sorry.

4 CHAIRMAN ALDERSON: Any other questions?

5 (No audible response.)

6 CHAIRMAN ALDERSON: Well, thank you very
7 much for this walk through the website.

8 MS. HOLIDAY: Thank you.

9 CHAIRMAN ALDERSON: Now the next item on
10 the agenda as previously announced is Irene Wu, who
11 will give us a status update on the source security
12 and accountability.

13 MS. WU: Okay. Good morning, everybody.
14 So I was here last April -- end of April giving you an
15 update. And at that point we had just finished -- we
16 had finished all of our public outreach related to the
17 Category 3 Source Security and Accountability
18 Reevaluation. So this presentation today is going to
19 kind of do a short recap of some of the slides that
20 you saw the last go-round, but we are not going to
21 recap some of the -- oh, thank you -- the -- the
22 outreach because we went through that the last time.
23 And we will go forward and talk more about what the
24 conclusions and the recommendations that came out of
25 this paper.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So if you recall this -- this slide is
2 part of the last presentation with a few changes.
3 Overview -- basic overview, you know, there is a lot
4 of history for source security and accountability
5 initiatives going on at the NRC. A lot of them stem
6 from past GAO licensing audits and investigations.

7 Then back in 2009 we looked at Category 3
8 for the first time -- whether or not we wanted to
9 track those type sources in the national source
10 tracking system. If you recall then in 2016 -- at the
11 end of 2016 we finished our internal -- or, program
12 review of the Part 37 -- 10 CFR Part 37 Physical
13 Security Requirements for Category 1 and 2 material.

14 There was also another GAO investigation
15 that began a few years prior. That report was also in
16 2016. And then there was this staff requirements
17 memorandum that directed us to look at whether we
18 needed to do more for Category 3 sources. So that was
19 the last bullet under 2016.

20 So 2016, 2017 -- very busy. We -- in the
21 early part of 2017 -- and I have a slide coming up on
22 this -- in February we issued an information SECY
23 paper that had some information on kind of everything
24 that we were doing and how we were going to -- some
25 work on this Category 3 reevaluation. And then just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in August, the SECY paper on the reevaluation went up
2 to the commission. And so it's -- it's on their desks
3 and they are looking at it -- and that's SECY-17-0083.

4 And that is available on the public website that
5 Sophie showed you just a second ago.

6 And then what we see coming is we know
7 that GAO is indicated to us in the past that they plan
8 to do another audit on Part 37. And then -- this will
9 make more sense in a little bit -- but we do plan to
10 do an integrated rule making plan covering a lot of
11 the rule making recommendations that came out of some
12 of these -- these items that I just talked about.

13 So this is just a highlight of the nine
14 tasks -- it is split on two slides -- the nine tasks
15 that were specifically delineated in the Staff
16 Requirements Memorandum. And what I just wanted to
17 mention is that, you know, as you can see it's a -- a
18 lot of things recovered in the ten months that this
19 working group reviewed everything and the main things
20 that -- that took a lot of time and effort were the
21 collaboration with all affected stakeholders.

22 We did a lot of public outreach in the
23 beginning. There was also on the previous slide the
24 vulnerability assessment piece -- looking at whether
25 the threat changed at all. And then also the cost-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 benefit analysis on task number 5. So those were sort
2 of three things that, hadn't been done in the past, in
3 the initial evaluation, and really fed into how we
4 came about these recommendations in this -- in this
5 analysis.

6 So as I mentioned before, SECY-17-0025 --
7 this is that information paper that went up in
8 February. I don't think I mentioned this last time,
9 so I did want to have a slide on it this time. But
10 again, this just highlighted sort of the activities
11 that came about following that last GAO audit. The
12 working groups -- the pre-licensing working group --
13 or, enhancements to pre-licensing guidance working
14 group and the -- which is PLWG -- and the LVWG, which
15 is the License Verification and Transfer of Category 3
16 Sources working group.

17 Main thing to point out was the paper told
18 the commission that we were going to be taking the
19 recommendations that came out of the LDWG and merging
20 those into the Category 3 initiatives that I worked
21 on. And then we also mentioned to the commission hey,
22 we are starting up this working group and these are
23 the activities that they will be working on. And then
24 also mention to them that there is this plan to
25 integrate all of these rule making activities -- the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ones that have already been finished -- like those
2 related to the Part 37 Program Review -- and then
3 anything that would come out of the Category 3
4 analysis.

5 And then sort of prior to all of this
6 there was a SECY paper that went up to the commission
7 -- SECY-16-00115 -- related to financial assurance of
8 Category 1 and 2 sources. And so the plan is to
9 integrate all of that. And I have a diagram on the
10 next slide that shows this in a graphical format.

11 So again the yellow box in the middle is
12 all the Category 3 activities. And it gives you an
13 idea of the timelines and -- and if you look right now
14 we -- we just finished that purple circle -- oval in
15 the middle of the commission paper. The red box is
16 essentially the -- everything that came out of the GAO
17 audit. And then the Part 37 program review is in the
18 orange.

19 And again, the plan is to integrate all of
20 the rule making activities mainly because it is a lot
21 of the same constituents that would be affected. And
22 so we don't want to be opening up the rules multiple
23 times. So we want to be efficient and effective in
24 that.

25 Okay, so now moving into what the working

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 group for the Category 3 reevaluation really looked
2 at. Again, we had four main activities. So from those
3 nine tasks we bundled them into four activities. The
4 first activity was really taking the previous working
5 group -- the License Verification working group --
6 what they did and the recommendations they had and
7 building upon them. And then from there we developed
8 options and pros and cons for each of those options.

9 Activity two was the vulnerability
10 assessment, the threat piece. And we looked, like I
11 said, the current threat environment, evaluation of
12 vulnerabilities associated with the use of materials
13 and then looked at the potential consequences that
14 could occur as a result of malicious acts. Third
15 activity I mentioned was the cost-benefit analysis.
16 So what we did for each of the options that we
17 developed -- we looked at what the one-time
18 implementation costs would be and the annual operating
19 costs -- not only to the NRC but also to agreement
20 states as well as licensees. And then we also looked
21 at the benefits for those options.

22 And then the last activity was the
23 stakeholder outreach. So this was a big part of the
24 last presentation I gave here. We did a federal
25 register notice to solicit comments. We also did

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 public meetings, webinars, various presentations to
2 industry groups. We also set up a website and did a
3 blog posting.

4 And from all of that we got about a
5 thousand comments from various different entities
6 which we used to inform our evaluation. Okay. So
7 when we were trying to write the SECY paper and trying
8 to figure out what to present to the commission, we --
9 we thought about it in the sense of four main
10 concerns. And so here are the first two, and the
11 second two are on the next slide.

12 The first one -- and this all comes from,
13 you know, the -- the last GAO sting, the SRMN and all
14 of these -- these past evaluations, we came up with
15 these four main issues. One is the ability for
16 someone to obtain a valid license using a fictitious
17 company or by providing false information.

18 And the options that we came up for that -
19 - which I will show you -- mainly -- or the -- what we
20 talked about for this concern was mainly related to
21 pre-licensing and pre-licensing guidance. The second
22 concern is the ability to alter a valid license to
23 obtain more material than they are authorized to. Or
24 to just counterfeit a license. To, you know, pull one
25 off the web, make some changes to it and then obtain

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 radioactive materials illicitly. So the options we
2 came up to address this concern relate to license
3 verification and that license verification system.

4 Concern three has to do with the ability
5 to accumulate or aggregate Category 3 sources to then
6 a Category 2 quantity of material, which would then
7 require enhanced security. So the options we came up
8 with this have to do with the National Source Tracking
9 System, or Part 37. And then the last one, which I
10 don't necessarily think applies to this group as much,
11 but I will mention it here anyways.

12 Concern four is the -- is general
13 licenses. So the limited accountability and lack of
14 pre-licensing and lack of inspections and oversight of
15 these Category 3 sources that are contained within
16 generally licensed devices. Okay, so concern one --
17 mainly this working group pulled forward the
18 recommendations by the previous working group -- the
19 Pre-Licensing working group -- that was stood up post
20 the last GAO audit. So there were both non-rule
21 making and rule making recommendations that came out
22 of that.

23 The non-rule making recommendations
24 related to enhancing pre-licensing guidance, doing
25 additional training, making some updates to the NUREG-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 15-56 and then also doing -- developing procedures, a
2 self-assessment or like an audit tool. So we all --
3 we have all that documented in an action plan.

4 And then we also had a rule making
5 recommendation, which was included as part of the info
6 paper to the commission in February. But that was to
7 require safety and security equipment to be in place
8 for all new, unknown applicants prior to issuing a
9 license. So that would likely be a change to Parts
10 30, 40 and 70.

11 Okay, so that -- is pretty much -- most of
12 that was all included in that commission paper.
13 Concern two, we actually came up with six options.
14 They are split between two slides to address -- and
15 again, if you remember, concern two was license
16 alteration and falsification. So the options here
17 were one, don't do anything. Two was to do -- require
18 license verification through the License Verification
19 System or the regulatory authority for Cat. 3 -- Cat.
20 -- we are already doing it for Cat. 1 and 2, but to do
21 it also for 3. And then also to introduce the concept
22 of reduced frequency. So if they are sending sources
23 to -- or sending material to an established entity,
24 they wouldn't have to do it as often.

25 Number three would be Category 3 license

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 verification through LDS and the regulatory authority
2 only by MNDs. So if they are sending it back to an
3 MND you wouldn't -- manufacturer and distributor --
4 you wouldn't have to do those verifications. But only
5 manufacturers and distributors would have to do those
6 verifications.

7 Number four for concern two would be
8 Category 3 through 5 license verification through LVS
9 and the regulatory authority with the reduced
10 frequency as I mentioned before. And number five
11 would be Category 3 license verification through LVS
12 and the regulatory authority and then doing something
13 also for Category 4 and 5 like -- the right
14 radioactive material, but at a lower scale. So it
15 would be license authentication, just a more
16 simplified license verification.

17 And then -- and number six for this was to
18 do authentication for down to Cat. 5. So I know that
19 was a lot to swallow but the point is is we looked a
20 lot of different iterations and we also -- and we --
21 we looked at what to do for Cat. 3, but since in the
22 past the GAO has stung us before below Cat. 3, we also
23 looked at some options of what to do below Cat. 3.

24 Okay, so concern three, again this was --
25 these are options to address aggregation. And the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 options were going to include NSTS and Part 37. So
2 there were five options here. There is no action,
3 there is tracking of Cat. 3 sources and NSTS -- the
4 same as the way we do for Category 1 and 2. There is
5 tracking of Category 3 sources, NSTS and then also
6 making some system changes to NSTS so that you would
7 do pre-reporting for transfers. Trying to make things
8 a little bit more real-time and trying to address
9 aggregation better.

10 Number four would be instead of tracking
11 it -- Category 3 sources on a transaction basis it
12 would be done on an annual inventory basis. And then
13 option 5 was looking at those folks -- those Category
14 3 licensees who have the ability to aggregate Category
15 3 sources to a Category 2 quantity to implement
16 subpart B. So that's the trustworthy and reliability
17 determination aspect of Part 37. So that was option
18 five for concern three.

19 Okay. And then lastly concern four, again
20 this was Category 3 general licenses. We had four
21 options here. Mainly it was no action, having --
22 number two be having the MNDs notify their regulator
23 to -- prior to transferring those devices so that we
24 would be able to perform a pre-licensing evaluation.

25 Number three builds upon that where it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would be that plus inspection. And then the last one
2 would be to convert Category 3 general licenses to
3 specific licenses. So if you counted all that up, I
4 can't -- I think it is about 15 or so options that
5 this group evaluated across -- across the board. And
6 so to do the -- to do the cost/benefit analysis and
7 the pros and cons for all that was a lot. But I think
8 it was a very -- it gave us a lot of options. It gave
9 the steering committee a lot of options. And then
10 finally NRC staff to make a decision on -- on which
11 way to proceed.

12 Okay, so as I mentioned before we wrote
13 the SECY paper. That's with the Commission right now
14 -- 17-0083. And I just wanted to give you a little
15 flavor of what that paper looks like if you haven't
16 had a chance to read it yet.

17 But the main paper is set up pretty
18 straightforward. The background goes through, again,
19 those nine SRN tasks, mentions the working group
20 formation. In the discussion that's -- that's the
21 meat of the paper. It talks through -- like I just
22 went through -- the concerns -- those four concerns,
23 each of the options to address each concern. For each
24 concern we talk through what the stakeholder feedback
25 was that we received. And then it goes through the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 thought process -- how we came about the
2 recommendations. And it also mentions any -- the
3 coordination we did with Agreement States.

4 And then I will focus more on the
5 conclusion, commitments and recommendations coming up.

6 Oh -- so these are the -- so our SECY paper has seven
7 enclosures. We -- we didn't have nine per the nine
8 tasks, but we -- we came pretty close. So we have
9 seven.

10 And I will -- I will point out three of
11 them are non-public mainly because some of them are,
12 you know, sensitive, internal or security-related
13 information. The non-public pieces are the threat,
14 consequences and vulnerability assessment. Then
15 there's the -- enclosure six which talks through the
16 working recommendations and then how that proceeded to
17 the steering committee direction. And then -- and
18 then you -- you will see the staff recommendations in
19 the paper. And then the last one is resources.
20 That's typically kept non-public.

21 Okay. I am doing okay on time. All
22 right, so conclusion. And again, this was -- the way
23 this was framed in the paper was by each concern. So
24 for concern one -- that was pre-licensing -- this --
25 this is exactly like the slide before where we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 those enhancements to guidance for an action plan --
2 those are the non-rule making enhancements. And then
3 there's the rule making where we are proposing safety
4 and security equipment for all new, unknown applicants
5 prior to issuing a license.

6 For concern two, again, this is to do with
7 any changes to license verification, the
8 recommendation -- the conclusion was to not make any
9 changes to the current requirements. However, we do
10 have -- we did propose an update to Part 30 which
11 would require some rule making. And that would mainly
12 be to remove an obsolete method of using a reporting
13 service -- a record service.

14 And then the other one would be to require
15 follow-up -- so -- require follow-up with one of the
16 other methods in the part. In an emergency situation
17 you can use an oral verification and so that -- that's
18 -- those are the two updates that we propose. I think
19 it is later on another slide. And then the last piece
20 for concern two is that we wanted to continue to
21 encourage Agreement States to adopt WBL. All right.

22 Okay, concern three, again this was
23 changes to NSTS, applying Part 37 to Category 3 and
24 for this we concluded no change to the current
25 requirements. And then concern four, this was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Category 3 general licenses. We concluded no change
2 in the current requirements due to security
3 accountability. However, we do want to conduct a
4 reevaluation to ensure continued protection of health
5 and safety in the current environment.

6 So, some commitments that we made in the
7 paper were to update the integrated rulemaking plan
8 that we mentioned in that February information paper
9 to include any Commission recommendations. So once
10 they give us direction on this paper, then we would --
11 if any of those required rule making, we would then
12 include that in the rule making plan. And then --
13 which is planned I think for five months following the
14 receipt of the SRN.

15 And then the -- also as I mentioned on the
16 last slide was to conduct additional technical
17 evaluation to verify that the existing general license
18 program continues to provide protection of public
19 health and safety in the current environment. So
20 again, if rule making recommendations come out of
21 that, we would integrate that as part of that
22 integrated rule making plan.

23 Okay, recommendations. So this is for the
24 commission to consider and act upon and vote on. So
25 the first thing I mentioned was to approve potential

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rule making to amend Parts 30, 40 and 70 for the
2 unknown entities and having their safety and security
3 equipment to be in place. I know this seems a bit
4 redundant, but we have it set -- we have it stated
5 multiple places in the paper. The second
6 recommendation is to not direct the NRC staff to amend
7 regulations related to, you know, requiring license
8 verification through LVS for Cat. 3 quantities of
9 radioactive material. Not directing the staff to
10 require inclusion of Cat. resources in NSTS. Not
11 direct the staff to impose security requirements,
12 prevent aggregation of Category 3 sources to a Cat. 2
13 quantity. And then not direct the staff to limit the
14 quantity of byproduct material on a generally licensed
15 device.

16 Okay, yes. And then this is what I
17 mentioned earlier which was that we do have a
18 rulemaking recommendation in there to clarify some of
19 the license -- existing license verification methods
20 for transfers involving essentially Category 3 through
21 5 quantities of material. And that's the oral
22 certification method. And then the -- I am removing
23 the obsolete method.

24 So we do have a website which we have a
25 link to the SECY paper from. And then I put my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 contact information on the slide in case you have any
2 follow-up questions.

3 CHAIRMAN ALDERSON: Okay, thank you.
4 Thank you, Ms. Wu. Are there comments from -- or
5 questions maybe from the ACMUI? Dr. Zanzonico?

6 VICE CHAIRMAN ZANZONICO: Thank you very
7 much. Frankly, I am not familiar with -- with -- as
8 well as I should be with all of this. But what I am
9 inferring is that for nuclear medicine installations -
10 - existing nuclear medicine installations there is
11 essentially no action -- no -- no change. Is that
12 correct?

13 MS. WU: Yes, that is correct.

14 VICE CHAIRMAN ZANZONICO: Okay. And --
15 and for -- for brachytherapy installations with high
16 dose rate sources there's existing -- existing
17 installations, is there an impact on those?

18 MS. WU: And that's mostly Category 2? Is
19 that correct?

20 (Simultaneous speaking.)

21 VICE CHAIRMAN ZANZONICO: I think -- so
22 most of them would be Cat. 2? Is that correct?

23 MS. WU: Or Cat. 3?

24 MEMBER LANGHORST: For the HDRs, those are
25 Cat. 3. So those --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Simultaneous speaking.)

2 VICE CHAIRMAN ZANZONICO: Those are Cat.

3 3? Okay.

4 MS. WU: Okay.

5 MEMBER LANGHORST: Right. So you have to
6 be concerned about if you are combining them. But --
7 but there should be no action on that.

8 VICE CHAIRMAN ZANZONICO: Okay.

9 MS. WU: Again, we have to wait for, you
10 know, Commission direction. But with the
11 recommendations that went up --

12 (Simultaneous speaking.)

13 VICE CHAIRMAN ZANZONICO: So I am
14 inferring that overall there is little practical
15 impact on existing medical installations. Is that a
16 fair summary statement?

17 MS. WU: I think that's fair.

18 (Simultaneous speaking.)

19 MR. COLLINS: Yes, so Dr. Zanzonico, I
20 think the practical impact would really be for any new
21 applicants.

22 VICE CHAIRMAN ZANZONICO: Right.

23 MR. COLLINS: Where the -- the changes in
24 the pre-licensing guidance that Irene discussed would
25 -- would -- you know, help ensure a more robust

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 licensing review prior to issuing a --

2 (Simultaneous speaking.)

3 VICE CHAIRMAN ZANZONICO: So that -- that
4 would lead to my second question. So the requirement
5 for safety security information, is that for lack of a
6 better term an indirect way of verifying the veracity
7 of a -- of a -- a new license application?

8 MR. COLLINS: Right. So the idea behind
9 that is if -- if there is a requirement for the safety
10 and security equipment to be actually in place prior
11 to issuing the -- issuing to the license, exactly as
12 you said, the -- the thought is that that's how you
13 kind of verify that -- or it lends credence to -- to
14 the applicant's claim that they -

15 VICE CHAIRMAN ZANZONICO: And how
16 prescriptive is that? I mean, are you detailing what
17 kind of safety and security information if there were
18 an intent to do that in rulemaking?

19 MS. WU: Yes, that would be something we
20 would deal with in rulemaking space.

21 MR. COLLINS: So the actual details,
22 though, of what that equipment has to be -- that's in
23 guidance. We wouldn't actually put that too
24 prescriptively into the rule itself.

25 VICE CHAIRMAN ZANZONICO: And so just a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 follow-up question to that, so let's say you have a
2 large medical center that's taking over some satellite
3 facility and wants to do nuclear or brachytherapy
4 procedures in that facility. So -- so the main -- the
5 main campus, so to speak, would be an existing
6 licensee. So a known user. Would the satellite
7 facility at a new location be considered a known user?
8 Or an unknown user? Or has that been thought
9 through?

10 MR. COLLINS: Well, so it hasn't been
11 completely thought through yes. But my sense of it is
12 that if -- if you are talking about an existing
13 licensee essentially expanding its program, we would
14 probably view it as it's an existing licensee.

15 VICE CHAIRMAN ZANZONICO: Even if it's a -
16 - it's a geographically separate installation?

17 MR. COLLINS: Yes, because it would still
18 be on the same license.

19 VICE CHAIRMAN ZANZONICO: Okay. All
20 right.

21 MS. WU: Right.

22 MR. COLLINS: And if that facility already
23 exists, right, then we wouldn't necessarily get into
24 the pre-licensing portion of the guidance. Right? It
25 would be treated as an amendment. Yes, Ron?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ENNIS: Just following up on the
2 new licensee issue -- so are we -- are you saying that
3 whatever would have been required a little bit down
4 the road for such licensees now is just going to be
5 required to be in place before granting them the
6 license? Or are these enhanced security features that
7 existing licensees are not required to have but we
8 will now require new licensees to have some level of
9 enhanced security?

10 MS. WU: Yes, it is just moving the time
11 frame up a little bit. So it would just be -- safety
12 and security equipment that they would obtain down the
13 line now has to be -- now -- with this proposed
14 recommendation would be done prior to the -- receiving
15 that license.

16 MEMBER ENNIS: And the way NRC will verify
17 that -- is that through? Or that's --

18 MS. WU: Oh, it would be done through a
19 pre-licensing site visit.

20 MEMBER ENNIS: Site visit, got it.

21 CHAIRMAN ALDERSON: So one of the types of
22 sources that I think got discussed some when these
23 things were being considered was with the -- was the
24 blood irradiators. I mean, they are in medical
25 centers, but they aren't in nuclear medicine or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 radiation oncology. Where do they fall under this?

2 MS. WU: Right. So those are already
3 being -- my understanding is those are Cat. 2 and they
4 are already being -- you know, for -- Part 37 already
5 applies to them. Their sources are already tracked in
6 the National Source Tracking System.

7 CHAIRMAN ALDERSON: So it has already been
8 taken care of?

9 MS. WU: Correct.

10 CHAIRMAN ALDERSON: Okay, thank you.

11 MS. WU: This is more focused on Category
12 3.

13 CHAIRMAN ALDERSON: Okay. Other questions
14 in the room? Questions about this?

15 (No audible response.)

16 CHAIRMAN ALDERSON: Anyone on the phone
17 who would like to ask comment -- make a comment about
18 source security for Category 3?

19 (No audible response.)

20 CHAIRMAN ALDERSON: Hearing none and
21 seeing no one in the room, I think that we are
22 finished with this report. Thank you.

23 MS. WU: Thank you.

24 CHAIRMAN ALDERSON: All right, we are now
25 ready for Dr. Suh who will talk to us about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 physical presence requirements for the Leksell Gamma
2 Knife Icon. This is a follow-up to a previous
3 discussion.

4 MEMBER SUH: Good morning. So I am here
5 to discuss physical presence requirements for the
6 Leksell Gamma Knife Icon. I would like to thank the
7 subcommittee members, Dr. Ron Ennis, Ms. Laura Weil.
8 And especially thank Sophie Holiday for putting some
9 data as needed as an NRC staff resource.

10 So subcommittee charge was to propose the
11 appropriate physical presence requirement for the
12 Leksell Gamma Knife Icon radiosurgery unit. Just some
13 background about the Gamma Knife for those of you not
14 as familiar -- it is one of the major stereotactic
15 radiosurgery systems treating various vascular
16 malformations, benign brain tumors, malignant brain
17 tumors, and functional disorders.

18 Since 1968 worldwide over 1 million
19 patients have been treated with the Gamma Knife. In
20 the United States, to give you an idea of breakdown of
21 the units, this is data from Elekta, the maker of the
22 Gamma Knife. There are 77 Perfexion units and 22 Icon
23 units in operation currently. To get some background
24 about the Leksell Gamma Knife, there are -- the
25 original model was the Model U model, which was placed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at the University of Pittsburgh back in 1987.

2 The Model B was developed in the mid-
3 1990s. The Model C was later on and then the Model
4 4C. And the common theme between Model B, C and 4C is
5 that there's 201 Cobalt-60 sources that are
6 stationary. There are external helmets that measure
7 4, 8 -- 14 and 18 helmets as showing by the picture to
8 your right. And these units would require -- for the
9 Model B manual trunnions where the authorized user and
10 the authorized medical physicist would actually set
11 the trunnions manually for the X, Y and Z coordinates.

12 Whereas with the Model C and the Model 4C
13 that is an automatic positioning system which allowed
14 for less manual setting of the X, Y, Z coordinates.
15 In 2006 the Gamma Perfexion was developed -- which was
16 different than the Model B, C and 4C in that rather
17 than having 201 Cobalt-60 sources, this had 192
18 Cobalt-60 sources which moved within eight permanently
19 installed, independent movable sectors. So rather
20 than having four different sized beams, these now have
21 three different sized beams of 4, 8 and 16
22 millimeters.

23 There is one collimator body and as you
24 can see from this picture the helmet is no longer
25 externalized outside the machine, it is now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 internalized inside the machine. And each of these
2 collimator bodies correspond to the openings at each
3 of the sectors. There is also a robotic automated
4 table that moves up and down to set to the various X,
5 Y, Z coordinates for that patient.

6 Very recently the -- the most current
7 version of the Gamma Knife -- it is called the Gamma
8 Knife Icon. And this is -- has the body of a
9 Perfexion unit, but some of the key differences
10 between the Perfexion and the Icon -- so the Icon
11 system there is an integrated stereotactic Cone-beam
12 CT image. Stereotactic Cone-beam imaging is actually
13 very common now in many modern linear accelerators and
14 recently -- the Gamma Knife now has this feature.

15 Also it allows for Online Adaptive Dose
16 Control, meaning that there's actually some fiducials
17 that are actually tracked during the treatment. And
18 if it migrates outside the specified distance that the
19 authorized user is not comfortable with, the machine
20 will shut down and actually have the patient come out
21 of the machine.

22 And also allows for a frameless mask-based
23 systems. With the previous units, with the Model B,
24 C, 4C and the Perfexion, there is not the option of
25 doing frameless mask-based treatment. The only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 potential advantage of mask-based treatment is that
2 unlike using the invasive head frame, that's number
3 one and number two, is actual fraction of treatments
4 have made to prove value for some patients with
5 certain tumors and other diagnoses.

6 In terms of background about current
7 regulation, the Model B, C and 4C are under 10 CFR
8 Part 35, Subpart 10; Subset 10 CFR 35.600. The Gamma
9 Knife Perfexion and the Gamma Knife Icon are under 10
10 CFR 35, Subpart K which is 10 CFR 35.1000. All Gamma
11 Knives regardless of the model type must adhere to the
12 provisions under 10 CFR 35.615(f)(3).

13 In terms of the physical presence
14 requirements via the 10 CFR 35.615(f)(3) is that,
15 quote, an authorized user, AU, and an authorized
16 medical physicist, AMP, are physically present
17 throughout all treatments involving the unit. So that
18 is the present definition. The NRC further defined
19 physical presence as a distance, quote, such that each
20 can communicate with the other within hearing distance
21 of the normal voice. So this is the current
22 definition of physical presence.

23 In terms of rationale for having the
24 authorized user present for the entire treatment for
25 the Gamma Knife, here are some of the -- the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rationale. The authorized user has knowledge and
2 appropriate training to ensure the safe and effective
3 delivery of stereotactic radiosurgery. The current
4 physical presence definition is not ambiguous. It
5 ensures the AU is present for all portions -- critical
6 portions of the procedure, able to address any medical
7 issues that may arise during the treatment and verify
8 the correct dose will be delivered to the target or
9 targets.

10 To continue, the authorized user also has
11 the competency to recognize and respond to any
12 aberration of treatment and ensure response times are
13 within seconds if needed. Also, the medical issues
14 that may occur during the Gamma Knife such -- which
15 may include pain from the frame, which we have heard
16 about at one of the medical event reporting yesterday.
17 Nausea, vomiting, and in rare cases seizures.

18 An incorrect dose of radiation may result
19 secondary to system failure, it could be a combination
20 of software, hardware, combination of both software
21 and hardware. Having the authorized user present at
22 the console area also allows for immediate
23 availability for critical decision making, which
24 sometimes is necessary. Also allows for the
25 authorized user to help assist to remove the patient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from the machine in case of malfunction -- provide
2 greater confidence to the patient and family during
3 treatment of being present near the console area.

4 So in terms of the rationale for looking
5 at perhaps modifying the physical presence requirement
6 was given to many advance the Icon unit, which I just
7 summarized. The subcommittee examined the current
8 physical presence requirements to see if any
9 modification could be considered. If one evaluates
10 the number of medical events -- and this is from
11 calendar year 2006 -- 20 -- 2017, there's twelve
12 reportable events from Gamma Knife Perfexion and only
13 a minority were identified during treatment.

14 If one categorizes these event numbers of
15 -- they can be categorized into four areas. Number
16 one is event positioning -- you can see that there
17 were four of such incorrect positions. Number two is
18 training deviation, machine malfunction, computer
19 issue, and image process error. You can see there are
20 four event numbers for that. Patient issues, which
21 were three issues. And then failure to correct
22 service procedures for maintenance, which was one
23 event number. So you can see these are the total --
24 these are the 12 medical events that we are reporting
25 from calendar year 2006 to 2017.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So in terms of the subcommittee
2 recommendations, given the very low number of medical
3 events and advances with the Icon unit, the
4 subcommittee recommends that the authorized user and
5 the authorized medical physicist be physically present
6 during the initiation of all treatments involving the
7 unit. And one of the reasons that we felt strongly
8 the authorized user should be present at the beginning
9 of treatment is that this gives the authorized user
10 the opportunity to not proceed with treatment if the
11 incorrect side is being treated. That has occurred on
12 four occasions over the past 11 years.

13 Number two is the authorized medical
14 physicist be physical present throughout all patient
15 treatments involving the unit. As you can see from
16 the previous medical events, there have been some
17 issues with software, hardware, authorized medical
18 physicists could address those if needed. The third
19 recommendation was that the current physical presence
20 requirement for the authorized user be modified by
21 allowing the authorized user to be present in the
22 department during treatment -- which is defined for
23 the Icon as within a two-minute walk to the console
24 area and immediately available to come to the
25 treatment room.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The reason we did not use the definition
2 of a department -- departments can vary in meaning.
3 There are some Gamma Knives which are not housed in
4 specific department. Some Gamma Knives are housed in
5 a neurosurgery department rather than an oncology
6 department, so that can be a very vague definition.
7 The other is that -- just to give an example of --
8 before we recently moved to our new cancer center, our
9 Gamma Knife was physically ten minutes away, although
10 you could technically call part of our department,
11 which I feel is not safe.

12 It's too far of a distance. And also it
13 is very important that the authorized user be able to
14 immediately come to the treatment room if necessary.
15 So they can't -- he or she cannot be involved in a
16 procedure or another task that would not allow them to
17 go -- come to the Gamma center to address the need
18 issues.

19 In addition to the authorized user and
20 authorized medical physicist, we recommend as a matter
21 of good medical practice that appropriate trained
22 nursing or auxiliary staff be present at the end of
23 treatment to respond to any immediate medical needs.
24 You can see over the past 11 years there has been
25 several episodes of medical events that have occurred.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And just having the authorized medical physicist
2 present at the console area may not be sufficient.

3 At the conclusion of treatment the
4 authorized user must be present at the Icon console to
5 discuss any treatment or patient issues with the
6 patient, physicist and nurse. The reason for having
7 the authorized user present when the patient comes out
8 of the Gamma Knife is that they can address any issues
9 immediately rather than trying to figure out what may
10 have happened -- if there was any aberration from the
11 actual treatment delivered. Here are the acronyms
12 that are used. Thank you.

13 CHAIRMAN ALDERSON: Okay. Thank you very
14 much. So I just want to clarify that these
15 recommendations as stated here -- that we now have to
16 discuss and which would really change the way -- some
17 of the physical ways that this is practiced. These
18 apply only to the Icon, not to the Perfexion.

19 MEMBER SUH: Yes.

20 CHAIRMAN ALDERSON: Just to the 22 units
21 that are Icon. All right. Given that clarification,
22 majority of the people probably understand it already.

23 I would like to ask for questions or comments. There
24 are two. We will start with Dr. Zanzonico.

25 VICE CHAIRMAN ZANZONICO: So couple

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 questions. You indicated that of the reportable
2 medical events over the last decade, four were related
3 to patient positioning. Were any of those
4 specifically for the Icon system?

5 MEMBER SUH: No, those are all for
6 Perfexion.

7 VICE CHAIRMAN ZANZONICO: All for
8 predecessor system?

9 DR. SUH: Yes.

10 VICE CHAIRMAN ZANZONICO: And typically
11 how long is the overall duration of the treatment? I
12 am just trying to get a sense of --

13 DR. SUH: Sure.

14 VICE CHAIRMAN ZANZONICO: Said within a
15 two-minute walk, is that like 90 percent of a
16 treatment? Or 10 percent of a treatment?

17 DR. SUH: No. Sure, sure. That is an
18 excellent question. So a number of factors weigh in
19 to how long a treatment will last. So number one will
20 be the -- how strong your source is. So given half-
21 life, you know, approximately five years. Should be
22 time that we double after five years. So that's one
23 of the reasons why Gamma Knife sources are -- Cobalt-
24 60 sources are replaced about every five to six years.

25 So just to give you an idea, we currently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have a relatively new source. And our treatments
2 right now are averaging between about -- you know, if
3 it's a single lesion, give a -- and it also depends on
4 the dose that we can give. Just -- probably between
5 15 minutes to about an hour as a source ages it will
6 probably be closer to about an hour to two hours
7 overall.

8 There are some cases where the treatments
9 can be very long. So probably the longest treatment I
10 have actually had personally is about seven hours.
11 Now when you've had those type of treatments, what we
12 invariably have to do is give a patient a break. A
13 restroom break. The authorized user may need a
14 restroom break. And there's -- so just to break the
15 treatment.

16 So -- and just to get back to, you know,
17 so may question why -- is there a fundamental
18 difference between a Perfexion and the Icon system?
19 So having a stereotactic home beam actually on the
20 unit -- so for us we use it as a practice, use it as -
21 - first session we were treating a functional site.
22 We were treating fibromyalgia. We were giving very
23 high doses to the nerve. We actually will take an
24 image with the CT stand and verify the position is
25 correct. So it just gives an extra double check that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 through the incorrect side will not be treated.

2 And one of the things that -- being part
3 of the committee I have noticed is that it is every-
4 other year or so there's invariably a medical center
5 that treats the wrong side -- which in my opinion
6 should not happen.

7 CHAIRMAN ALDERSON: Yes.

8 MEMBER DILSIZIAN: Great presentation. So
9 I understand the -- all the authorized medical
10 physicists on site and then you propose that, given
11 that there's some medical events, perhaps a nurse or
12 some healthcare provider should also be present. I
13 guess my question is, would there be any pre-defined
14 prescription for those nurses? For example, the
15 patient is having seizures or hypertension -- by the
16 time the physician is walking for two minutes, what
17 kind of things can a nurse do without a -- you know,
18 prescribed kind of guideline.

19 MEMBER SUH: So I -- I think there are
20 medicines that actually can be prescribed -- pre-
21 orders that can be sent. Fortunately, the number of
22 medical events that occur during treatment is actually
23 very few. And I think it is incumbent on the
24 authorized user -- the neurosurgeon who is involved in
25 the case to select the correct patient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So I think that will actually help
2 mitigate the number of potential medical events is
3 that -- or, medical issues that may occur during the
4 actual treatment delivery. You know, one of the
5 things that some of you may be thinking is well why do
6 we put some type of time period between the console
7 area and the authorized user?

8 So there are some departments where the
9 Gamma Knife may be in the lower level and the offices
10 may be physically on the sixth floor. All right? So
11 again, it is -- we felt that time was a much better
12 measurement rather than using distance because
13 distance could be either horizontal, it could be
14 vertical. And one could imagine that if the elevator
15 didn't work or someone had -- you know, it could be
16 delayed. So we -- that's why we wanted to have it a
17 certain time distance. So one could argue, could it
18 be a one-minute versus three minute?

19 Now one of the things that we made
20 explicit is that the authorized user has to be
21 present. So just getting back here to the question,
22 Dr. Zanzonico, if it was a ten-minute treatment, the
23 authorized user needs to be present during the
24 initiation of treatment and then they have to be
25 present at the end when the patient delivered -- is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 coming out of the machine. So in my mind it doesn't
2 really make a lot of sense for the authorized user to
3 leave the console area. So if it is a shorter
4 treatment, he or she can -- would have to make that
5 decision, would be physically present that entire
6 time.

7 So for some of these cases there's really
8 not going to be any modification in the physical
9 presence requirements. It would be for these longer
10 treatments that last two or three hours. It just
11 gives more flexibility to the authorized user with the
12 understanding that the authorized user has to be
13 immediately available. And in terms of how that
14 messaging system comes on -- if it's a cell phone,
15 paging system, et cetera -- that's something that each
16 medical center would need to work out. And it's -- we
17 feel it's not within the purview of our subcommittee
18 to make that recommendation of how they would
19 communicate.

20 MEMBER DILSIZIAN: Let me just follow up.
21 So, the only reason I bring it up is that you were
22 concerned enough, the Committee, to say that you
23 wanted a medical staff beyond the physicist to be
24 present. So even though the medical events are less,
25 you were concerned enough to say I would like to also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have a nurse to be there.

2 I just wanted to make sure that that
3 individual will last -- the two-minute period thing
4 anticipating the physician to be there, has the right
5 to intervene with serious events. I am just not sure
6 -

7 (Simultaneous speaking.)

8 MEMBER SUH: Yes, just -- yes -- so just
9 in case if there are -- And again, part of it also is,
10 I think, as an authorized user you also have a good
11 sense of who is going to be a sick and someone who is
12 going to be well. So there are some patients who I
13 know will -- will not be an issue if it's a one-hour
14 treatment. There are other patients who have multiple
15 brain metastases where I may not feel as comfortable
16 being away from that console area and I may decide to
17 stay during that entire time just in case the rare
18 seizure does occur during the -- the actual treatment
19 delivery.

20 The NRC initiative does not regulate
21 nursing or ancillary staff so it is a -- it is a best
22 practice, doesn't mean that it's -- each center will
23 need to decide how to utilize that and what they are
24 comfortable with.

25 CHAIRMAN ALDERSON: Yes, Mr. O'Hara.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER O'HARA: The -- is it -- is it
2 common medical practice for CyberKnife to have a
3 physician and a medical physicist present during
4 treatment?

5 MEMBER SUH: So right now it is not as a
6 common practice. In terms of best practice, I can
7 share with you what we do in Cleveland. We do not
8 have the CyberKnife, we have a Linac-based system that
9 we use for radiosurgery that require the physician,
10 the authorized user to actually be present during the
11 actual initiation of treatment to check the
12 stereotactic imaging.

13 And just because of my Gamma training I am
14 actually physically present through the entire
15 treatment and actually see the patient leave the room.

16 Is that a practice that occurs in all cases? No, it
17 does not. Although I think it is a best practice in
18 terms of having the radiation oncologist in this case
19 present during that -- that treatment time period.
20 But again, that's really more medical practice in
21 terms of how each center dictates things. But again,
22 we try to follow -- I try to follow more of a Gamma-
23 type of presence during treatment delivery.

24 CHAIRMAN ALDERSON: Dr. Ennis?

25 MEMBER ENNIS: Just to help on the -- Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 O'Hara's comment also. So we also -- similar to what
2 Dr. Suh indicated work for stereotactic -- Linac-based
3 stereotactic procedures require the physician,
4 essentially the authorized user, to be present --
5 until now, the entire time. Although we actually are
6 considering -- were considering, I just left, but --
7 were considering a change to the -- requiring presence
8 for the beginning and then immediately available
9 within a -- essentially the same kind of standard
10 within the two-minute walk kind of thing just in case
11 an event were to come up.

12 I think the fact that that's not required
13 is because there's not a regulatory body like the NRC.

14 It's not because it ought to be that way. I think
15 the fact that we have a regulatory body like the NRC
16 is actually a positive when it comes to this. And in
17 my opinion it would be preferred if that was the
18 standard for all stereotactic procedures. But there's
19 just not a regulatory mechanism to put that in place.

20 MEMBER SUH: And one of the things I also
21 comment is that if you look at the number of medical
22 events that have been reported over the past decade,
23 there are very few. And then the -- I think part of
24 it is -- there aren't hundreds and hundreds of Gamma
25 Knife centers around. In addition, the training

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's required. There's required training so that
2 each user -- medical physicist, the neurosurgeon, the
3 radiation oncologist -- actually has very strict
4 training that's required before the hospitals sign off
5 on actual treatment.

6 So it is actual a very strong model in
7 terms of really trying to enhance safety and quality.

8 And that's one of the reasons why the Gamma Knife is
9 the device that is used for many different indications
10 within the brain.

11 CHAIRMAN ALDERSON: Yes, Dr. Langhorst.

12 MEMBER LANGHORST: Thank you for this
13 report. This is really good. One thing that I want
14 to clarify for both the medical -- authorized medical
15 physicist and authorized user, if you are asking for
16 regulatory and the guidance document changes, it makes
17 a difference if you say the authorized user or an
18 authorized user. So is your intent to have only one
19 authorized user be able to do this? Like, if that
20 authorized user can't come, can another authorized
21 user do -- come in?

22 MEMBER SUH: No, the -- no, the --

23 MEMBER LANGHORST: Or you're -- you're
24 saying the authorized user?

25 MEMBER SUH: No, so -- so an authorized

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 user can actually be physically present at the console
2 areas.

3 MEMBER LANGHOST: Okay, I think you need
4 to carefully go through the report to see where you
5 say the authorized user because that -- that has --

6 MEMBER SUH: Okay, no that's --

7 MEMBER LANGHOST: That has tripped us up
8 on the radiation safety officer in the regulations.

9 (Laughter.)

10 MEMBER LANGHOST: The same thing, be
11 careful in looking at the authorized medical
12 physicist. I think in here you -- you guys talk about
13 an authorized medical physicist, so there can be kind
14 of a tag-team work where somebody could come in and
15 relieve somebody else. So --

16 MEMBER SUH: No, thank you for that
17 comment. So just to share with you a practice that we
18 have is we have a very busy Gamma Knife center. And
19 what we do is we actually have four authorized users.

20 So if I can't physically be present at the console
21 area, one of my colleagues -- I will call them and say
22 can you come over at 2:00 to relieve me so I can go
23 and do other tasks that are needed. And he or she
24 will be physically there at the console area.

25 MEMBER LANGHORST: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SUH: So fortunately we have that -
2 - that flexibility. Not all centers do, and I think
3 that -- and one of the -- by actually allowing this
4 two-minute proposal from the console area, it just
5 gives some flexibility for the authorized user to do
6 things like bathroom breaks, which -- you know, all of
7 us need. So it does provide flexibility, which I
8 think is important.

9 MEMBER LANGHORST: And then I had one
10 other question. In the longer treatments where a
11 patient needs to have a break, is there any
12 recommendation -- does the authorized user need to be
13 there at those times? Or --

14 MEMBER SUH: Yes. So if there is a break
15 -- and then that's actually another good point is that
16 if the patient needs a break and they are unhooked
17 from the unit, then yes. You actually have to place
18 the patient back on unit and the authorized user
19 should be present to make sure that they are going in
20 the correct direction -- right versus left.

21 MEMBER LANGHORST: You might want to
22 address that in the report, too.

23 MEMBER SUH: No, I think that's an
24 excellent point. That's an excellent point because --

25 MEMBER LANGHORST: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: Very good. Very good.
2 Other comments from the committee? Yes, Dr.
3 Zanzonico.

4 VICE CHAIRMAN ZANZONICO: So given how few
5 of these Icon systems are available, is it fair to say
6 that -- that a -- the requirement for the -- for the
7 AU to be present the duration of the procedure impacts
8 the availability of the procedure generally?

9 MEMBER SUH: I don't believe so because
10 these units -- if the medical center makes the
11 investment for a Gamma Knife -- which are -- they
12 would actually make sure they have the resources
13 available. And right now the numbers I showed were 77
14 Perfexion units and 23 Icon units. The numbers are
15 rapidly changing because this is a newer model. It
16 allows for fraction treatment with a mask-based
17 system.

18 And there are some patients who frankly
19 don't want to have an invasive head frame that's on
20 their head for four, six, eight hours. They'd rather
21 have the mask-based system. And with the coupling of
22 the stereotactic cone-beam CT, I have much greater
23 confidence that we're treating that area if it's right
24 versus left versus upper versus lower areas of the
25 brain.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: Good. Other questions
2 from the committee?

3 (No audible response.)

4 CHAIRMAN ALDERSON: Are there comments
5 from the audience here in the room? So we do have --
6 Mr. Sheetz is coming to the microphone.

7 MR. SHEETZ: Hello, Mike Sheetz,
8 University of Pittsburgh. As pointed out in the
9 presentation we were the first Gamma Knife licensee in
10 the United States in 1987. We have had every model of
11 the Gamma Knife and we have treated over 15,000
12 patients. We currently have both the Perfexion model
13 and the Icon model.

14 As pointed out by the subcommittee, there
15 have been very few medical events and the minority of
16 these that could have been detected during the
17 treatment process were due to patient movement. Our
18 experience has been that the majority of emergent
19 events are medical issues with the patients -- with
20 just nausea or hypertension. They have to be
21 addressed medically.

22 I fully support the subcommittee's
23 recommendation to allow the AU to be present in the
24 department and not physically present at the treatment
25 consoles. This will allow for more efficient use of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the AU's time in patient care and other duties. I
2 would also suggest that this level of physical
3 presence also apply to frame-based treatments as
4 there's not much inherently different between the
5 mask-based and the frame-based with respect to what
6 can go wrong.

7 There's actually more moving parts on the
8 mask-base with the cone-beam CT co-registration and
9 the motion management detection system. So I think we
10 should consider that frame-based also follow this same
11 criteria for physical presence -- it will also allow
12 for a lot more relief for frame-based treatments with
13 Icon and then also allow those treatments with the
14 Perfexion unit.

15 It -- is there interest to note that the
16 physical presence requirement was a result of
17 corrective action from an HDR incident that occurred
18 in 1992 where the source broke off inside the patient
19 while the radiation monitors were alarming, the people
20 there thought it was malfunctioning and so the patient
21 returned to a nursing home with the source in place.
22 Patient subsequently died. The source detected the
23 medical waste.

24 A couple weeks later, same model of HDR
25 device, another source broke off and a patient for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 medical physicist recognized this and secured the
2 source and removed it from a patient. Following that
3 there was an order for all HDR licensees to have the
4 AU and AMP physically present for any HDR treatments.

5 And so the original intent of the physical presence
6 requirement was to be able to address medical
7 emergencies from a radiation standpoint.

8 With respect to this, if an emergency
9 occurs, it is easy to remove the patient from the body
10 and focused beam of radiation. Thank you.

11 CHAIRMAN ALDERSON: All right, and I think
12 that that comment -- particularly since the
13 recommendation is there to expand this beyond Icon to
14 Perfexion -- that requires -- I would like to have Dr.
15 Suh comment on that. Why -- why the proposal was made
16 only for the Icon, not for the Icon and Perfexion.
17 And then other people may wish to comment.

18 MEMBER SUH: Sure. So initially the
19 subcommittee charge was to look at the Icon. We
20 actually did look at -- went on to incorporate the
21 Perfexion. The -- and getting back to your point, the
22 -- in terms of the -- the Icon system in the document
23 -- we're not saying it has to be a mask-based system
24 or a frame-based system. So with the Icon you have
25 the luxury of choosing one or the other.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But I find, I don't know if anyone else on
2 the subcommittee feels that there are some fundamental
3 differences with the Icon system versus Perfexion
4 system. There's onboard imaging, which the Perfexion
5 does not have. That is a clear difference. Number
6 two is it allows for mask-based treatment, which the
7 Perfexion system clearly does not have. And number
8 three it has a motion-management detection system
9 which the Perfexion unit does not have.

10 And for those reasons I feel there is
11 enough fundamental difference between the Perfexion
12 versus the Icon that I would favor that any physical
13 presence requirement changes that we propose -- that
14 it be for the -- for the Icon system. But again
15 that's something up -- now actually up for discussion
16 for the committee here as well.

17 CHAIRMAN ALDERSON: Okay, good.

18 MS. HOLIDAY: Dr. Alderson, if I may --
19 this is Sophie. Can you hear me?

20 CHAIRMAN ALDERSON: You have a comment?

21 MS. HOLIDAY: Yes, Sophie.

22 (Laughter.)

23 MS. HOLIDAY: So if I am not mistaken, Dr.
24 Suh -- and correct me if I am wrong. I thought that
25 during the subcommittee's discussions we said that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recommendations you were putting forward were for the
2 Icon in the frameless mode because only the frameless
3 mode is where the HDMM system and the cone-beam CT is
4 used. Because once you use the stereotactic head
5 frame, you don't use the cone-beam CT or the -- or the
6 motion management system.

7 MEMBER SUH: No, you still can use the
8 cone-beam CT. So for any functional case that we use
9 -- at least at our center -- we actually will get an
10 image based. We get an image.

11 MS. HOLIDAY: Is that the standard
12 practice for all of the other institutions that are
13 using the Icon?

14 MEMBER SUH: So that's -- I think it
15 varies. I couldn't tell you what the standard practice
16 is -- that means what we consider best practice.

17 MS. HOLIDAY: Okay.

18 MEMBER SUH: Do you want to comment if you
19 use -- do you use -- do you have a cone-beam that you
20 actually will use with the frame?

21 MR. SHEETZ: We do not use the cone-beam
22 for frame-based -- for releasing the imaging off the
23 MRI, frequent plan. That is the same with Perfexion.
24 So the -- the Icon for mask we will do the cone-beam
25 CT and the motion management features of the Icon.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But otherwise, if we do a frame-based on Icon, it is
2 exactly the same as a Perfexion-based treatment.

3 CHAIRMAN ALDERSON: Yes, Dr. Langhorst.

4 MEMBER LANGHORST: Just looking at your
5 report on page 5 of 7 in the summary, it does say for
6 the Icon when used with the frameless mask.

7 MEMBER SUH: Okay.

8 MEMBER LANGHORST: So your report does
9 specifically say only in that case.

10 MEMBER SUH: Okay.

11 CHAIRMAN ALDERSON: And is that correct?
12 Is Dr. Langhorst correct in that -- so this -- the
13 recommendation is only for the frameless mask
14 approach. Is that correct?

15 MEMBER SUH: If that's what the report
16 says, then in that case it is an oversight on my part
17 that's -- for saying mask. I mean the mask -- there
18 is -- there is clearly a fundamental -- different than
19 mask versus a frame-based system. So -- and if you
20 have the Icon system. But --

21 CHAIRMAN ALDERSON: So until further
22 notice, we will have to go with the report. Unless
23 you suggest to change the --

24 MEMBER LANGHORST: I also question why it
25 needed to be limited to that. So --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 VICE CHAIRMAN ZANZONICO: I mean, I don't
2 quite know the sequence of the coding CT, but wouldn't
3 the presence of the frame create artifacts on the
4 cone-beam image that would interfere with the proper
5 positioning?

6 MEMBER SUH: No, it just gives you a sense
7 of right versus left. So -

8 VICE CHAIRMAN ZANZONICO: Oh, okay.

9 MEMBER SUH: It's what we do when we do
10 stereotactic treatments. We -- we get an image just
11 to make sure that -- that we have the correct
12 position.

13 CHAIRMAN ALDERSON: Are there further
14 comments from the Committee? Yes.

15 MR. COLLINS: Question for clarification,
16 thank you Dr. Alderson. So Dr. Suh, thank you for the
17 report and then the presentation. That is very
18 helpful. I was wondering if you could talk a little
19 bit more about subcommittee's recommendation number
20 four. And particularly what I am wondering about is -
21 - so for the first three recommendations the nexus to
22 patient safety for me is pretty clear. For the fourth
23 I am not sure what it is that would be discussed with
24 the patient at the termination or at the conclusion of
25 a treatment that would be patient-safety focused

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 versus practice of medicine. So that is where I am
2 confused. If you could talk about that, that would be
3 helpful.

4 MEMBER SUH: So one of -- so one of the
5 things that we have seen in the medical event report
6 is that sometimes when the patient is taken off the
7 machine they've noticed that the frame has moved. And
8 that's something that the authorized user would be
9 physically present to actually see if that frame has
10 moved or not. That -- that would be number one.

11 Number two is, my personal feeling is that
12 if you -- the Gamma Knife, as a procedure -- and
13 actually at the end of the procedure, patients and
14 families actually would like to speak -- the
15 opportunity to speak to a doctor. And if we have a --
16 one of the proposals from last meeting was to just
17 have the authorized user present the very beginning of
18 treatment and not be present at the end is -- I think
19 is -- again it's -- it's more of a practice of
20 medicine, but I do feel that being able to see the
21 patient come out completely is actually -- is helpful
22 from a quality and also from a medical practice
23 standpoint.

24 MR. COLLINS: Thank you.

25 CHAIRMAN ALDERSON: But the issue -- the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 issue, though, is an important one. I am glad you
2 raised the point. Because we debate in this forum on
3 a regular basis the -- the boundaries between
4 regulation and medical practice. And we talk
5 frequently about the NRC not overstepping the bound
6 into medical practice.

7 So if this recommendation, which clearly
8 is very sound medically, is only medical one might
9 suggest it really ought -- it should not be a part of
10 the official recommendations that we approve because
11 it's across the border.

12 MS. HOLIDAY: Dr. Alderson, this is
13 Sophie. I think -- just to provide a little bit of
14 clarification, currently per the regulations the AU
15 has to be physically present at the initiation, during
16 the duration, during the termination. So this is just
17 to -- I think this is what the intent of the
18 subcommittee was -- was just to capture that fact
19 holistically but only with the departure where they
20 say the AU can be two minutes away from the console.

21 So this wasn't necessarily Dr. Suh's,
22 subcommittee's attempt to raise a non-regulatory -- or
23 non-radiation safety aspect, this is just them
24 completing the whole circle in terms of the AU being
25 present at the beginning, at the end -- but not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 necessarily during the duration.

2 CHAIRMAN ALDERSON: Yes. No, I
3 understand. Are there any comments from anyone else
4 in the room?

5 (No audible response.)

6 CHAIRMAN ALDERSON: How about people on
7 the phone? Is anyone on the phone listening in to the
8 session wish to make a comment on this issue?

9 MR. OUHIB: Yes.

10 CHAIRMAN ALDERSON: Just identify -

11 MR. OUHIB: Hello?

12 CHAIRMAN ALDERSON: Yes -

13 MR. OUHIB: Yes, hello. This is Zoubir.

14 CHAIRMAN ALDERSON: Oh, hello.

15 (Simultaneous speaking.)

16 (Laughter.)

17 MR. OUHIB: Hello, everyone.

18 (Laughter.)

19 MR. OUHIB: Yes, yes. Great -- great
20 report there. I was just curious, while it is
21 probably obvious, should we have a clear definition
22 for the authorized user and the authorized medical
23 physicist specific to the device itself in terms of
24 training, education, and so on and so forth? For that
25 -- for that device? And emergency response and so on?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Should that be clarified at the very beginning?

2 MS. HOLIDAY: Zoubir, this is Sophie. In
3 our 35.1000 licensing guidance document we do clearly
4 identify what the training and experience requirements
5 are for the AU and the AMP for the Icon device as well
6 as the Perfexion.

7 CHAIRMAN ALDERSON: Good.

8 (Simultaneous speaking.)

9 MR. OUHIB: Okay --

10 MEMBER LANGHORST: And also for the RSO.

11 MR. OUHIB: Yes, perfect. Thank you.

12 DR. HOWE: And we also list them -- and we
13 also list them on the license for those
14 authorizations. So it's not just they get the
15 training, but they have to list it on the license or
16 the permit for a broad-scope license.

17 CHAIRMAN ALDERSON: Good, good. So that's
18 been covered then, good. Thank you. Anything else,
19 Zoubir?

20 MR. OUHIB: Yes. So should that be added
21 to the -- at the end of the -- that last slide,
22 authorized users as defined per -- and then put in
23 that information in there? Or not?

24 MS. HOLIDAY: I don't think that's
25 necessary because the focus of this presentation was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just to address the physical presence requirements.

2 MR. OUHIB: Okay.

3 MS. HOLIDAY: It wasn't necessarily to
4 amend the training and experience requirements for
5 those authorized individuals.

6 CHAIRMAN ALDERSON: Good.

7 MR. OUHIB: Great, thank you.

8 CHAIRMAN ALDERSON: Okay, thank you.
9 Anyone else on the phone who would like to comment?

10 (No audible response.)

11 CHAIRMAN ALDERSON: All right, so we had
12 all the comments now. And at this point I think we
13 are ready to look at the actual proposal and for this
14 committee to determine whether it supports this --
15 this report -- it approves this report. So would
16 anyone like to -- we have had discussion, would anyone
17 like to make a motion to that effect?

18 PARTICIPANT: Motion to approve.

19 MEMBER ENNIS: Motion to approve with
20 modifications. Sue pointed out something that was an
21 important modification --

22 MEMBER SUH: Yes, if there's interruption
23 of treatment with the authorized user.

24 (Simultaneous speaking.)

25 MEMBER ENNIS: And interruption --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 interruption of treatment that AU be required to -

2 VICE CHAIRMAN ZANZONICO: And frameless
3 versus -

4 MEMBER LANGHORST: Yes, and the authorized
5 user versus an authorized user.

6 MEMBER ENNIS: Right. And that's --
7 should be an authorized user, or an authorized medical
8 physicist. But also stipulating that if there is an
9 interruption of treatment the patient is taken out of
10 the unit -- I guess is how we would define it?

11 MEMBER SUH: If you unhook the patient
12 from the unit, that you'd have to -

13 MEMBER ENNIS: Right, that the AU must be
14 present to resume treatment. So with that -- with
15 those amendments.

16 (Simultaneous speaking.)

17 CHAIRMAN ALDERSON: With those -- approval
18 and -

19 MEMBER SUH: Well, the -

20 CHAIRMAN ALDERSON: All right, do we have
21 a second?

22 (Simultaneous speaking.)

23 VICE CHAIRMAN ZANZONICO: Well the -- I
24 guess the issue is the mask versus frame. I mean,
25 some of you said explicitly for mask -- for the mask-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 based system.

2 MEMBER LANGHORST: But before we move off
3 of Dr. Ennis's comment, I wanted to say you need to be
4 able to take the patient out quickly. So you can't
5 wait for the AU for interruption.

6 MEMBER ENNIS: Right. No, just to put
7 them back in --

8 MEMBER LANGHORST: But to put them back in
9 is what -- and so I want to make that clear that you
10 can't -- you don't wait for the AU to take the patient
11 out.

12 MEMBER SUH: And that's one of the reasons
13 why the -- the training is very rigorous with the
14 authorized medical physicist and others who are
15 involved.

16 MEMBER LANGHORST: There are a lot of
17 people involved in these procedures. It's not just
18 one authorized medical physicist there left to his or
19 her own devices.

20 CHAIRMAN ALDERSON: Are there further
21 comments from the Committee?

22 (No audible response.)

23 CHAIRMAN ALDERSON: So we have now a
24 motion and a second to approve the report as amended.
25 Is there further discussion?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SUH: I think the other thing is -
2 (Simultaneous speaking.)

3 MS. HOLIDAY: May I ask a question? I
4 thought I heard discussions about if you guys wanted
5 to amend the report to also include the Perfexion? So
6 is that something that is still on the table? Or are
7 we only doing this solely for the frameless mask use
8 of the Icon?

9 CHAIRMAN ALDERSON: Comment, please.

10 MEMBER LANGHORST: My opinion is -- is I -
11 - I think that the subcommittee should look at that
12 more closely to -- whether -- because it is difficult
13 to -- it is easy to say for the Icon unit you can do
14 this. It is not as easy to say only if you are using
15 the mask versus the frame. And I would like to hear
16 from the subcommittee whether the frame -- using the
17 frame on the Icon would be just as safe, too.

18 CHAIRMAN ALDERSON: So I -- I would like
19 to just point out as a matter of procedure -- oh, I'm
20 -- I'll -- I will make a comment and then I will go to
21 the microphone. That you could -- since apparently
22 the report -- the report is written in the limited
23 format, you could in fact approve the report as
24 amended -- because that didn't relate to that -- and
25 that would allow in that situation for the AU to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 two-minutes away.

2 You then could come back at a subsequent
3 time -- the next meeting, for example, with additional
4 information about getting to that bigger question.
5 Now there is somebody at the microphone and Sophie has
6 got her hand up again. I am going to go to the
7 microphone.

8 MR. SHEETZ: I just want to point out that
9 the medical events -- the 12 that occurred since 2006
10 -- were from the Perfexion with the frame-based
11 treatments. So we really don't have a track record
12 yet for the mask-based treatments.

13 CHAIRMAN ALDERSON: Sophie.

14 MS. HOLIDAY: Okay, so procedurally, as
15 you understand, these are the Committee's
16 recommendations for the actions that you wish staff to
17 pursue. That does not necessarily mean between now
18 and the spring meeting that the guidance will have
19 been revised. So following the ACMUI's final report -
20 - if this is the final report as amended -- this is a
21 recommendation that I will have to take back to my NRC
22 Agreements State Working Group. And they will have to
23 work through management to make the decision as to
24 whether or not to actually amend the guidance. So I
25 just want to clarify that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: Okay. So now we know
2 that if we approve the report as amended and written,
3 then we still will need an action from the NRC to make
4 that the official guidance out for practice. But the
5 question now is that -- I think the question that is
6 still on the table is this issue of the Perfexion.
7 Should that be included now? Or does that have to be
8 a follow-up later? My opinion is it has to be later.
9 Let's get some other.

10 MEMBER LANGHORST: Well, I wonder if the
11 subcommittee could convene, talk about it, and then
12 have a teleconference with the -- with the ACMUI
13 before the spring meeting to clarify that point. And
14 if they decide no to, then you could still get
15 together and approve the report as it stands with the
16 amendments we talked about.

17 CHAIRMAN ALDERSON: All right, so -- so
18 the recommendation from Dr. Langhorst is that we -- I
19 think this is implicit in your recommendation, that we
20 not approve anything right now, that we go back to the
21 committee -- that they discuss the issue, that they
22 have a teleconference and they get back to us. And by
23 the time we get to the spring meeting that decision
24 has been fully resolved. Is that what you are
25 recommending?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER LANGHORST: That is what I am
2 suggesting and -- and whether the subcommittee wants
3 to do that or -- or go in the different pieces, as you
4 have suggested.

5 CHAIRMAN ALDERSON: Yes, okay. Well we
6 need some comments on that form members of the
7 committee.

8 VICE CHAIRMAN ZANZONICO: But it wouldn't
9 have to wait until the spring meeting. I mean, there
10 could be an intervening subcommittee meeting to amend
11 the report and then shortly thereafter a full ACMUI
12 teleconference to approve it, so there wouldn't be an
13 undue delay in terms of what Sophie has to do.

14 CHAIRMAN ALDERSON: That's correct. Okay,
15 everyone is nodding that that's procedurally correct,
16 yes, as stated. So we have a recommendation from Dr.
17 Langhorst. That is essentially a proposal. Is
18 someone going to second that? Several people. Do we
19 want to discuss it further?

20 (No audible response.)

21 CHAIRMAN ALDERSON: No one seems to wish
22 to do that, so in all -- do you want to comment, John,
23 as the head of the committee?

24 MEMBER SUH: No. I am happy to take that.
25 It's very useful feedback about things. So, I -- no.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We can -- we can convene as a subcommittee and make
2 the recommendations in terms of -

3 (Simultaneous speaking.)

4 CHAIRMAN ALDERSON: All right, so the
5 subcommittee chair is willing to accept this approach.

6 So all those in favor?

7 (Show of hands.)

8 CHAIRMAN ALDERSON: That's unanimous. So
9 are there any other comments?

10 (No audible response.)

11 CHAIRMAN ALDERSON: Okay. Hearing none,
12 then that is what will happen. This will go back to
13 committee right now. And then potentially there will
14 be a teleconference set up -- Sophie will work with
15 you on that -- can be coming back to the ACMUI for a
16 teleconference if that is necessary if you reach an
17 endpoint. And hopefully this will all be smoothly
18 taken care of by the spring. Thanks very much.

19 MEMBER SUH: Thank you.

20 CHAIRMAN ALDERSON: All right, well it now
21 is -- according to that clock on the wall, which is a
22 little bit fast -- it is about two minute to ten,
23 which is when we are scheduled to have a break. So I
24 think that -- unless there are items that need to come
25 to the floor right now related to something we've done

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this morning, we will go on break and we will
2 reconvene at 10:30 for a report on Y-90 Microsphere
3 Licensing Guides. Thank you. We stand adjourned.

4 (Whereupon, the above-entitled matter went
5 off the record at 9:56 a.m. and resumed at 10:30 a.m.)

6 CHAIRMAN ALDERSON: We'll reconvene the
7 session. We're now going to hear from Dr. Katie Tapp.
8 She'll provide an update on the Y-90 Microspheres
9 Brachytherapy Licensing Guidance.

10 DR. TAPP: Thank you, Dr. Alderson. Like
11 you said, I'm here to provide an update on the Y-90
12 Microspheres Brachytherapy Licensing Guidance. First I
13 wanted to go through the working group members working
14 on the licensing guidance.

15 First it's myself, then Bob Dansereau from
16 New York State is the co-chair in the working group.
17 Then we have Victor Diaz from New Mexico, Sara Forster
18 from Region III, and Penny Lanzisera from Region I.

19 History on the licensing guidance, the
20 Yttrium-90 Microspheres Licensing Guidance was issued
21 in 2002 originally, and you can remember in February
22 of 2016 we issued a Revision 9. We immediately opened
23 up a working group to start working on recommendations
24 from both staff and ACMUI to start Revision 10. A
25 draft of that revision was reviewed by a sub-committee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and discussed at the October 7, 2017 ACMUI meeting.

2 That draft revision that the ACMUI sub-
3 committee reviewed had significant changes to three
4 sections. Those sections included the training and
5 experience section, some addition information on the
6 waste and disposal section, and then a new section
7 that provided a reference for autopsy and creation
8 information because we received lots of questions
9 regarding radiation safety for past patients
10 specifically related to cremation so we wanted to
11 provide a reference to data that licensees could look
12 at.

13 Those were the three changes that were in
14 the draft when the ACMUI reviewed this in the fall.
15 The new draft is also adding one additional change,
16 significant change, where we're adding the definition
17 for the term shunting, just to clarify what is the
18 meaning of shunting in the terms of licensing guidance
19 space.

20 To give some history again, on the
21 training and experience section of the Y-90 licensing
22 guidance, it includes two components. The first
23 component is the radiation training and experience,
24 radiation safety training experience part. This is
25 relatively standard.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The training we expect an individual to
2 have to become an authorized user, which would include
3 either being a 300 or 400 authorized user or if
4 they're an intervention radiologist the number of
5 years of experience we expect them to have in
6 training, or board certifications. This would also
7 include specific classroom and laboratory training for
8 by-product materials, as some intervention
9 radiologists would deal more with linear accelerators
10 or x-rays, and not with by-product materials as well
11 as specific work experience for Yttrium-90
12 microspheres.

13 Then there is a second part that all
14 individuals must have for Yttrium-90 authorized user
15 status, which is specific clinical experience using
16 the devices to deliver the Yttrium-90 microspheres.
17 That training includes operations of the delivery
18 systems, safety procedures, clinical use and three
19 supervised in vivo cases under the supervision of
20 somebody previously trained or a manufacturer. The
21 supervision of the three in vivo cases must be under
22 either an authorized user or a manufacturer
23 representative, which is known an alternative pathway.

24 This alternative pathway was introduced in
25 2008, due to the limited number of authorized users to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 provide supervision, and it's unique to HM-90
2 microsphere radiation therapy, not found in other
3 modalities in 10 CFR 35.

4 The Draft Revision 10, the working group
5 recommended the removal of the alternative pathway.
6 The working group believed that after ten years of
7 licensing authorized users for HM-90 microspheres
8 there should be adequate numbers of authorized users
9 available to provide the supervision necessary for new
10 physicians to get that work experience to become
11 authorized users. The working group understood that
12 some individuals may be under the process of getting
13 their training experience this way, so we wanted to
14 provide a two-year grace period.

15 The ACMUI reviewed this, as I said, last
16 fall, and new recommendations were that the
17 alternative pathway should remain because there is
18 still uncertainty if a sufficient number of training
19 experience opportunities for new AUs and that
20 manufacturer training provides a uniform standard of
21 didactic and in vitro clinical training. They also
22 recommended that the working group should consider
23 additional requirements for these proctors, because
24 manufacture representatives, the licensing guide does
25 not state that they have to be physicians.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 As the working group has reviewed the
2 ACMUIs recommendations and has gone through the
3 document again, the consideration is for the draft
4 revision of Licensing Guidance 10 is to still
5 recommend alternative pathway be removed with a grace
6 period, and then recommend A), supervision from the
7 last recommendation the ACMUI received to make sure
8 that individual provides work experienced in AU for
9 the work experience in evaluation of treatment and
10 administrative controls to prevent medical events.

11 We believe that this should be an AU's
12 position, because these two items are more medically
13 related and a representative who is not medically
14 trained may not be able to provide a detailed work
15 experience for these two items.

16 The working group is still recommending
17 that there is a grace period of two years prior to the
18 removal of that alternative pathway, and during this
19 grace period there is a recommendation that there is a
20 six-month limit for completing three supervised in
21 vivo cases after the AU is put on the license, so
22 these three supervised cases would occur, the
23 manufacturer representative would come in and we want
24 to make sure that the training and experience they
25 have received to be put on the license has not been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 forgotten so we suggested a three-year limit for
2 that, for them to complete those three cases. This
3 would avoid significant time frames between the
4 training and the actual clinical experience.

5 We do recognize that on a case by case
6 basis there must be an allowance for longer time
7 periods as maybe patients aren't available to receive
8 this training. There might be some other condition
9 where in a case by case basis alone should be
10 necessary.

11 I said there was one addition that the
12 working group is suggesting adding to Revision 10, and
13 that's regarding lung shunting. In Revision 9 we
14 excluded reporting of lung shunting as a medical event
15 if lung shunt was evaluated prior to treatment. We
16 wanted to make sure it was clear for the purpose of
17 this guidance what the definition of lung shunting is,
18 so the definition we added is, shunting is defined as
19 the unexpected blood flow causing Yttrium-90
20 microspheres to flow to an unwanted location. This is
21 the working group's recommendation for Draft Revision
22 10. This is the first time we're doing this, but based
23 on the ACMUI recommendation back to us was, we don't
24 have enough information available at this time to
25 remove that pathway, with still uncertainties around

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is there enough authorized users out there to provide
2 this training.

3 So the NRC wanted to reach out for public
4 comments to find out what the public has to say and
5 medical stakeholders have to say, if there are enough
6 authorized users. So we are going to issue the Draft
7 Revision for public comment, planning on relative
8 soon. The public comment period will be 60 days, as
9 we're going to send this out.

10 When it does go out, we will alert the
11 major professional societies and the two manufacturers
12 involved to make sure they have time to review and
13 provide us comments. In the public comments
14 solicitation we're going to ask several questions for
15 consideration. The first will be mostly on training
16 and experience and that is questions regarding the
17 minimum clinical experience necessary for Yttrium-90,
18 whether the training experience is adequate if someone
19 goes from one manufacturer to another or should there
20 be lenience if someone's already trained with one,
21 moving to the next.

22 If there's a reason why Yttrium-90 does
23 not need written attestation such as other modalities
24 found in 10 CFR 35. Currently the licensing guidance
25 is not required for written attestation, so we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 asking is there a reason why it should not, why it
2 should be different.

3 Then there's a specific question on the
4 removal of the alternate pathway. This is the question
5 on, should we be removing the manufacturer
6 representative, is there enough AUs out there to
7 provide this training, and then the timing of the in
8 vivo case completion during the grace period, or if we
9 decide to keep the alternative pathway, is that
10 timeliness for the in vivo case completion, a good
11 time, is that six months a good time to complete that.

12 And then we're asking for public comments
13 specific to the medical event definition, is there
14 anybody out there who has some guidance back to us to
15 clarify medical events in cases of Yttrium-90. And
16 there is the acronyms I have. Any questions?

17 CHAIRMAN ALDERSON: Thank you. Good. So it
18 seems, if I'm hearing this correctly, that it's one of
19 these situations where we have a disagreement or a
20 different position between the ACMUI and the NRC. The
21 ACMUI said keep the alternate pathway, the NRC is not
22 happy with that so they want to put out a draft
23 document with the pathway being sunset, and ask for
24 comments. Is that correct?

25 DR. TAPP: I wouldn't really call it a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 disagreement. I believe from the ACUMI recommendation,
2 you stated that you wanted to keep it the way it is
3 because it wasn't clear if it was enough authorized
4 users out there. So we wanted to find out that
5 information. That's why we decided to go out for
6 public comment.

7 CHAIRMAN ALDERSON: So I'd like to get
8 comments from the ACUMI on this so Michael O'Hara
9 raised his hand.

10 MEMBER O'HARA: How many authorized users
11 are there? Do you know?

12 DR. TAPP: No, we don't know. It's
13 difficult to quantify because that Agreement States
14 permit holders and broad scopes and permit holders for
15 our master materials licenses.

16 CHAIRMAN ALDERSON: Thank you. Mr. Green?

17 MR. GREEN: I was wondering, when we saw
18 the advent of interstitial radial therapy with Iodine
19 125, prostate radioactive therapy seeds, when did that
20 happen, how long was that permissible under a
21 alternate pathway training model before that training
22 model was removed, if it was removed? Because it's a
23 similar process, ten years so far with radial therapy
24 with Yttrium. How long was the interstitial
25 brachytherapy therapy before that alternate pathway

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was removed?

2 CHAIRMAN ALDERSON: Who knows the answer to
3 that question? Anyone from the NRC know that? Anyone
4 on the committee? I certainly don't know the answer to
5 that.

6 DR. HOWE: Can you repeat what you believe
7 happened?

8 MR. GREEN: At one point, I-125 prostate
9 brachytherapy therapy interstitial seeds were new.
10 There must have been manufacturers who provided
11 training modalities for that brand-new application of
12 radioactive materials in humans. Then over time, that
13 alternate pathway of developer, manufacturer-provided
14 training, built up a body of authorized users
15 sufficient that authorized users could train each
16 other. I presume that alternate pathway of
17 manufacturer-provided training was removed. That's
18 what we're looking at here. Who knows the history of
19 I-125 seeds?

20 DR. HOWE: I've been at the NRC since 1988,
21 and this is the first time I'm hearing that new
22 market. We did have a number of years where we had
23 approved manual brachytherapy on certain types of
24 procedures, and one was whether interstitial was
25 equivalent to another term.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We finally made a decision that
2 interstitial was equivalent to another term and
3 therefore it was authorized under 400, but the
4 training and experience for 35.400 has not changed
5 since '87 and was updated with board certifications in
6 2006. So there's always been an alternative pathway,
7 but I do not believe there was a real alternative
8 pathway that recognized something as different as the
9 Yttrium-90 alternative.

10 CHAIRMAN ALDERSON: So we can't clarify the
11 point now, because no one seems to know the, Dr.
12 Ennis?

13 MEMBER ENNIS: Well, no. Brachytherapy has
14 been around for probably longer than the NRC has been
15 around, and is not a special PV for each application
16 of brachytherapy. It's not like you need special lung
17 brachytherapy training, experience, special prostate,
18 it's just manual brachytherapy. The only thing that's
19 now new is medical event definition has been part of
20 that specific for prostate therapy. That's the only
21 specific thing applying to prostate radiotherapy. The
22 rest is just regular therapy.

23 CHAIRMAN ALDERSON: Yes, Dr. Langhorst.

24 MEMBER LANGHORST: Dr. Tapp, I wanted to
25 come back to shunting and the definition for that. You

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 talked at one point about a new definition for
2 shunting, and then you talked only specifically about
3 lung shunting. In our ACMUI report that led to Version
4 9 of the guidance document, was about going to the
5 gut. So I'm confused as to what the working group is
6 working on as far as definition of shunting, lung
7 shunting, and how that impacts the medical event
8 reporting items.

9 DR. TAPP: I apologize if this line is
10 misleading, but it is shunting. I'm used to
11 specifically looking at lung shunting, which is the
12 manufacturers, which you can see, but the definition
13 is still applicable to both lung shunting and gut
14 shunting, which is shunting going by blood flow.

15 MEMBER LANGHORST: Okay, so the definition
16 isn't going to be for lung shunting, it's going to be
17 for shunting.

18 DR. TAPP: Shunting, yes.

19 MEMBER LANGHORST: Okay. Thank you for that
20 clarification. And just one minor item, the date on
21 your meeting should be 2016 where we reviewed it,
22 because you have us in the future. I do that all the
23 time, and I'm always thankful that people point that
24 out to me.

25 CHAIRMAN ALDERSON: Other comments from,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 yes, Dr. Zanzonico?

2 VICE CHAIRMAN ZANZONICO: I just have a
3 general question. What's the rationale, other than
4 sort of uniformity, for eliminating the alternate
5 pathway? Implicit in that is that it's somehow less
6 satisfactory than peer to peer training.

7 DR. TAPP: The rationale from the working
8 group is that it was initially provided because of a
9 problem. 35.1000 guidance is supposed to be for unique
10 aspects, different from regulations. It wasn't unique
11 about it, you provide conditions and 35.1000 guidance.
12 This a unique aspect that was occurring in 2008. We
13 didn't have enough authorized users, and now we
14 believe, we're reaching out to find out if that is
15 still a unique aspect for Yttrium-90. If it's not a
16 unique aspect that needs a specific condition under
17 licensing guidance, we want to bring it back towards
18 the tendency of R-35 regulations.

19 That is the main reason the working group
20 is looking at it, because it's unique and we have an
21 alternative pathway specific to one modality, is time
22 consuming for our regions and for agreement states for
23 licensing purposes to follow up on these and to make
24 sure the individuals who are in our licenses still
25 have training that's required to follow up and make

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sure that they have a manufacturer representative
2 present for the first three cases instead of just
3 doing one and then starting to treat patients on their
4 own. So there is time components because it's unique
5 for one modality.

6 CHAIRMAN ALDERSON: Yes, Dr. Dilsizian.

7 MEMBER DILSIZIAN: I thought that the
8 better explanation was your slide number 9, which said
9 that if we are really trying to evaluate treatments or
10 prevention of ME, you need a physician by you. I like
11 that. I thought that was best explanation rather than
12 all the other things you mentioned.

13 DR. TAPP: And that's specific for the work
14 experience part.

15 MEMBER DILSIZIAN: So I think that would be
16 the case you're making, why you like AU, rather than
17 industry representative. It's nice if some initially,
18 you know, and you use the trainer, but once you have
19 enough experience I like this. Those are the two
20 important points to be able to eliminate that
21 alternative pathway.

22 CHAIRMAN ALDERSON: Yes, Dr. Langhorst.

23 MEMBER LANGHORST: Dr. Tapp, you mentioned
24 about this alternative pathway that our
25 recommendations had suggested that maybe you want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 put more requirements in the manufacturers' training.
2 Is that no longer being considered?

3 DR. TAPP: The work experience section can
4 be provided by a manufacturer representative. We were
5 not suggesting getting rid of the work experience
6 parts that aren't included here, which is the
7 operation of the device and other components
8 associated with the work experience. Those will still
9 be provided by the training that the manufacturer
10 representatives give. But from the recommendation,
11 these two specifically, we thought physicians would be
12 important.

13 And it can still be a manufacturer
14 representative, it just needs to be a physician who
15 has a status. Our understanding is the manufacturers
16 do have physicians who sometimes provide this
17 training.

18 MEMBER LANGHORST: As we reviewed the draft
19 licensing guidance, I know we were concerned about new
20 licensees and where would authorized users come from,
21 and if an authorized user is allowed through the
22 manufacturer, I mean I know they already do that, I
23 think that would be very helpful so that it does not
24 negate a new licensee coming on board to be able to
25 get this training and try to find out who's going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be an authorized user to come train me on this. So
2 that was one of the reasons why we didn't feel it was
3 wise to totally get rid of that pathway.

4 CHAIRMAN ALDERSON: Chris, did you have
5 your hand up?

6 MEMBER PALESTRO: Yes. I remember when this
7 discussion came up last year and I had reservations
8 about it then and I still have reservations. I think
9 to an outsider looking at the situation when you have
10 the manufacturer participating in the training, it's a
11 conflict of interest. Real or perceived, it's a
12 conflict of interest.

13 My own personal opinion is I find it hard
14 to believe that in ten years there aren't enough AUs
15 throughout the country that can train other
16 individuals to do it, and if there aren't many AUs, or
17 a lack of them, then maybe the procedure's not
18 performed all that often. So that's a possibility.

19 I certainly don't disagree with trying to
20 determine how many AUs are out there and whether there
21 is a sufficient number, although we've been through
22 that experience with our delving into training and
23 experience and haven't gotten very far with it so
24 again, I will reiterate my opposition to this on the
25 basis of a conflict of interest.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: Yes.

2 MEMBER WEIL: I think there are two
3 conflicts of interest, the one that Dr. Palestro
4 explains eloquently but there's also the conflict that
5 hospital A is not necessarily going to be interested
6 in training physicians at hospital B to provide a
7 service that they would rather have a monopoly on. So
8 in terms of patient access and the ethics of that,
9 it's a very complicated equation.

10 CHAIRMAN ALDERSON: I'd also point out in
11 just an analogy, and this is an intermediate question,
12 not falling really hard on either side, but we have
13 spent a number of hours at this table arguing the
14 availability to patients, access availability of
15 things like Bexxar, and the big issue that comes up
16 there is not what's happening in the big cities, it's
17 what's happening in the rural community.

18 And so here, it's a situation whereby
19 eliminating an alternate pathway, it would be
20 wonderful to know the geographic location of all of
21 these things. You might be exactly moving right into
22 another repeat of something like Bexxar where we'll
23 later have complaints that people who live in rural
24 don't have an AU there and they have to travel into
25 the city to get these microspheres.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. TAPP: And I will state that we are
2 seeing smaller and smaller clinical-based settings now
3 starting to use Yttrium-90 microspheres. It's starting
4 to be seen more often. It is becoming a more prevalent
5 procedure for intervention radiologists now, with all
6 the new forms of oncology. And it is being trained in
7 a lot of residency programs. But we may not be there
8 yet. That's why we want to reach out to the public, to
9 make sure there is not a hole.

10 CHAIRMAN ALDERSON: Yes. Good. Yes, Dr.
11 Langhorst.

12 MEMBER LANGHORST: I do want to say I think
13 it's a wonderful idea that this licensing guidance is
14 going out for public comments, so thank you very much.

15 CHAIRMAN ALDERSON: Further comments from
16 the committee? Further comments from the audience?
17 Does anyone like to speak who is here today? Is there
18 anyone on the phone who would like to speak to this
19 issue?

20 MR. OUHIB: Yes, hi, this is Zoubir.

21 CHAIRMAN ALDERSON: Yes, Zoubir, please.

22 MR. OUHIB: Just a comment. I think, maybe
23 I can only speak for one manufacturer, is that there
24 is a training for authorized users. There is a
25 training course that people have to attend and acquire

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 adequate training. So I think, and the other thing is
2 I recall at one of the meetings, at the most, maybe
3 the last meeting, but there was a medical event where
4 a manufacturer representative was actually present
5 during the first case where a medical event had
6 occurred.

7 So that's another concern that I actually
8 have. So maybe going to a training course where they
9 have done many, many cases and they can talk about
10 possible events and all that, would be better than
11 having a manufacturer representative come in and train
12 the staff. So that's just my two cents.

13 CHAIRMAN ALDERSON: Thank you, Zoubir. Any
14 other comments from people who are on the phone today?
15 Hearing none, then we're at a point where we should
16 decide whether in fact this proposal to move ahead
17 with an alternate pathway as this report, is approved.

18 DR. TAPP: You could make a motion. I don't
19 think one is necessary --

20 (Simultaneous talking.)

21 CHAIRMAN ALDERSON: That's already been
22 decided?

23 MR. OUHIB: Yes, right here.

24 DR. TAPP: Yes, and once we're done with
25 the public comments we will evaluate them, update the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 licensing guidance as necessary, and then send it
2 back, probably, to ACMUI for peer review and that's
3 where I would expect --

4 CHAIRMAN ALDERSON: All right.

5 DR. TAPP: When you actually have the data
6 from the public comments.

7 CHAIRMAN ALDERSON: So you're simply
8 informing us of what's going to go forward, and if it,
9 after you've got the data, we'll hear about it again.

10 MR. BOLLOCK: Yes, we still owe the ACMUI a
11 report.

12 CHAIRMAN ALDERSON: All right. Any other
13 comments from anyone? Hearing none, thank you very
14 much.

15 DR. TAPP: Thank you.

16 CHAIRMAN ALDERSON: That brings us to Dr.
17 Palestro and Dr. Metter, who will bring us up to date
18 on the communications with the medical community. Some
19 of you may know, on some of the older revisions here
20 my name was on that but I discussed it with Drs.
21 Palestro and Metter and we decided that really, it's
22 been their work after we brought it forward here, so
23 that they should make this report.

24 MEMBER PALESTRO: Thank you, Dr. Alderson.
25 Our inaugural sessions were held in May 2017 in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Washington D.C., the annual meeting of the American
2 College of Radiology, and then again at the Society of
3 Nuclear Medicine and Molecular Imaging annual meeting
4 in June in Denver, Colorado.

5 At the inaugural sessions, really, we ran
6 essentially the same session consisting in overview
7 and an explanation of the ACMUI by Darlene. I touched
8 on some of the current topics and we also had an NRC
9 staff member to discuss regulators. And for the
10 American College of Radiology meeting it was Mr.
11 Bollock, and for the Society of Nuclear Medicine
12 meeting it was Dr. Daibes Figueroa, and then we
13 concluded with a question and answer session.

14 These inaugural sessions, they were really
15 our first go-round. We had modest turnouts in terms of
16 attendance but we did have good dialog, good
17 interaction between the panelists and the attendees. I
18 think part of the issue in terms of attendance, or
19 ways to improve attendance, were that they weren't CME
20 or SAM sessions, and I think nowadays at meeting such
21 as this you really need to try to organize the courses
22 and the sessions that CME and if we can, preferably,
23 is SAM, so-called Self-Assessment Module sessions. I
24 think that will improve the attendance.

25 I think another potential issue with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 attendance, particularly with the American College of
2 Radiology, was the timing of the session. We held it
3 later on in the week, and apparently many of the
4 individuals who ordinarily would be interested in
5 matters the ACMUI focuses on, those sessions were held
6 primarily towards the beginning of the week. So we
7 would look to go back and try to revise the timing.

8 And again, as I had just said, I think we
9 need to organize these as, at the very least, CME and
10 preferably SAM sessions. Dr. Metter has said she'd be
11 gracious enough to write all the questions for the SAM
12 session, and for that I thank her.

13 We also conceivably could come up with
14 interactive scenarios, create issues, create
15 situations, and identify resolutions with some sort of
16 audience participation. We've also talked about maybe
17 expanding to additional venues such as program
18 director meetings at these larger meetings. I think
19 I'd like to hold back on that until we get these
20 initial sessions up and running and debugged a little
21 bit more.

22 And now that we have a little bit more
23 time this year, I know that the solicitations for
24 sessions from the Society of Nuclear Medicine will be
25 going out some time this month, but certainly in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 advance you're welcome to send either Dr. Metter of me
2 or I guess even Sophie, topics that you might consider
3 of interest or concern that we could conceivably work
4 into the meetings. So certainly any of your
5 suggestions are welcome.

6 I just made some notes over the course of
7 this meeting and I think some of the topics could be
8 potentially very useful and I think would be of
9 interest to attendees. Certainly accessing the NRC
10 website would be very helpful. I found Sophie's
11 presentation this morning very useful. Darlene's
12 presentation of breast feeding yesterday. That has a
13 lot of practical implications, as does patient release
14 for I-131.

15 And for myself, I always take a lot of
16 interest in the summary of the medical events, even
17 though oftentimes there's a lot of detail missing, but
18 I think if you look at them there are certain trends
19 that most of these events tend to occur as a result of
20 procedural failures of one sort or another, and it's
21 the type of thing that you can go through that with
22 the attendees and point out, are you doing
23 identifications early, or you need to go back and look
24 just to make sure, that sort of thing. The Y-90 I
25 think also is another topic that would be useful for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussion and presentation.

2 Then finally, I think these are all very
3 good, but I really think it's very important that an
4 NRC staff member be there, because we did have some
5 questions that certainly neither Darlene or I could
6 answer and it was very helpful to have the staff
7 member there to clarify certain things.

8 CHAIRMAN ALDERSON: Good. Excellent report.
9 I'm delighted that the two of you got together and
10 really got this started and that you had a decent
11 initial experience. Has anyone else actually reached
12 out? We discussed at this meeting other ways that
13 other people might reach out. Darlene, you have a
14 comment?

15 MEMBER METTER: I just also want to comment
16 that actually doing these sessions, going over the
17 medical events was actually a very important time that
18 we can say look, we're not, we're regulators but we're
19 not penalizing you, this is for best patient care. I
20 think that can help change the culture or the attitude
21 that programs may have regarding regulators, and that
22 we're here to help you do best practices, to help you
23 to do value medicine, value-based medicine, good
24 patient care. I think that would be a good focus on
25 that rather than being penalized, being like okay, how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can you suggest that we change things? Maybe, like you
2 said, make it an audience participation and let them
3 come up with some of the ideas that maybe they could
4 use at their place.

5 CHAIRMAN ALDERSON: Good. Would anyone else
6 like to comment? John?

7 MEMBER SUH: Yes. On Saturday, September
8 23, I'll be speaking to the ARRO, which stands for the
9 Association of Residents in Radiation Oncology.
10 There's a panel meeting in San Diego, which is our
11 ASTRO meeting, so they wanted an overview about what
12 the ACMUI is, what we do, and the tact I was going to
13 take was just to go through some of the medical events
14 that have been reported, just to let them know that
15 these are quality, safety issues you should think
16 about in your practices.

17 These are, most of the individuals who
18 attend the ARRO meeting are graduate residents, so
19 it's a session, I believe it's going from 9 to 5pm,
20 just like job search and what to look for, etc.

21 CHAIRMAN ALDERSON: It's a full day
22 session?

23 DR. SUH: Full day session, Saturday.

24 CHAIRMAN ALDERSON: All right. So you'll
25 have like one session, or one --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SUH: They're giving me, I think, 20
2 minutes to go through things. I'll speak fast.

3 CHAIRMAN ALDERSON: And the acronym for
4 ARRO, is it two As? A-A-R-R --

5 MEMBER SUH: A-R-R-O.

6 CHAIRMAN ALDERSON: Just one A.

7 MEMBER SUH: Yes. One A. One A, R-R-O.

8 CHAIRMAN ALDERSON: Very good, well, that's
9 excellent. That's an excellent additional example.

10 MR. BOLLOCK: And Lisa Dimmick, our new
11 medical team leader, will actually be going to ASTRO
12 so she can --

13 CHAIRMAN ALDERSON: Oh, Lisa will be there
14 for that session?

15 MS. DIMMICK: I don't know if I'll be
16 there. I can support that session.

17 CHAIRMAN ALDERSON: Good. Excellent. I see
18 someone at the microphone.

19 MS. KUBLER: Hi, yes, Caitlin Kubler with
20 the Society of Nuclear Medicine and Molecular Imaging.
21 I'd be happy to work with Dr. Palestro. We've been in
22 email contact about setting up a SAM session at this
23 year's annual meeting. It'll be in Philadelphia, so I
24 think we'll have more policy-oriented people there. We
25 can also survey some of our membership in those topics

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that you mentioned, I think would be very helpful to
2 talk about.

3 I would say on the medical event reporting
4 topic, that you just kind of put it in perspective
5 because sometimes we have some people that attend the
6 meeting that they kind of blow things out of
7 proportion and the hotel workers, radiation trash,
8 that issue kind of quickly got blown out of
9 perspective so I would just say in that area you might
10 want to keep it in perspective.

11 But I'm happy to work with you and I think
12 we can do more advertising, we can put something in
13 our newsletter and on the website. I think that would
14 also help increase attendance. Some of our people
15 didn't know until later about the event. I think that
16 would definitely help.

17 CHAIRMAN ALDERSON: Excellent. That's
18 great.

19 MEMBER PALESTRO: Question for you. Do you
20 know whether requests for proposals is going to be
21 issued? I haven't seen anything yet.

22 MS. KUBLER: No, I mean, because they're
23 doing a new structure this year as you saw, so it
24 might be a little later. It might not be until towards
25 the middle of October, actually. But I can reach out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to you if we have anything further.

2 MEMBER PALESTRO: Okay.

3 CHAIRMAN ALDERSON: Dr. Metter?

4 MEMBER METTER: I'd be happy to share the
5 slides. It would be the general overview, I'd be happy
6 to share the slides for that so you don't have to redo
7 it.

8 MEMBER SUH: That would be great. From my
9 hotel room I took a picture of the NRC building.

10 (Laughter.)

11 MEMBER METTER: Perhaps there could be a
12 repository where we can maybe pull some of these
13 together so we can share, something like that might be
14 helpful.

15 CHAIRMAN ALDERSON: Excellent. Very good.
16 Yes, Mr. Green.

17 MR. GREEN: I can just say, as an attendee
18 of the SMMI session that Dr. Palestro and Dr. Metter
19 presented, it was very well received and there was
20 good dialog, and interactions that occurred after the
21 presentations were done. There was still lingering and
22 discussing and it was great to have representatives
23 from the NRC there, so I think that the community was
24 very receptive and would like to see it again.

25 CHAIRMAN ALDERSON: Excellent. Good. I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 glad to get that positive feedback from this program,
2 and I know when we discussed this the other time,
3 several members of the ACMUI sitting around the table
4 pointed out that they already had, not specific
5 representatives coming to a specific session, but they
6 already had aspects of their programs which they
7 thought were fulfilling this need. But again, I would
8 ask you all to consider whether in fact your
9 organizations, whether this might be an interesting
10 thing to consider doing on a periodic basis.

11 Yes, Dr. Ennis?

12 MEMBER ENNIS: So I think making it into a
13 SAM is a great one because we too have had challenges
14 sometimes when we've done these types of things at
15 ASTRO, getting all our attendance. So if you are able
16 to develop that I would love to be able to share that.

17 CHAIRMAN ALDERSON: The kind of very things
18 that radiation oncologists would need in SAM will be -
19 -

20 MEMBER ENNIS: Yeah. And it would really
21 help me kind of figure out how to do that.

22 MEMBER METTER: I think the first SAM would
23 be really interesting, because do they know what it
24 stands for? Some basic things, you know, and every
25 year you just make it a little more.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: Okay, well, that's
2 excellent. Any further comments on this particular
3 initiative? From the ACMUI? Any other comments from
4 the audience here? Anybody on the phone who wishes to
5 comment. Hearing none, I --

6 MR. OUHIB: Hello?

7 CHAIRMAN ALDERSON: Yes, someone on the
8 phone?

9 MR. OUHIB: Yes, this is Zoubir.

10 CHAIRMAN ALDERSON: Zoubir.

11 MR. OUHIB: Yes, can you hear me? Just to
12 give an update, I actually had done a presentation at
13 the ACR for the ACRO committee on Category III and
14 intend to give an update at the upcoming ASTRO
15 meeting. We also did, with the help of Duncan White
16 and Katherine Tapp, thank you, both of you, an article
17 on the BrachyBlast which is a newsletter from the
18 American Brachytherapy Society, to sort of inform
19 people where things are at on Category III. We
20 certainly intend to keep people informed on that.

21 I think the purpose of that is there are
22 people who unfortunately cannot make it to the
23 meetings to hear the presentation, but they certainly
24 can access the newsletter. And we did a SAM session on
25 medical event at the AAPM, and that went very well.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: Well, good. So that's
2 another example of things that are happening. That's
3 excellent. Thank you, Zoubir. Any other comments from
4 anyone on the phone? Hearing none, and looking at the
5 agenda, I believe that we have completed the
6 activities from the morning. The afternoon activities,
7 which begin at 1pm, we'll look at those in that
8 specific order because people are coming specifically
9 for this honorary presentation to Frank and then
10 special presentation to Dr. Langhorst. So we will
11 reconvene at 1pm. Yes?

12 MS. HOLIDAY: Dr. Alderson, if you don't
13 mind, since we're running a little bit early, my
14 proposal if the Committee will accept it, is for us to
15 go ahead and discuss the spring meeting date so we can
16 get that out of the way?

17 CHAIRMAN ALDERSON: That would be great.
18 Very good. Sophie would like to discuss a spring
19 meeting date, the item called Administrative Closing,
20 which is now listed at 3pm.

21 MS. HOLIDAY: Okay, for this portion of the
22 meeting I just want to go ahead and propose some
23 tentative dates for the spring 2018 meeting. As many
24 of you are aware, our vice-chairman, Dr. Pat
25 Zanzonico, will be rotating off of the Committee after

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 serving his two terms, eight years, and so that is
2 something that we would like to give some
3 consideration to. Dr. Zanzonico's last date of his
4 term is actually March 8. According to the lovely
5 document you see on your screen, the only day that
6 would work for Dr. Zanzonico to be present at the
7 meeting would be March 1st and March 2nd.

8 Staff provided a meeting doodle to the
9 Committee previously, a couple months ago, to poll the
10 Committee for their availability and as it turns out,
11 the 1st and the 2nd there were no conflicts so I would
12 like to confirm with the committee there are any other
13 members that have conflicts with Thursday, March 1,
14 and Friday, March 2.

15 MEMBER ENNIS: I could accommodate, but I
16 do have a conflict that would require - so if I'm the
17 only one I'll make it work, although I may not be able
18 to be present for the entire day.

19 MS. HOLIDAY: Okay.

20 CHAIRMAN ALDERSON: Anyone else? Everyone
21 else okay with that, looks like? Looks like that's it.

22 MS. HOLIDAY: Okay. So with that being
23 said, if the Committee will have March 1 and 2 as
24 their first choice for the spring meeting. The next
25 thing is for us to choose a backup date, as that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 something that we always do. All of the tentative
2 backup dates, I can tell you there was at least one
3 member that had a conflict, so it's just going to be a
4 pick and choose which date you're okay with.

5 For March 12 and March 13, I saw that Dr.
6 Suh had a conflict and of course Dr. Zanzonico's term
7 will be over, so the 12th and 13th poses as a conflict
8 for Dr. Suh. Is that date okay with the rest of the
9 committee? Okay, I'm seeing head nods. What about the
10 14th and 15th? Does anybody have any conflict on the
11 14th and 15th?

12 Okay, if there are no conflicts for the
13 14th and 15th, my suggestion would be that we put the
14 14th and 15th as our second-choice backup date, with
15 our first choice being Thursday March 1 and Friday
16 March 2.

17 CHAIRMAN ALDERSON: When we usually discuss
18 this for a meeting, we usually talk about the fact
19 that we might have an opportunity to meet with the
20 commission, and that has an impact on our choices. You
21 haven't mentioned that yet, so how does that --

22 MS. HOLIDAY: Correct. You're beating me to
23 the punch, Dr. Alderson. And as Sue says, you're
24 getting in my mind, which is a scary thing.

25 (Laughter.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. HOLIDAY: As a result of this
2 discussion with staff, we will run the request up
3 through the management chain to poll the Commission.
4 The Commission has the calendar agenda planning
5 meeting every month that they perform, so staff will
6 propose those dates with the caveat that our vice-
7 chairman will be rotating off so the preference would
8 be for the Commission, if they can accommodate, to
9 have their commission meeting with the API on either
10 March 1 or March 2. We will absolutely provide that
11 information to the Commission.

12 CHAIRMAN ALDERSON: Good. All right. Thank
13 you. Are there any other items that need to be
14 discussed in what is currently called Administrative
15 Closing?

16 MS. HOLIDAY: We could go over the
17 recommendations and actions chart, if you want to go
18 ahead and do that.

19 CHAIRMAN ALDERSON: I think it would be
20 better for us just to clear this item so that --

21 MS. HOLIDAY: Sure. If you will bear with
22 me, this is a very rough draft, these are the action
23 items that have taken place over the past two days of
24 this meeting.

25 The first one is where Mr. Dan Collins

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 proposed a staff action to engage discussions with the
2 Organization of Agreement States to find a way to
3 centralize medical event reporting from the agreement
4 states. That's an action item. You have something to
5 say?

6 MR. BOLLOCK: Yeah, just want to add to
7 that. I said yesterday that two of the things we used
8 to pass on, events and evaluations is not a best
9 presentation, and Dr. Ennis' presentations, we can do
10 a better job especially for Dr. Ennis and his
11 subcommittee for the events. We'll try to get more
12 information because sometimes, like I was saying,
13 there are inspection reports and things like that that
14 aren't necessarily just off of NMED.

15 And so we will strive, staff will strive,
16 to give you more, better information at least if it's
17 a case where a medical event was reported and after
18 inspection and a review from the licensee it was a no,
19 never mind, it really wasn't, this was okay, if we
20 have that we can feed that. So we will strive to get
21 that subcommittee the best information possible so we
22 can try to have these presentations be as useful and
23 have as much information as possible.

24 CHAIRMAN ALDERSON: Thank you.

25 MS. HOLIDAY: I decided to do my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 presentation from the computer. For items 13 through
2 19, these were recommendations and Dr. Langhorst is
3 set to make a report related to the medical event
4 reporting impact for medical licensees patient safety
5 culture, so I just reiterated them on the screen.

6 Of course for item 20 this is where the
7 full committee unanimously endorsed that subcommittee
8 report with the amendment to support the concept of
9 the pilot program with number size and duration to be
10 determined at a later date, as well as to include the
11 patient intervention subcommittee recommendations as
12 an addendum. Are there any questions or comments
13 related to items 13 through 20?

14 CHAIRMAN ALDERSON: Anyone have a comment,
15 question? Seeing none, I think the people are
16 satisfied with how those were presented.

17 MS. HOLIDAY: Okay. Item 21 had to deal
18 with the Nursing Mothers Guideline Subcommittee
19 Report. The Committee decided that we will hold a
20 public teleconference in the near future to discuss
21 amendments to that report. Amendments will include,
22 but are not limited to, a suggested time frame for
23 providing written and oral instructions to patients
24 who will stop breastfeeding altogether, and
25 consideration to revise the radionuclides to be non-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 chemical specific. Are there any questions or comments
2 on item 21?

3 CHAIRMAN ALDERSON: Apparently there are
4 none.

5 VICE CHAIRMAN ZANZONICO: I guess there is
6 one, I'm sorry, just in terms of wording. I think the
7 second suggestion was they be non-pharmaceutical
8 specific. Rather than non-radionuclides specific.

9 MS. HOLIDAY: Sure. Thank you.

10 CHAIRMAN ALDERSON: That's a good change.

11 MS. HOLIDAY: Okay. So then item 22 through
12 27 are the recommendations that are contained in the
13 ACMUI comments on the patient release draft paper
14 subcommittee report. Again, just add that Dr.
15 Langhorst's subcommittee, the recommendations are all
16 just reiterated here on our chart. Item 28 is where
17 the full committee unanimously approved that patient
18 release subcommittee report. Are there any questions
19 related to these items?

20 Okay, seeing none, that brings us to the
21 last and final recommendation, which is that the ACMUI
22 agreed to hold a future public teleconference to
23 discuss amendments to the physical presence
24 requirement for the Leksell Gamma Knife Icon
25 subcommittee report. Amendments will include the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 distinction between 'an' or 'the' AU or AMP, the AU
2 presence for re-initiation of procedure following
3 interruption, and possible incorporation of changes to
4 the physical presence requirements for the Leksell
5 Gamma Knife Perfexion. Are there any questions or
6 comments related to item 30?

7 MEMBER ENNIS: Sophie, there's also the
8 issue about whether the icon will be specific to
9 the mask or it would be for all icon users.

10 MS. HOLIDAY: Sure. Are you okay with,
11 whether the physical presence requirements will be
12 limited to the frame-based or frameless-based option
13 for the Leksell Gamma Knife icon.

14 CHAIRMAN ALDERSON: That's okay.

15 MS. HOLIDAY: Then the only other item I
16 did not add was of course our tentative dates for the
17 spring meeting, which will be March 1 to March 2 as
18 the first choice, and the second choice as March 14
19 and 15. Does the Committee have any further questions
20 or comments related to any of the items on this chart?
21 Dr. Ennis.

22 MEMBER ENNIS: I have, going back to the
23 one item about the --

24 MS. HOLIDAY: Patient oral instructions?

25 MEMBER ENNIS: Exactly. You didn't really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 mention it today so it's more of an editorial comment
2 that we, that special may include who is supposed to
3 be giving that education.

4 MS. HOLIDAY: Sure. At this time I would
5 just suggest leaving it as this, because I have --

6 MEMBER ENNIS: Yes, I just wanted to make
7 the editorial comment, not something to change.

8 MS. HOLIDAY: Sure. Thank you.

9 CHAIRMAN ALDERSON: Any other comments on
10 Sophie's draft? Hearing none, I think we've finished
11 with this particular discussion, and that means we are
12 ready and right on time to adjourn, and so there will
13 be a 90-minute break and that is because of the
14 special character of the events that are to follow and
15 people that are scheduled to be here at that specific
16 time. We will reconvene at 1pm.

17 VICE CHAIRMAN ZANZONICO: Just before we
18 leave, for this afternoon, will we forgo the break
19 scheduled from 2 to 2:30, is that correct?

20 CHAIRMAN ALDERSON: That is correct.

21 VICE CHAIRMAN ZANZONICO: And so we should
22 end by 2:30.

23 MS. HOLIDAY: 2:45.

24 MR. BOLLOCK: Or perhaps earlier, right? I
25 think you're suggesting that we push on the open

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 forum, because this is the closed session, and that
2 will be up to the chair or vice chair, if you'd like
3 to move up the open forum and --

4 CHAIRMAN ALDERSON: We'll move up the open
5 forum and we'll see what happens. If nothing much
6 happens we'll go even earlier. Okay. Thank you. We'll
7 be back at 1pm for the special presentation.

8 (Whereupon, the above-entitled matter went
9 off the record at 11:25 a.m. and resumed
10 at 1:04 p.m.)

11 CHAIRMAN ALDERSON: We're ready to get
12 started. Mr. Dapas, please.

13 MR. DAPAS: Okay. For those of you that
14 haven't had a chance to meet yet, I'm Marc Dapas, and
15 I'm the Office Director for the NRC's Office of
16 Nuclear Material Safety and Safeguards.

17 I apologize for the forced nature of my
18 voice here. I think I overindulged in enthusiastic
19 cheering for the Navy football team this weekend. It
20 was my 35th class reunion. So I apologize that my
21 voice is not up to 100 percent. So, please bear with
22 me.

23 But let me start out by saying that I am
24 honored to have the opportunity today to say a few
25 words commemorating the life and significant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 contributions to the NRC of Frank Costello. It is so
2 nice to have his wife Wanda join us today.

3 Thank you for making the trip, Wanda.

4 MS. COSTELLO: Thank you.

5 MR. DAPAS: As many of you are aware,
6 Frank was appointed to the Advisory Committee on the
7 Medical Uses of Isotopes, or ACMUI, as the Agreement
8 State Representative in May of 2014. Before joining
9 the committee, Frank served 30 years with the NRC in
10 Region I as a materials license reviewer, inspector,
11 supervisor, and executive.

12 While I had met Frank earlier in my
13 career, it wasn't until I became the Deputy Regional
14 Administrator in the NRC's Region I office in 2005
15 that I really got to know Frank. We connected right
16 from the start.

17 Frank impressed me with his welcome
18 knowledge, experience, and material regulatory
19 perspective, as well as his no-nonsense approach to
20 matters. I could always rely on Frank to tell me
21 exactly what he thought, but sometimes it was not
22 necessarily what I wanted to hear. But Frank always
23 conveyed his views in a respectful manner.

24 Frank was extremely dedicated to the
25 agency's public health and safety mission. I learned

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a great deal from him; lessons that I've applied
2 throughout my career.

3 After retiring from the NRC, Frank went to
4 work in the Pennsylvania Bureau of Radiation Control
5 with Dave Allard and others. Frank was recently
6 recognized with the Organization of Agreement States'
7 Hall of Fame Award for his contributions to both the
8 NRC and the Agreement States. He strongly believed in
9 the National Materials Program, so much so that he
10 applied to serve on the ACMUI.

11 As the Agreement State Representative on
12 the ACMUI, Frank did what he did best: he brought
13 people together. Frank would attend the annual
14 Organization of Agreement States meeting where he
15 would keep the States well-informed about his role on
16 the Committee and how he served as the liaison and
17 advocate for his fellow Agreement State employees.

18 I'm told it's typical for most ACMUI
19 members to remain a bit more reserved during their
20 first few Committee meetings before volunteering to
21 serve on subcommittees or giving various
22 presentations. Frank was not your typical member, or
23 so I've been told. He was engaged from his very first
24 meeting.

25 Frank's expertise in the field of health

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 physics was broadly recognized. His familiarity with
2 the NRC's policies and in-depth knowledge of
3 regulatory issues with regard to 10 CFR Part 35 were
4 essential in informing the ACMUI about how regulatory
5 and policy changes could impact the Agreement States,
6 which constitute 87 percent of the licensees in this
7 country.

8 Frank also brought forth issues and
9 concerns from the Agreement State community, including
10 the need for alignment on the interpretation of
11 patient intervention, as well as the compatibility
12 categorization for various provisions of the Part 35
13 regulations. Frank briefed the Commission in April of
14 2015 on the ACMUI's comments pertaining to licensing
15 guidance for the yttrium-90 microspheres
16 brachytherapy.

17 In large part due to the presentation, the
18 NRC staff issued a revision to the guidance in
19 February 2016.

20 Frank also served on numerous ACMUI
21 subcommittees where he shaped many subcommittee views
22 on important matters. These included major revisions
23 to 10 C.F.R. Parts 30, 32, and 35 pertaining to the
24 medical use of byproduct material; material event
25 reporting criteria for the Yttrium-90 microspheres

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 brachytherapy; impacts of decommissioning funding plan
2 requirements on the use of Germanium-68 and Gallium-68
3 generators for medical purposes; revisions to
4 licensing guidance for radioactive seed localization;
5 yttrium-90 microspheres; and the NorthStar molybdenum-
6 99/technetium-99 generator, also known as RadioGenix;
7 patient intervention; the advance notice of proposed
8 rulemaking on potential changes to radiation
9 protection requirements to regulations in Part 20; the
10 Annual Report of the Medical Events; and enhancing
11 communications between the medical community and the
12 regulator.

13 So these are just some of the important
14 matters that Frank engaged on as a member of various
15 ACMUI subcommittees.

16 I can say without a doubt that Frank
17 provided significant input to all of the regulatory
18 products and activities that I have just mentioned.
19 He had lasting impacts on the Committee, the NRC, and
20 I'm sure the Agreement State Program in Pennsylvania.

21 We are most thankful to have known Frank and to have
22 had the opportunity to work with him.

23 At this time I would like to present you,
24 Ms. Wanda Costello, with a few tokens of our
25 appreciation and utmost gratitude for your husband's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 work and dedication to excellence.

2 First, let me present you with a flag.
3 This is a flag that was flown over the U.S. Capitol in
4 Frank's honor. And if you can come up with me,
5 actually we'll get a picture of you in front of the
6 flag. In front of this flag.

7 (Photos taken.)

8 MR. DAPAS: The certificate I have says,
9 "The flag of the United States of America. This is to
10 certify that the accompanying flag was flown over the
11 United States Capitol on April 18th, 2017, at the
12 request of the Honorable Benjamin L. Cardin, United
13 States Senator. This flag was flown for Mr. Francis
14 Costello in honor of your retirement after 30 years of
15 federal service."

16 I also have a Certificate of Appreciation
17 signed by our Chairman Kristine Svinicki.

18 "Certificate of Appreciation honoring
19 Francis M. Costello in recognition of 3 years of
20 service and leadership to the Advisory Committee on
21 the Medical Uses of Isotopes, which resulted in
22 significant contributions to the work of the U.S.
23 Nuclear Regulatory Commission." Dated the 21st of
24 March, 2017, Kristine L. Svinicki, Chairman.

25 And, lastly, we want to present you with a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 gold lapel pin that has the United States Nuclear
2 Regulatory Commission emblem on it. Thank you so
3 much.

4 MS. COSTELLO: Thank you.

5 MR. DAPAS: Thank you for making the trip
6 and thank you for being here.

7 MS. COSTELLO: Thank you so much. Thank
8 you.

9 (Applause.)

10 MR. DAPAS: I'll turn it back over to you,
11 Mr. Alderson.

12 CHAIRMAN ALDERSON: Thank you. Thank you,
13 Mr. Dapas.

14 So, I will just make a few opening
15 comments at this particular part of the sessions
16 before the Committee and other people here from the
17 NRC make their comments. So, I will turn it open to
18 the remainder of you in just a few seconds.

19 I wanted to reiterate to Mrs. Costello how
20 much we all appreciated working with Frank. He was a
21 great colleague. And we do miss him, not only in his
22 professional but also in a personal way.

23 He did contribute to the ACMUI from the
24 very beginning. I started at that same, very same
25 time. And I thought he was a veteran. Well, in fact

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 he was a veteran of 30 years in the NRC. But he
2 really moved right in and began to contribute from the
3 beginning.

4 He knew about the Agreement States. The
5 way that Frank would communicate what they wanted, the
6 Agreement States, to us, and the fact that he would go
7 back to the OAS meetings and talk to them about what
8 we were doing is a forerunner of what we're trying to
9 do ourselves right now in reaching out to the various
10 specialist societies with which we work. He really
11 knew NRC policy and procedure. He was always
12 forthright. He was accurate because he knew policy
13 and procedure.

14 And he also, as you said, knew how to
15 bring ideas and people together. So, he didn't cross
16 the boundary where he was no longer correct,
17 politically correct. People would be able to listen
18 and relate to what he said.

19 I remember him frequently recommending how
20 to use 35.1000 instead of going to rulemaking. You
21 know, get it out there in guidance. He must have said
22 that again and again and again. So he was a very
23 practical man and a good man. And I personally
24 enjoyed working with him very much. And I'm sorry
25 he's not with us now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Thank you for being here.

2 I would like to invite any others who
3 would like to comment. Pat Zanzonico.

4 VICE CHAIRMAN ZANZONICO: I'm very glad to
5 make a few comments.

6 And like many of us on the Committee, I
7 first met Frank as a member of the Committee. And as
8 Dr. Alderson has said, we were really impressed by the
9 depth and breadth of his knowledge; it was really
10 amazing.

11 But I think far more importantly, he was
12 such a friendly person and had such an infectious
13 enthusiasm about everything. You felt like you knew
14 him your entire life. So it really was a pleasure and
15 a tribute to know him and to work with him.

16 And as Dr. Alderson and others have said,
17 we really miss him very much.

18 MS. COSTELLO: Thank you.

19 MEMBER ENNIS: Just echoing those
20 comments.

21 I came on shortly after him. And really
22 similar perspective. To me he exemplified some of the
23 traits that essentially are exemplified but sometimes
24 are in short supply: integrity, honesty, humility, and
25 dedication. And he will be missed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. COSTELLO: Thank you.

2 MEMBER PALESTRO: I didn't know Frank very
3 well but I'm really sorry that I didn't get to know
4 him. But over the short period of time I've been with
5 the Committee I've learned a great deal. And I do, I
6 do truly miss him. And I send my condolences to you
7 and to your family.

8 MS. COSTELLO: Thank you.

9 MEMBER WEIL: I really valued Frank's
10 presence on this Committee. I always felt that there
11 was at least one other patient advocate in the room
12 when he was here.

13 MEMBER LANGHORST: I have remarks in my
14 talk.

15 CHAIRMAN ALDERSON: Oh, in your talk
16 later. Very good.

17 MS. HOLIDAY: Dr. Alderson, if I may?

18 CHAIRMAN ALDERSON: Please.

19 MS. HOLIDAY: So, I think my relationship
20 with Frank can be summed up as I knew his number by
21 heart.

22 (Laughter.)

23 MS. HOLIDAY: There are only a few members
24 on the Committee whose phone numbers I knew by heart,
25 and Frank was one of the two. Frank was not shy about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 calling me at all, or rather any of the NRC staff or
2 management, conveying his thoughts, his comments, his
3 perspective for the impact of NRC's regulations as
4 they would have it on the Agreement States.

5 And Frank really was the people's man.
6 Not like that.

7 But, you know, I really appreciated having
8 Frank on the Committee. His knowledge was just
9 incomparable. And when Marc said that he brought
10 people together, he truly did bring everybody
11 together.

12 I remember Frank's very first meeting, and
13 it was Dr. Alderson's first meeting, and Dr. Ennis'
14 first meeting, and I want to say Dr. Dilsizian's. And
15 it really blew staff away to hear Frank just pipe up.

16 Like Marc said, you know, it's not common for ACMUI
17 members to really engage in the meeting because
18 they're testing the waters out. But Frank was a
19 person that just dove right in.

20 And he was not shy about offering his two
21 cents. He was not shy about when Josie was our
22 division director, calling Josie up and telling her if
23 staff was doing something wrong, or if staff was doing
24 something right, which we appreciated. But, you know,
25 I can tell you on behalf of at least the medical team,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we were so appreciative of Frank.

2 You know, from his former days as an NRC
3 staffer and manager, as well as his contributions on
4 this Committee. I attended the OAS meeting where Frank
5 gave the presentation, his initial presentation in
6 Chicago where he really did advocate for his fellow
7 Agreement State employees to reach out to him because
8 he was their liaison for the Committee. He was
9 another way that Agreement States could reach NRC
10 staff without going directly to the NRC staff. He
11 brought those perspectives together.

12 And I can only say thank you.

13 MS. COSTELLO: Thank you.

14 CHAIRMAN ALDERSON: Are there any others
15 in the room who would like to comment? Dr. Howe.

16 DR. HOWE: I just want to say a few words.

17 First of all, it was fun working with
18 Frank. You never knew what to expect. It was always
19 a good time. And he was always looking out for those
20 below him and he was thinking about those folks that
21 were new to the field.

22 I run the NorthStar group. We have a
23 representative from Pennsylvania's group there because
24 Frank recommended her. Said she's really interested
25 in this. You need to get her on your Committee. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we did.

2 And so Frank was always looking out for
3 the people coming up in addition to all of the really
4 important things that were going on that he was
5 working on every day.

6 So, I miss Frank. Thank you.

7 CHAIRMAN ALDERSON: Any others who would
8 like to speak.

9 (No response.)

10 CHAIRMAN ALDERSON: Back to you, Dan.

11 MR. COLLINS: Thank you, Dr. Alderson.

12 So, Wanda, again thank you for coming
13 today. And like everybody else, I'm sorry for your
14 loss.

15 MS. COSTELLO: Thank you.

16 MR. COLLINS: I didn't have a chance to
17 work as closely with Frank as some of the others here.

18 When I transferred up to NRC Region I he had already
19 retired. But, you know, like we heard from Sophie, he
20 wasn't shy about calling. And it wasn't always to,
21 you know, to talk necessarily about a particular
22 issue, but sometimes it was in an umbrella of a
23 particular issue that he would kind of provide
24 mentoring from afar for me, because he knew that I
25 came from a reactor background and I didn't have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 materials background.

2 And he was very helpful and very
3 diplomatic in how he engaged me and to help steer the
4 direction of my thought into a new regulatory
5 framework.

6 One of the things that I noticed was that
7 for me he was kind of teaching through analogies. And
8 I didn't always understand it at first because it
9 would be something about the Phillies game in 1968.

10 (Laughter.)

11 MR. COLLINS: And I'd have to think about
12 it. But it would be like days or weeks later when I'd
13 say, okay, there's a nugget in there. And he was
14 trying to tell me something. And once I understood
15 the nugget, you know, it was there that I understood
16 Frank's genius.

17 So I miss Frank.

18 MS. COSTELLO: Can I say something?

19 CHAIRMAN ALDERSON: Please.

20 MS. COSTELLO: First of all, thank you all
21 very much. Fran was -- I called him Fran -- Fran was
22 in awe of all of you. He used to come home from the
23 meetings and tell me there are all these doctors, all
24 Ph.D.s. Have I gone crazy? Well, I'm not, and they
25 are.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, he appreciated all that you brought
2 every day. And this is very meaningful to me. And I
3 told Josie I was going to cry. And I just want to say
4 thank you very much.

5 Thank you.

6 CHAIRMAN ALDERSON: Thank you.

7 DR. PICCONE: Can I pick up from her,
8 please.

9 I knew Frank for over 30 years as a
10 colleague, as a mentor, as my big brother when I first
11 joined the agency, and as my friend. Frank loved this
12 Committee. He loved the work of this Committee, which
13 is why he was so engaged with this Committee.

14 And I can tell you that that big, round,
15 smiling face is looking down right now and enjoying
16 this recognition because he loved this work.

17 So thank you very much.

18 MS. COSTELLO: Thank you. That's true.
19 And I've known Josie all that time.

20 DR. PICCONE: And we share grandchildren.

21 CHAIRMAN ALDERSON: Thank you very much.
22 We all appreciate thank.

23 MS. COSTELLO: Thank you.

24 CHAIRMAN ALDERSON: Appreciate you being
25 here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. COSTELLO: Thank you so much.

2 CHAIRMAN ALDERSON: That brings us on to
3 the next portion of the meeting which is a
4 presentation to Dr. Langhorst. This will be Dr.
5 Langhorst's last meeting with the committee.

6 And my schedule indicates that these
7 comments will also be made by Mr. Dapas. But if
8 that's not correct then we will -- Mr. Dapas is ready.
9 Very good.

10 MR. DAPAS: Well, thank you. I appreciate
11 the opportunity to share a few thoughts with you.

12 Dr. Langhorst has served on the ACMUI
13 since September of 2009. And after her first term on
14 the Committee ended she was renewed for a second term
15 in 2013.

16 Dr. Langhorst briefed the Commission on
17 several important matters during her time on the
18 committee. These included NRC resources devoted to
19 the regulation of medical uses of byproduct material;
20 general views on the regulation of medical uses of
21 byproduct material; and source tracking for Category 3
22 sources and its impact on medical use, a subject to
23 which I've had the opportunity to devote a
24 considerable amount of effort, and a very important
25 topical area.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dr. Langhorst's physics expertise,
2 familiarity with the NRC's policies, and her in-depth
3 knowledge of the regulatory issues with regard to both
4 10 CFR Part 20 and Part 35 have enabled her to
5 significantly contribute to the Committee's
6 deliberations on a variety of subjects.

7 Dr. Langhorst is unique in that she is one
8 of two members on the committee who is currently
9 working for an NRC licensee. As such, her input was
10 particularly valuable to the committee in terms of an
11 appreciation for the practical implications of
12 proposed regulatory requirements on NRC licensees.

13 In considering a number of high priority
14 issues, the ACMUI has benefitted significantly from
15 Dr. Langhorst's expertise. For example, she has
16 played an important role in the Committee's
17 deliberations on a major revision to Part 35; changes
18 to the medical event report requirements for permanent
19 brachytherapy; as well as reporting criteria for
20 events involving the yttrium-90 microspheres
21 brachytherapy; the licensing of radium-223 dichloride;
22 the review of petitions for rulemaking involving
23 hormesis linear no-threshold concepts; training and
24 experience requirements for all modalities; licensing
25 guidance for the use of Germanium-68/Gallium-68

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 generators; and the annual reporting of medical
2 events.

3 Dr. Langhorst also served as chair to give
4 ACMUI subcommittees, namely, Revisions to the Abnormal
5 Occurrence of Reporting Criteria; the release of
6 patients administered radioactive materials; the
7 proposed rulemaking on potential changes to the
8 radiation protection regulations in 10 CFR Part 20;
9 revisions to NUREG-1556, Volume 9, pertaining to
10 consolidated guidance of our medical use licenses; and
11 medical event reporting and impact on safety culture.

12 As the contributions I have mentioned
13 reflect, Dr. Langhorst has been an invaluable member
14 of the ACMUI. At this time I would like to present
15 you with a few tokens of our appreciation, and
16 gratitude for your eight years of dedicated service.

17 Flag of the United States of America, this
18 is to cert -- "This certificate is to certify that the
19 accompanying flag was flown over the United States
20 Capitol on July 26th, 2017. At the request of the
21 Honorable Chris Van Hollen, United States Senator,
22 this flag was flown for Susan Langhorst, Ph.D., in
23 honor of your retirement and end of term."

24 MEMBER LANGHORST: Thank you very much.

25 MR. DAPAS: I also have a Certificate of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Appreciation from our Chairman Kristine Svinicki
2 "presented to Susan M. Langhorst, Ph.D., in
3 recognition of eight years of service and leadership
4 to the Advisory Committee on the Medical Uses of
5 Isotopes which resulted in significant contributions
6 to the work of the U.S. Nuclear Regulatory
7 Commission."

8 In a personalized note, "Susan, thank you
9 so much for your support to the committee's work. All
10 the best to you, Kristine Svinicki."

11 (Photos taken.)

12 MEMBER LANGHORST: Thank you very much. I
13 so appreciate it.

14 MR. DAPAS: Thank you for your service,
15 Susan. Thank you very much.

16 MEMBER LANGHORST: Thank you.

17 (Applause.)

18 MEMBER LANGHORST: You want me up there
19 or?

20 CHAIRMAN ALDERSON: It's your choice,
21 wherever you'd like to be.

22 MEMBER LANGHORST: Oh. I'll stay here.

23 Now this is hard. Thank you, Dr.
24 Alderson.

25 So, I know we're near the end and so I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 tried to cut my remarks down to 90 minutes, so.

2 (Laughter.)

3 MEMBER LANGHORST: First-off, I want to
4 thank Washington University for their support in
5 allowing me to be at these meetings and working a few
6 hours every week to do the work that I've done here.

7 I've had two previous ACMUI members at my
8 university. And they said, Yes, it's two meetings a
9 year. And maybe some telephone meetings. And, yes,
10 they didn't tell me everything.

11 And I also want to thank some of my former
12 employers because of what they taught me. I was first
13 introduced as a medical use RSO at University of
14 Missouri at Columbia. Aida would give me a big thumbs
15 up on that.

16 And also, my work as Health Physics
17 Manager at the University of Missouri Research Reactor
18 where I learned the very important business of medical
19 isotope production.

20 Please forgive me.

21 I want to thank the NRC, the medical team
22 I had not known before, and really didn't know of too
23 much. So, wow. I have been so impressed by you and
24 your work and your challenges in trying to run a
25 program with so few people. I've seen more firsthand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the challenges of changing commissioners and changing
2 administrations, and so I know that always bring the
3 responsibility of trying to make sure people know what
4 the issues are.

5 So I applaud the medical team on keeping
6 at that.

7 I will say that the security issues that
8 we have to go through to get members on board, I hope
9 that can be fixed. Because we can't afford -- yes,
10 who can believe that that's not going to be me soon --
11 we can't afford not to have a full membership. And,
12 as Marc mentioned, and maybe it was Dan -- I don't
13 remember now -- when Dr. Alderson goes out of the
14 committee there will be no NRC licensees represented
15 at this table.

16 I hope that as we get new people on, that
17 will be a consideration. I know it's helpful to have
18 more people from east coast than it is necessarily
19 west coast but, again, I hope those people out there
20 who are on that half of the country will consider
21 serving.

22 To the medical community and professional
23 organizations, I encourage you to participate.
24 Participate with this committee and, I'll put a plug
25 in, participate on the patient safety work. Be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 engaged with regulatory rulemaking because your voices
2 make a difference. And work on those safety issues.

3 The balancing with patient care, we know
4 that the medical history is very complicated. I think
5 our president has thrown that out, too. And it is as
6 many competing interests; we spoke of insurance
7 earlier in this meeting. It's not easy but you have
8 to keep working it.

9 ACMUI members, Darlene, don't be shy about
10 asking questions, especially on process. And even if
11 you know the answer, it's good to ask the question
12 because some of the other members may not even know
13 enough to ask the question. So that's what I became a
14 lot more vocal at these meetings than when I started
15 on that side of the table.

16 And, again, for you, too, the change in
17 NRC commissioners, the change in NRC administration
18 requires this group to revisit these concepts often
19 sometimes, more often than you think. But make sure
20 everybody's up to speed.

21 And even you have such a variety of
22 perspective. And Frank was good at that. Frank was
23 good at bringing everybody's perspective in. And I
24 remember when he brought up on microspheres, it was he
25 was inspecting and they were asking the question. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 he said, I didn't know how to answer it. And so he
2 brought it here.

3 So we need to be familiar with the
4 committee's past. I've already suggested to my
5 replacement: read the past reports. Even if you're
6 re-working that concept, re-read that, because that is
7 a good place to see what the thoughts were previous.

8 And there's a list of old members. Reach
9 out to those people who you know because they can give
10 you some of the historical perspective too, as can
11 several of the medical team.

12 On a personal note, I'm thankful for
13 knowing all of you and calling you my friends. I've
14 never, I would never have met you, most of you had I
15 not been on this committee. And I'm sorry I'm a
16 little weepy, but I will miss that very much.

17 And I thank you very much.

18 (Applause.)

19 MEMBER LANGHORST: I didn't do as good as
20 Wanda.

21 (Laughter.)

22 CHAIRMAN ALDERSON: Sue, it's been a
23 pleasure to have you on this Committee. And you
24 brought such an enormous amount of knowledge and hard
25 work and insight to this committee that has been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 extraordinarily valuable to the committee and to the
2 NRC. So, indeed, not only in a personal but in a very
3 professional way you will be tremendously missed.

4 I don't know who that substitute is who is
5 going to come in for you, but they have big shoes to
6 fill, classical ones.

7 MEMBER LANGHORST: He's been speaking at
8 this meeting, so.

9 CHAIRMAN ALDERSON: Oh, that's right, he
10 has.

11 MEMBER LANGHORST: Yes. Mike Sheetz.

12 CHAIRMAN ALDERSON: That's right. Very
13 good, that's right. Thank you very much.

14 All right. Well, thank you. Thank you
15 very much.

16 MEMBER LANGHORST: Thank you.

17 CHAIRMAN ALDERSON: All right. I believe
18 that that moves us into the next and final portion of
19 this agenda. And as we said when we broke for lunch,
20 we were moving the open forum up directly to follow
21 Dr. Langhorst's comments.

22 And so now we will begin the open forum
23 where it's on the table to discuss medical topics of
24 interest that have previously been identified or
25 discussed at this meeting. So the floor is open for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 such discussion.

2 I hear the ACMUI is not raising any such
3 issues. Is there someone in the room who wishes to
4 raise such an issue?

5 No one is moving. Oh yes, here's a hand
6 from Mr. Green.

7 MR. GREEN: I apologize. I don't always
8 get up to speed on my acronyms. But there is a letter
9 that came from one of the Commissioners when they
10 approved Part 35 that was a working directive to staff
11 to -- it was very interesting the wording that was
12 used there. I think they basically challenged ACMUI
13 to look at the training and experience requirements
14 for, as you are the standing committee for all
15 modalities, but I think they said, hey, you need to
16 speed that up and let it go through 100 and 200, you
17 know, they said it jumped 300. It was interesting the
18 wording that was used there in the direction by the
19 commissioners.

20 CHAIRMAN ALDERSON: Well, that, Dr.
21 Palestro heads that Committee if you want to give a
22 brief update on where that is.

23 MEMBER PALESTRO: Yes. The long and short
24 answer of it is that we originally planned to present
25 on 200 at this meeting, and progress through the 300

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to try to debug our approach to the matter. But the
2 powers to be decided that it was, it was more urgent
3 to go right into 300.

4 And so we will have a preliminary report
5 at the spring meeting. And then it's our intention to
6 have a final report for the fall 2018 meeting.

7 DR. BOLLOCK: And, Dr. Alderson, I can
8 address this. It was directed to staff, not to you.
9 So, actually Dr. Palestro's subcommittee work is
10 separate. What they were specifically looking at is
11 for staff. It won't be easy.

12 Our plan -- and this is very new, we were
13 just directed to do this -- but our plan is with our
14 evaluation that they're asking for, which is an
15 evaluation of radiopharmaceuticals, and either classes
16 or specific radiopharmaceuticals to see by each
17 individual, each specific class if that would change
18 the training and experience requirements for, like, a
19 ready unit dose and things like that.

20 So it's more of a change in our policy of
21 how we evaluate, how we could possibly do that to see
22 if that's feasible. It's another way to do things.
23 It's just like the FDA looks at everything. They look
24 at every single drug that comes out. We don't. We're
25 raising participation right now to the 300 for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 basically all drugs that they're talking about.

2 So there it's for us to evaluate if it's
3 feasible to look at it in a different way and a more
4 individual class. So that's the direction that we've
5 been given.

6 So the goal is an evaluation to Commission
7 at the end of August of 2018. Again, our plan is to
8 come with our evaluation. And then likely an outcome
9 would be to have ACMUI review our evaluation to make
10 sure that we're speaking in -- you know, we make sense
11 in what we're saying from your perspective. So
12 that's, that's what that tasking was.

13 And I just wanted to add, if I can have a
14 moment. With the Part 35 rule, the activation
15 hearing, the Chairman actually took a moment to, which
16 she normally doesn't do, at the activation hearing
17 they take two minutes, they all agree. They say, yep,
18 we all pass the rule as -- you know, and have the
19 staff go off and do it. But she took a moment and
20 spoke about the complexity of the rule and the effort
21 by all stakeholders, including staff and the ACMUI.
22 So, she specifically mentioned ACMUI and was thankful
23 for all the hard work.

24 So I just wanted to share that with you
25 that it was, it was recognized by the Chairman for all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the work on the Part 35. So thank you all.

2 CHAIRMAN ALDERSON: And we're glad to hear
3 that. The committee will keep the good work up.

4 Other questions or comments? Yes?

5 MR. COLLINS: Dr. Alderson, if I just
6 might take back to what Doug was just sharing with you
7 about the staff's forthcoming evaluation of those
8 training and experience requirements. Irene Wu, who
9 you know from the Category 3 work, is actually going
10 to be helping us on the project management of that.

11 So if she reaches out to you on that, we
12 just want to let you know that's why is because her
13 time is getting freed up a little bit now with the
14 Category 3 work as it applies. And so we're going to
15 help augment the staff resources on the Doug's team
16 with Irene.

17 CHAIRMAN ALDERSON: That's good. Very
18 good, thank you.

19 Other items? New issues to be brought
20 before us at this time?

21 (No response.)

22 CHAIRMAN ALDERSON: Hearing none, seeing
23 no hands, a motion, I believe that we are set to
24 adjourn this meeting. I want to thank all of you for
25 coming, being here, and providing all your support.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So we'll see you in a few months. More
2 work to do in the meantime. Thank you very much.

3 (Whereupon, at 1:45 p.m., the meeting was
4 concluded.)