

**Official Transcript of Proceedings**  
**NUCLEAR REGULATORY COMMISSION**

Title: Meeting of the Advisory Committee on the  
Medical Uses of Isotopes:

Docket Number: N/A

Location: Teleconference

Date: February 15, 2018

Work Order No.: NRC-3522

Pages 1-67

NEAL R. GROSS AND CO., INC.  
Court Reporters and Transcribers  
1323 Rhode Island Avenue, N.W.  
Washington, D.C. 20005  
(202) 234-4433

UNITED STATES OF AMERICA  
NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

+ + + + +

TELECONFERENCE

+ + + + +

THURSDAY, FEBRUARY 15, 2018

+ + + + +

The meeting was convened at 9:06 a.m.,  
Philip Alderson, ACMUI Chairman, presiding.

MEMBERS PRESENT:

PHILIP O. ALDERSON, M.D., Chairman  
VASKEN DILSIZIAN, M.D., Nuclear Cardiologist  
RONALD D. ENNIS, M.D., Radiation Oncologist  
DARLENE F. METTER, M.D., Diagnostic Radiologist  
MICHAEL O'HARA, Ph.D., FDA Representative  
CHRISTOPHER J. PALESTRO, M.D., Nuclear Medicine  
Physician  
MICHAEL A. SHEETZ, Radiation Safety Officer  
JOHN J. SUH, M.D., Radiation Oncologist  
LAURA M. WEIL, Patients' Rights Advocate  
PAT B. ZANZONICO, Ph.D., Vice Chairman

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

NON-VOTING MEMBERS PRESENT:

RICHARD GREEN

MEGAN SHOBER

ZOUBIR OUHIB

NRC STAFF PRESENT:

CHRISTIAN EINBERG, Acting Deputy Director,

NMSS/MSST

DOUGLAS BOLLOCK, ACMUI Designated Federal

Officer

SOPHIE HOLIDAY, ACMUI Alternate Designated

Official and ACMUI Coordinator

MARYANN AYOADE, NMSS/MSTR/MSEB

JENNIFER BISHOP, R-III/DNMS

SAID DAIBES, Ph.D., NMSS/MSST/MSEB

JASON DRAPER, R-III

SARA FORSTER, R-III/DNMS

CASSANDRA FRAZIER, R-III/DNMS

MICHELLE HAMMOND, R-IV/DNMS

VINCENT HOLAHAN, Ph.D., NMSS/MSST

PATRICIA JEHLE, OGC

JAN NGUYEN, RI/DNMS

PATTY PELKE, R-III/DNMS

GRETCHEN RIVERA-CAPELLA, NMSS/MSST/MSEB

VERED SHAFFER, RES

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

NRC STAFF PRESENT (CONT.):

LAURA SHRUM, OGC

DANIEL STROHMEYER, R-III/DNMS

KATHERINE TAPP, Ph.D., NMSS/MSTR/MSEB

FRANK TRAN, R-III/DNMS

LESTER TRIPP, R-I/DNMS

IRENE WU, NMSS/MSST/MSEB

MEMBERS OF THE PUBLIC:

BETTE BLANKENSHIP, American Association of  
Physicists in Medicine (AAPM)

KELLY CLASSIC, Mayo Clinic

CHARLES CODLEMAN, VA Radioactive Materials  
Program

THOMAS CONLEY, University of Kansas Medical  
Center

Whitney Cox, Illinois (IL) Emergency Management  
Agency

ROBERT DANSEREAU, New York State (NYS)  
Department of Health

ARIEL DOUCET, Virtua Health

ADAM EKSTEDT, IL Emergency Management Agency

ASFAW FENTA, Virginia (VA) Radioactive Materials  
Program

MICHAEL FULLER, VA Radioactive Materials Program

MIGUEL DE LA GUARDIA, Cook Children's Health Care  
System

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

MEMBERS OF THE PUBLIC (CONT.):

SANDRA GABRIEL, *unaffiliated*

JERRY GEORGE, Baptist Health South Florida

KIMBERLY GILLIAM, VA Radioactive Materials  
Program

THEODORE GODFREY, Elekta, Inc.

BENNETT GREENSPAN, Society of Nuclear Medicine  
and Molecular Imaging (SNMMI)

STANLEY HAMPTON, Eli Lilly and Company

STEVE HARRISON, VA Radioactive Materials Program

DESIREE KENNEDY, Elekta, Inc.

RICHARD KENNEY, *unaffiliated*

TIM KLEYN, Indiana University

JANAKI KRISHNAMOOTHY, NYS Department of Health

SUSAN LOHMAN, Elekta, Inc.

LANCHU LU, Ohio State University Medical Center

CAROL MARCUS, University of California at Los  
Angeles

RICHARD MARTIN, American Association of  
Physicists in Medicine (AAPM)

BARBARA MATTHEWS, Baptist Memorial Health Care  
Corporation

CATHERINE PERHAM, Maine Radiation Control  
Program

RICHARD PEROS, New Jersey Radioactive Materials  
Program

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

MEMBERS OF THE PUBLIC (CONT.):

ERIC PERRY, Kentucky Radioactive Materials  
Section

MICHAEL PETERS, American College of Radiology  
(ACR)

BRUCE PROCTOR, Elekta.Inc.

BRAD READ, Elekta, Inc.

SLYVIA REVELL, University of Texas Southwestern  
Medical School

DANIEL SAMSON, NYS Department of Health

BETH SCHILKE, VA Radioactive Materials Program

A. ROBERT SCHLEIPMAN, Partners Healthcare

LOU SHIMABUKU, *unaffiliated*

EUGENIO SILVERSTRINI, Northwell Health

MICHAEL STABIN, Vanderbilt University

CINDY TOMLINSON, American Society of Radiation  
Oncology (ASTRO)

MICHAEL WELLING, University of Virginia

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

TABLE OF CONTENTS

Nursing Mother Guidelines.....14

Physical Presence Requirements for Leksell Gamma Knife  
Icon.....35

Public Comments Submitted In Advance of Meeting  
Dr. Carol Marcus and Dr. Michael Stabin.....61

Society of Nuclear Medicine and Molecular Imaging.....64

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

(9:06 a.m.)

CHAIRMAN ALDERSON: Well, good morning, and welcome to today's ACMUI public teleconference. We'll discuss two topics today: nursing mothers' guidelines, the subcommittee report; and the physical presence requirements for the gamma knife, also a subcommittee report.

I will now turn it over to Mr. Doug Bollock from the NRC for some opening remarks.

MR. BOLLOCK: Thank you, Dr. Alderson. Good morning. As the designated federal officer for this meeting, I am pleased to welcome you to the public meeting of the Advisory Committee on Medical Uses of Isotopes.

My name is Doug Bollock. I am the chief of the Medical Safety and Events Assessment Branch, and I have been designated as the federal officer for this Advisory Committee in accordance with 10 CFR Part 7.11.

Present today as the alternate designated federal officer is Sophie Holiday, our ACMUI coordinator.

This announced meeting of the Committee is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 being held in accordance with the rules and regulations  
2 of the Federal Advisory Committee Act and the Nuclear  
3 Regulatory Commission. This meeting is being  
4 transcribed by the NRC, and it may also be transcribed  
5 or recorded by others. This meeting was announced in  
6 the January 23, 2018, edition of the Federal Register  
7 on 83 page 3191.

8 The function of the Committee is to advise  
9 the staff on issues and questions that arise on medical  
10 uses of byproduct material. The Committee provides  
11 counsel to the staff but not determine or direct the  
12 actual decisions of the staff or the Commission. The  
13 NRC solicits the view of the Committee and values their  
14 opinion.

15 I request that whenever possible we try to  
16 reach a consensus on the various issues that we will  
17 discuss today. But I also recognize there may be  
18 minority or dissenting opinions. If you have such  
19 opinions, please allow them to be read into the record.

20 At this point, I'd like to perform a roll  
21 call of the ACMUI members participating today. Dr.  
22 Philip Alderson?

23 CHAIRMAN ALDERSON: Here.

24 MR. BOLLOCK: Thank you. Dr. Pat  
25 Zanzonico?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 VICE CHAIRMAN ZANZONICO: Yes.

2 MR. BOLLOCK: Thank you. Dr. Vasken  
3 Dilsizian?

4 MEMBER DILSIZIAN: Here.

5 MR. BOLLOCK: Dr. Ronald Ennis?

6 MEMBER ENNIS: Here.

7 MR. BOLLOCK: Thank you. Dr. Darlene  
8 Metter?

9 MEMBER METTER: Here.

10 MR. BOLLOCK: Thank you. Dr. Michael  
11 O'Hara?

12 MEMBER O'HARA: Here.

13 MR. BOLLOCK: Thank you. Dr. Christopher  
14 Palestro?

15 MEMBER PALESTRO: Here.

16 MR. BOLLOCK: Thank you. Mr. Michael  
17 Sheetz?

18 MEMBER SHEETZ: Here.

19 MR. BOLLOCK: Thank you. Dr. John Suh?

20 MEMBER SUH: Here.

21 MR. BOLLOCK: Thank you. And Ms. Laura  
22 Weil.

23 MEMBER WEIL: Here.

24 MR. BOLLOCK: Thank you. I've confirmed  
25 that a quorum is met by the presence of at least six

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 members.

2 Also, on the phone do we have Mr. Zoubir  
3 Ohib?

4 MR. OUHIB: Here.

5 MR. BOLLOCK: Thank you. Mr. Richard  
6 Green?

7 MR. GREEN: Here.

8 MR. BOLLOCK: Thank you. And Ms. Megan  
9 Shober?

10 MS. SHOBER: Here.

11 MR. BOLLOCK: All right. Thank you.

12 Mr. Ouhib has been selected as ACMUI's  
13 therapy medical physicist. Mr. Green has been selected  
14 as the ACMUI nuclear pharmacist. And Ms. Shober has  
15 been selected as the ACMUI Agreement State  
16 Representative.

17 At this time, Mr. Ouhib, Mr. Green, and Ms.  
18 Shober are pending security clearance but may  
19 participate in the meeting. However, they do not have  
20 voting rights at this time.

21 I now ask NRC staff members who are present  
22 to identify themselves. I'll start with individuals  
23 in the room here.

24 MS. HOLIDAY: Sophie Holiday, ACMUI  
25 coordinator.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. TAPP: Dr. Katie Tapp with the medical  
2 team.

3 MS. WU: Irene Wu with the medical team.

4 DR. HOLAHAN: Dr. Vincent Holahan, senior  
5 advisor.

6 MR. BOLLOCK: Okay. Now we go to NRC  
7 employees on the phone.

8 MR. BOLLOCK: Maryann, are you with us?

9 MS. AYOADE: Yes, I am. I had you on mute.  
10 Here.

11 MR. BOLLOCK: Okay. That's Maryann  
12 Ayoadé, also with the medical team.

13 MS. AYOADE: That's correct.

14 MR. BOLLOCK: Anyone else? Any other NRC  
15 headquarters employees on the phone?

16 Okay. Are there any NRC regional employees  
17 on the phone?

18 Okay. Thank you all. Members of the  
19 public who notified Ms. Holiday that they would be  
20 participating on the teleconference will be captured  
21 in the transcripts. Those of you who did not provide  
22 prior notification, please contact Ms. Holiday at  
23 sophie.holiday@nrc.gov. That's S-O-P-H-I-E dot  
24 H-O-L-I-D-A-Y at N-R-C dot G-O-V. Or call her at  
25 (301) 415-7865.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           We have a bridge line available, and that  
2 phone number is (888) 790-6447. The passcode to access  
3 the bridge line is 279-0867 followed by the pound key.

4           This meeting is also using to the GoTo  
5 webinar application to view the presentation handouts  
6 real time. You can access this by going to  
7 [www.gotowebinar.com](http://www.gotowebinar.com), G-O-T-O-W-E-B-I-N-A-R dot com and  
8 searching for the meeting ID 563-775-347.

9           The purpose of this meeting is to discuss  
10 the revised draft report for the ACMUI nursing mother  
11 guidelines for the medical administration of  
12 radioactive materials, and the revised draft report  
13 for the ACMUI physical presence requirements for the  
14 Leksell Gamma Knife® Icon™.

15           Individuals who would like to ask a question  
16 or make a comment regarding a specific issue the  
17 committee has discussed should request permission to  
18 be recognized by the ACMUI chairperson, Dr. Philip  
19 Alderson. Dr. Alderson, at his option, may entertain  
20 comments or questions from members of the public who  
21 are participating with us today.

22           Comments and questions are usually  
23 addressed by the Committee near the end of the  
24 presentation after the Committee has fully discussed  
25 the topic. We ask that one person speak at a time as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this meeting is also closed caption.

2 I would also like to add that handouts and  
3 an agenda for this meeting are available on the NRC's  
4 public website.

5 At this time, I'd ask that everyone on the  
6 call who is not speaking to place their phones on mute.

7 If you do not have the capability to mute your phone,  
8 please press star six to utilize the conference line  
9 mute and unmute function.

10 I would ask everyone to exercise care to  
11 ensure that background noise is kept at a minimum, as  
12 any stray background sounds can be very disruptive on  
13 a conference call this large.

14 At this point, I would like to turn the  
15 meeting back over to Dr. Alderson.

16 CHAIRMAN ALDERSON: Thank you, Mr.  
17 Bollock. So I will then start the meeting by turning  
18 it to Dr. Darlene Metter, who is the chair of the Nursing  
19 Mothers Guidelines Subcommittee. Dr. Metter?

20 MEMBER METTER: Thank you, Dr. Alderson.

21 And I'd like to first thank the work of my subcommittee  
22 members, Dr. Vasken Dilsizian, Dr. Christopher  
23 Palestro, and Dr. Pat Zanzonico.

24 The subcommittee charge was to review the  
25 radiation exposure from diagnostic and therapeutic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 radiopharmaceuticals, including brachytherapy, to the  
2 nursing mother and child.

3 Now, as a summary of our report in  
4 September, we know that many drugs and  
5 radiopharmaceuticals administered to the nursing  
6 mother can enter her milk, and then, therefore, be  
7 ingested by the nursing child. The subcommittee  
8 recommendations regarding the radiation exposure to  
9 the nursing child follows current existing  
10 recommendations for the nursing mother by reputable  
11 expert sources such as the NRC, ICRP, Dr. Michael  
12 Stabin's paper, and others.

13 Therefore, our subcommittee  
14 recommended -- recommendations mirrored the sources  
15 with the use of a maximum dose of 100 millirem to the  
16 nursing child. The current literature at times had  
17 variable recommendations on the temporary interruption  
18 of breastfeeding due to radiopharmaceuticals in the  
19 mother's milk, and the subcommittee generally opted  
20 to choose the most conservative, which was usually the  
21 longest interruption period.

22 The subcommittee results and  
23 recommendations are summarized in a table reviewed at  
24 the September 2017 ACMUI meeting. Since that time,  
25 there have been comments from Dr. Carol Marcus and Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Michael Stabin, and Dr. Bennett Greenspan and the SNMMI.

2 To review these comments, Dr. Pat Zanzonico will  
3 address them and the associated report revisions.

4 Dr. Zanzonico?

5 VICE CHAIRMAN ZANZONICO: Thank you, Dr.  
6 Metter. So as Dr. Metter just said, we received a  
7 number of comments, both from Drs. Marcus and Stabin,  
8 and independent from Dr. Greenspan and the Society of  
9 Nuclear Medicine and Molecular Imaging. So what I was  
10 going to do was step through their respective comments  
11 and summarize our responses.

12 This was an information-dense report, and  
13 so there were a number of comments, so please bear with  
14 me. The first comment from Drs. Marcus and Stabin was  
15 that the draft report failed to describe or at least  
16 acknowledge the real and significant benefits of  
17 breastfeeding to both the infant and the nursing mother,  
18 and they include a statement from the American Academy  
19 of Pediatrics to that effect.

20 And that point is well taken, and in our  
21 subsequent revision of the report we think it would  
22 be reasonable to include a statement explicitly  
23 acknowledging the benefits of breastfeeding to the  
24 mother and child, and so we plan to do that in the revised  
25 -- the subsequent revision of our report.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           The second point was that the so-called  
2 specific gamma ray factors cited in Table 2 are  
3 incorrect. They indicate by a factor of 1,000. And  
4 this was a units transcription error. The values in  
5 the table, and as used in our calculations, are in  
6 roentgen centimeters squared per microcurie hour.

7           The mu for micro when it went to hard copy  
8 became an 'm' for milli inadvertently. And so the  
9 factor of 1,000 error was apparent. We will correct  
10 that, of course, in the subsequent revision, but I want  
11 to assure everyone that the actual calculations and  
12 analyses were based on the actual correct values.

13           I will just also address the related point  
14 of a comment by Dr. Greenspan and the SNMMI. They state  
15 in their comments that the specific gamma ray constant,  
16 the quantities I'm referring to right now, were in  
17 error, and they provided a number of different values  
18 with variable units being used.

19           And of course if we have numerical or other  
20 factual errors in the report, those will be corrected  
21 and reflected in a revised analysis. However, we asked  
22 that Dr. Greenspan and the SNMMI provide a reference  
23 for their values. No such reference was provided with  
24 their written comments, and so we have no way at this  
25 point of verifying their veracity, their accuracy.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Our specific gamma ray constants were taken  
2           from a classic textbook in medical physics, Johns and  
3           Cunningham, which many of you may be familiar with.  
4           So that's the source of our data, but we understand  
5           that specific gamma ray constants, like other physical  
6           quantities, are periodically updated, and we certainly  
7           want to use the most current and most accurate values  
8           in our analysis.

9           And if Dr. Greenspan and the Society can  
10          provide a reference, so that we can verify the accuracy  
11          of their stated values, we will amend our report  
12          accordingly.

13          The next point from Drs. Marcus and Stabin  
14          was they found a number of typos and editorial errors,  
15          and we appreciate, of course, their careful reading  
16          of the report as we appreciate all their comments and  
17          insights, and we will certainly correct these editorial  
18          errors in the subsequent revision. Likewise, Dr.  
19          Greenspan and the Society noted a number of editorial  
20          errors, and we will correct those as well, of course.

21          One issue that arose, which is always a  
22          thorny one, is the system of units to use. We use  
23          essentially conventional units because, frankly,  
24          that's the system of units most of us, including myself,  
25          are most familiar with and most comfortable with. But

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we certainly appreciate that we should be transitioning  
2 to use of SI units.

3 And we will confirm with the NRC staff to  
4 verify that that's an appropriate thing to do, and we'll  
5 amend our report accordingly to use SI rather than  
6 conventional units or perhaps SI units primarily with  
7 conventional units presented parenthetically. But we  
8 will address that point.

9 A fourth point raised by Drs. Marcus and  
10 Stabin was that the dosimetry analysis for  
11 radioiodines, specifically with respect to the thyroid,  
12 used worst-case factors in terms of maximal uptakes  
13 of radioiodine by the thyroid and minimal thyroid gland  
14 masses. Both of those conservative assumptions would  
15 lead to maximal estimates of dosimetry.

16 And Drs. Marcus and Stabin recommended that  
17 we perform this thyroid dosimetry analysis for all  
18 medically used radioiodines, not just I-131. So that  
19 would include, of course, I-123, nowadays I-124,  
20 perhaps even I-125. And they also suggested that in  
21 that analysis we use a range of uptakes, not simply  
22 maximal uptake, and a range of age-dependent uptakes  
23 and age-dependent thyroid masses. And we can certainly  
24 do that.

25 And, immodestly, I cite my own paper,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Age-Dependent Thyroid-Absorbed Doses for  
2 Radiobiologically Significant Radioisotopes of Iodine  
3 from Health Physics, 2000. So we can extract dose  
4 estimates or -- in the dosimetry analysis from that  
5 paper and incorporate the suggested, augmented analysis  
6 in the subsequent revision of our report.

7 Drs. Marcus and Stabin also point out that  
8 two significant literature references were not cited  
9 in the paper, and certainly we want to be as  
10 comprehensive and thorough as possible in incorporating  
11 the pertinent scientific literature into our  
12 recommendations. And we will certainly review these  
13 papers and incorporate them, at least cite them, in  
14 our report and, if necessary, make whatever adjustments  
15 those dictate.

16 And they also point out that perhaps an  
17 ill-advised phrase was included in the report  
18 referencing the available scientific literature on  
19 breastfeeding, dosimetry, and so forth; namely, the  
20 phrase being the general lack of pertinent data in the  
21 literature. And on further reflection, I think we all  
22 acknowledge -- and you can verify this by looking at  
23 the bibliography -- the references in our report, there  
24 actually is already significant literature on the  
25 subject. So we will eliminate that phrase as well as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 include these additional references.

2 A further point that was made is that rather  
3 than using or modeling the mother and the mother's breast  
4 as point sources -- and that is often done, frankly,  
5 for simplicity purposes in dose calculations -- we  
6 modeled those source regions in terms of the external  
7 dose to the nursing baby. We modeled those as line  
8 sources based on a paper in the literature, and that  
9 yields a more realistic estimate of the external dose  
10 to the infant that does a point source model.

11 Drs. Marcus and Stabin point out, however,  
12 that there are so-called humanized gamma ray constants  
13 available, certainly at least for I-131. However, we  
14 point out -- or we would like to point out that in our  
15 calculation we not only model the mother's body and  
16 breast as line sources, but we also incorporated the  
17 self-absorption of extant gamma rays by those  
18 respective source regions, and we also -- well, we  
19 modeled those, as I say, as attempting to count the  
20 self-absorption.

21 So we think that our approach, even though  
22 we use specific gamma ray constants which implicitly  
23 implies -- which implies a point source, we think we've  
24 made the necessary adjustments to appropriately  
25 quote/unquote "humanize our specific gamma ray constant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 values."

2 I'm just thumbing through my notes.  
3 Another point that was made -- and this was a lengthy,  
4 very scholarly comment, indicating that basing  
5 recommendations with regard to cessation of  
6 breastfeeding for mothers who undergo a nuclear  
7 medicine procedure, basing that on a 100 millirem limit  
8 to the nursing infant is overly conservative, and Drs.  
9 Marcus and Stabin recommend a dose limit of five  
10 millisieverts instead.

11 And incorporated into that comment was a  
12 strong reputation of the linear non-threshold dose  
13 response model for cancer induction by radiation. And  
14 I think many of us, myself included, are very empathetic  
15 so to speak to that point, and I think many of us have  
16 well-founded skepticism about the biological validity  
17 of the linear non-threshold model.

18 But having said that, really, a discussion  
19 of that model, as important and interesting as it is  
20 certainly, is really beyond the scope of our report.

21 And as Dr. Metter pointed out, we deferred to the  
22 prevailing recommendations made by authoritative  
23 bodies like the ICRP, NCRP, and so forth.

24 And we also noted that in their original  
25 analysis, in the really seminal and widely cited paper

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 by Dr. Stabin and Dr. Bryce, that they based their  
2 analysis on a one millisievert effective dose  
3 quote/unquote limit to the nursing infant.

4 So based on all of those considerations,  
5 and despite our misgivings of the linear non-threshold  
6 dose-response models, we decided, as Dr. Metter  
7 indicated, to use a one millisievert limit upon which  
8 to base our recommendations.

9 Proceeding now to the comments submitted  
10 by Dr. Greenspan and the Society, to address their  
11 comment on the possible -- possibly erroneous specific  
12 gamma ray constant values, and we will ask them and  
13 await the literature citation of the values they cite,  
14 and based on our subsequent review of these values in  
15 that literature adjust the specific gamma ray constants  
16 and our values and our calculations accordingly.

17 I mentioned that they also had a number of  
18 editorial corrections which we will certainly address  
19 in a subsequent revision of the paper. And there was  
20 a question or a disagreement with our recommendation  
21 for discontinuing of breastfeeding following  
22 administration of I-123 labeled radiopharmaceuticals,  
23 not only sodium iodide but also ortho-iodohippurate  
24 and MIGB, metaiodobenzylguanidine, which are all used  
25 clinically labeled with I-123.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And I think there may have been a  
2           misunderstanding, understandably, given all of the  
3           numbers and so forth in the paper, in the report rather,  
4           but in Table 2 -- I'm sorry, in Table 5 of the report,  
5           in which we include our recommendations on cessation,  
6           the penultimate column, the next-to-last column, which  
7           use the -- which list the current recommendations of  
8           -- that we use here at Memorial Sloan Kettering,  
9           indicated a seven-day discontinuation following  
10          administration of at least certain I-123  
11          radiopharmaceuticals.

12                 But if you looked at the recommendations  
13          for -- from the Committee, which is actually in the  
14          very last column of that table, our recommendations  
15          are much shorter, no more than 48 hours, and in the  
16          case of I-123, iodohippurate, not recommending any  
17          interruption of breastfeeding.

18                 So I think we have all -- I think what we  
19          -- what the committee or subcommittee has recommended  
20          is perfectly consistent with the point that Dr.  
21          Greenspan and the Society were making. So, yes, as  
22          you see what's on the screen now, in the very last  
23          column, the fourth, fifth, and sixth lines refer to  
24          cessation of I-123, sodium iodide;  
25          metaiodobenzylguanidine, MIGB; and the last of those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 three lines, line 6, to ortho-iodohippurate labeled  
2 with I-123. So I think our recommendations are  
3 consistent with what the Society is recommending.

4 Dr. Greenspan and the Society are also  
5 recommending a more thorough, a more complete  
6 dosimetric analysis of radioiodine as a function of  
7 age, child age, and thyroid mass. And as I alluded  
8 to earlier, we will provide an expanded dosimetric  
9 analysis as also recommended by Drs. Marcus and Stabin.

10 And Dr. Greenspan and the Society also  
11 forcefully endorsed the recommendation of Drs. Marcus  
12 and Stabin that, number one, a 500 millirem rather than  
13 a 100 millirem dose limit or dose benchmark upon which  
14 to base recommendations is more appropriate, and that  
15 the linear non-threshold model is really not only  
16 inappropriate but incorrect.

17 So I have already addressed that point in  
18 my earlier comments. And so that actually completes  
19 our point-by-point response to the submitted comments,  
20 both by Drs. Marcus and Stabin, and by Dr. Greenspan  
21 and the Society. We very much appreciate their careful  
22 and thoughtful -- their careful reading of the report  
23 and their thoughtful comments. And we have tried to  
24 address them as comprehensively as possible. And I  
25 think we've done so and will revise the report

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 accordingly.

2 So that concludes my comments in terms of  
3 our responses to the submitted comments. So, Dr.  
4 Metter, I will turn it back to you.

5 MEMBER METTER: Thank you, Dr. Zanzonico,  
6 for a very thorough review and work on the comments  
7 from Drs. Marcus, Stabin, Greenspan, and the Society  
8 of Nuclear Medicine.

9 Do I have other comments from our  
10 subcommittee members?

11 Okay. Hearing none --

12 MEMBER WEIL: Dr. Metter?

13 MEMBER METTER: Yes.

14 MEMBER WEIL: This is Laura Weil. I do  
15 have a comment, and I'd like to apologize for not  
16 submitting it in advance. The subcommittee report  
17 recommends the complete cessation of -- states that  
18 the cessation of milk production generally occurs about  
19 six weeks after the last breastfeeding.

20 And I encountered a report from the APA,  
21 which recommends a longer period of cessation, and I'd  
22 like to -- this is from the ATA from 2009 in Thyroid.

23 If I might just briefly read this paragraph and ask  
24 for an evaluation of it, basically.

25 Let's see. Breastfeeding must be stopped

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 at least six weeks before administration of I-131  
2 therapy, and a delay of three months will more reliably  
3 ensure that lactation-associated increase in breast  
4 sodium iodide symporter activity has returned to  
5 normal.

6 I wonder if six weeks in our -- in the  
7 subcommittee report could be amended to at least six  
8 weeks in order to acknowledge the potential for a longer  
9 period of time being necessary. I don't know how one  
10 would assess how long a period of time between six weeks  
11 and three months should be recommended, but certainly  
12 for the provision of information to patients, in  
13 accordance with the ALARA principles, so that  
14 breastfeeding women would have time to allow at least  
15 six weeks' cessation of breastfeeding before  
16 administration of Iodine-131. Would you comment on  
17 that?

18 MEMBER METTER: Yes. Actually, thanks for  
19 bringing that up. There was a comment as far as the  
20 minimal timeframe in regards to notifying the nursing  
21 mother regarding her I-131 therapy issue with nursing,  
22 and we did say it was going to be at six weeks. I have  
23 no problem saying at least six weeks prior to the  
24 radioiodine administration. Does anybody else on the  
25 subcommittee have any comments?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   VICE CHAIRMAN ZANZONICO:    This is Pat  
2 Zanzonico.    I have no problem either with that  
3 language, meaning specifically 'at least six weeks.'

4           I think we all recognize that both medically and  
5 logistically the longer that period of discontinuation  
6 of breastfeeding begins prior to therapy, the more  
7 problematic it becomes.

8                   And so I think six weeks itself may be  
9 somewhat problematic, but I have no problem at all with  
10 that language, at least -- at least six weeks for  
11 cessation prior to therapy.

12                   MEMBER METTER:   Thank you, Dr. Zanzonico.  
13           Thank you as well for your comment on that.   And any  
14 other comments?

15                   Okay.   Dr. Alderson, I'll turn it back to  
16 you.

17                   CHAIRMAN ALDERSON:   Well, thank you.   I  
18 believe that must conclude this report and discussion.

19           Are there other comments from people who are online?

20                   OPERATOR:   And if you have a question or  
21 a comment from the phones, you may press star one at  
22 this time.   Make sure your phone is unmuted and record  
23 your name.   And to withdraw that request, you may press  
24 star two.   Once again, press star one for questions  
25 or comments from the phones, and I'll stand by for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 questions or comments.

2 One moment. And we do have a question or  
3 comment coming from Carol Marcus. Your line is open.

4 DR. MARCUS: Thank you. Pat, I think we're  
5 in complete agreement on everything except the 500  
6 millirem calculations. And I think it would be really  
7 helpful to have both the 100 millirem and the 500  
8 millirem, recognizing that for 21 years the limit has  
9 been 500 millirem, and then the physician and the  
10 lactating mother decide what ALARA provision is  
11 appropriate.

12 Otherwise, what we have is something so  
13 conservative that I think a lot of people won't want  
14 to use it. I know that Mike and Hazel's paper  
15 originally used 100 millirem, but Mike has changed his  
16 mind and thinks 500 millirem calculations would be good.

17 And I think having both might be the best way to do  
18 it. Then licensees can choose what seems most  
19 appropriate, and we'll have at least the calculations  
20 with which to make a good choice.

21 Thank you.

22 OPERATOR: Does that conclude the question  
23 or comment?

24 DR. MARCUS: Yes, it does.

25 MEMBER METTER: May I say something on this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 regarding -- in our paper, the first part as far as  
2 the current guidance, it does allude to a nursing mother  
3 who has received, until byproduct material can be  
4 released by a licensee, the total effective dose  
5 equivalent to any other individual, including her  
6 nursing child, is projected to not exceed five  
7 millisieverts. But she must give guidance if it's  
8 going to exceed one millisievert. So we have that in  
9 our paper.

10 DR. MARCUS: Yes. But you don't have the  
11 calculations.

12 VICE CHAIRMAN ZANZONICO: This is Pat  
13 Zanzonico. I personally have no objections to  
14 including a -- essentially a dual set of  
15 recommendations. I mean, the heart of the report and  
16 the key recommendations, of course, are the  
17 recommendations for the duration of discontinuing  
18 breastfeeding.

19 And we can certainly add an additional  
20 column which gives those periods of time for a  
21 100 millirem dose to the nursing infant and a 500  
22 millirem dose to the nursing infant.

23 If I understand correctly, the NRC  
24 obviously doesn't regulate breastfeeding, and we can  
25 provide, as points of information, the recommended

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 discontinuation periods for 100 and 500 millirem  
2 limits, and the patient and their caregivers can then  
3 choose as appropriate.

4 I have no objection, scientific or  
5 otherwise, to that approach. I don't know if -- if  
6 having dual recommendations in effect is problematic  
7 from the NRC's point of view. But from a logistical,  
8 scientific point of view, I have no objection to that  
9 approach.

10 MS. HOLIDAY: Dr. Zanzonico and Dr. Metter,  
11 NRC does not object to, if you want to amend the  
12 subcommittee report to reflect these dual  
13 recommendations, that's at your discretion.

14 MEMBER METTER: Thank you, Sophie. I  
15 think if that's okay, we'll go ahead. Any other  
16 comments from the subcommittee? Because I think we'll  
17 go ahead and make those two recommendations as far as  
18 listing on the table as Dr. Zanzonico had reflected.

19 MEMBER WEIL: This is Laura Weil. Dr.  
20 Metter, may I comment on that?

21 MEMBER METTER: Yes.

22 MEMBER WEIL: I have no objection to  
23 listing both sets of recommendations, but I would like  
24 to know that they would be labeled with the agency that  
25 recommends both the 100 and the 500 millisieverts

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 threshold.

2 MEMBER METTER: Okay.

3 MEMBER WEIL: So that the chart or the graph  
4 itself is labeled to indicate which agencies recommend  
5 which threshold.

6 MEMBER METTER: Okay. I believe most of  
7 them are based on the 100 millirem.

8 MEMBER WEIL: I believe that's true, and  
9 I'd like to see that noted in the table.

10 MEMBER METTER: Oh, I see. Okay. Thank  
11 you.

12 Any other comments?

13 OPERATOR: And I am currently showing no  
14 further questions or comments from the phones. Again,  
15 as a reminder, if you have further questions or  
16 comments, it is star one. Make sure your phone is  
17 unmuted and record your name. And it is star two to  
18 withdraw that request. And I'll stand by for any  
19 further questions or comments at this time.

20 MEMBER METTER: Dr. Alderson, I don't think  
21 there are other comments or questions at this time.

22 CHAIRMAN ALDERSON: All right. Thank you  
23 very much.

24 OPERATOR: And we did just have one cue up.

25 If you'd like to wait one moment, I'll get that party's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 name.

2 CHAIRMAN ALDERSON: Certainly.

3 OPERATOR: One moment. Thank you.  
4 Excuse me. Dr. Greenspan, your line is open for your  
5 question or comment.

6 DR. GREENSPAN: Thank you very much. I  
7 just had a quick comment as a follow up to  
8 Dr. Zanzonico's request for references for gamma ray  
9 constants. I'm traveling this week, but I will be glad  
10 to provide them next week. I hope that won't be too  
11 late. Thank you.

12 VICE CHAIRMAN ZANZONICO: This is Pat  
13 Zanzonico. Yes, Dr. Greenspan, that would be certainly  
14 soon enough. The additional analyses I'm committing  
15 to are going to take a bit of time, and they certainly  
16 won't be concluded by next week, so next week will be  
17 soon enough.

18 DR. GREENSPAN: Thank you very much.

19 OPERATOR: Thank you. And I'm currently  
20 showing no further questions or comments at this time.

21 CHAIRMAN ALDERSON: Good. Thank you.  
22 That means that we will now proceed with the next part  
23 of this public conference call. That will be the report  
24 from Dr. John Suh's subcommittee on the physical  
25 presence requirements for the Gamma Knife Icon. I will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 turn the conversation over to Dr. Suh.

2 MS. HOLIDAY: Dr. Alderson and Dr. Suh,  
3 before you launch into the next topic, if I may, can  
4 I ask if the Committee will be making a motion to endorse  
5 this report with the reflected amendments?

6 CHAIRMAN ALDERSON: Yes, certainly.  
7 That's fine. Let's do that.

8 MEMBER METTER: Can I make a motion to  
9 endorse the amended reports?

10 PARTICIPANT: Second.

11 MS. HOLIDAY: And then if you could state  
12 for me what the amendments will be, so that we can  
13 capture that on the record?

14 MEMBER METTER: Okay. Dr. Zanzonico?

15 VICE CHAIRMAN ZANZONICO: Yes. The  
16 amendments will include acknowledgment of the benefits  
17 of breastfeeding. They will include correction as  
18 needed of the specific gamma ray constant values. They  
19 will include conversion of the system of units from  
20 conventional to SI. There will be an expanded  
21 dosimetric analysis of radioiodines as a function of  
22 the age and thyroid mass of the child, and also include  
23 other medical radionuclides -- radioisotopes of iodine.

24 We will include at least two additional  
25 references as cited by Drs. Marcus and Sabin. And we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 will include recommendations or recommended cessation  
2 periods of time based on both a 100 and a 500 millirem  
3 effective dose to the nursing child.

4 MS. HOLIDAY: Okay. Thank you, Dr.  
5 Zanzonico.

6 CHAIRMAN ALDERSON: This is Dr. Alderson.

7 I think we should just mention that the transcription  
8 errors/typos also will be corrected as recommended.

9 MS. HOLIDAY: Thank you. Okay. Dr.  
10 Alderson, now that there is a motion, can you call for  
11 the vote?

12 CHAIRMAN ALDERSON: All right. All in  
13 favor?

14 (Chorus of ayes.)

15 CHAIRMAN ALDERSON: Any opposed? (pause)  
16 Hearing none, that passes unanimously.

17 CHAIRMAN ALDERSON: All right. So as I  
18 stated a moment ago, I'll repeat now, it is now time  
19 for us to consider the report on the physical presence  
20 requirements for the Gamma Knife Icon, that  
21 subcommittee report. That subcommittee is led by  
22 Dr. John Suh. Dr. Suh, you're on.

23 MEMBER SUH: Okay. Thank you, Dr. Alderson.

24 I want to first thank the subcommittee members, Dr.  
25 Ron Ennis and Laura Weil, and also thank Sophie Holiday

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for her staff resource support.

2 So I will -- the charge to the subcommittee  
3 was to propose the appropriate physical presence  
4 requirements for Leksell Gamma Knife® Icon™  
5 radiosurgery units. And I just want to go through just  
6 a little bit about the gamma knife. The gamma knife  
7 is a very well-established treatment for patients with  
8 various benign and malignant brain tumors, vascular  
9 malformations, and functional disorders, including  
10 trigeminal neuralgia.

11 The first gamma knife in the United States  
12 was installed at the University of Pittsburgh in 1987,  
13 and over the years the gamma knife has evolved and in  
14 2006 the Perfexion™ unit was introduced, which allowed  
15 for the authorized users to be inside the treatment  
16 unit.

17 And given the differences between the  
18 Perfexion™ versus the models U, B, and C, the Perfexion™  
19 was licensed under 10 CFR 35.1000. And as of the  
20 reports, based on information collected, there are 77  
21 Perfexion™ units and 22 Icon™ units in the United States,  
22 and worldwide over a million patients have been treated  
23 with the gamma knife.

24 In terms of the current physical presence  
25 requirements in 10 CFR part 35, it requires an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 authorized user with appropriate training and  
2 experience in radiation oncology and an authorized  
3 medical physicist to be physically present throughout  
4 all treatments involving the unit. And physical  
5 presence has undergone some evolution. Initially, it  
6 was defined as within hearing distance of normal voice,  
7 and as part of a regulatory issue summary it was further  
8 defined to be speaking in a normal conversational tone,  
9 not a raised voice. And they made a comment that a  
10 distance of 20 feet may not be close enough to adequately  
11 hear and respond to an emergent situation.

12 The rationale for changing the physical  
13 presence requirements is that the gamma knife unit,  
14 as I mentioned, has evolved through the years. It is  
15 important that any change we make allow the authorized  
16 user to address an emergent situation and also to verify  
17 that a correct dose was delivered.

18 If you look at the past 10 years of the NMED  
19 report, there have been 10 reportable events involving  
20 the Perfexion™, and only a minority of these events  
21 occurred during a treatment.

22 So from the Perfexion™ in -- there has been  
23 an evolution to a newer unit called the Icon™ system.

24 Some of the fundamental differences with the Icon™  
25 system is that it does allow for the option of a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 thermoplastic frameless mask rather than a frame. The  
2 majority of centers using the Icon™ system still use  
3 a frame-based system rather than a mask-based system.

4 It does give the option for those patients who may  
5 benefit from some type of fractionated approach.

6 Number two is it allows the ability to perform  
7 integrated stereotactic cone beam CT, which provides  
8 stereotactic reference for patient setups.

9 And number three is it also has a high  
10 definition motion management for mask-based  
11 treatments, which allows us to confirm that the  
12 treatment is being delivered to the target itself.

13 There was a proposal from Elekta on  
14 April 26th about the Gamma Knife® Icon™, and their  
15 proposal is that an authorized user and authorized  
16 medical physicist be physically present during the  
17 initiation of all treatment involving a unit.

18 Number two is to have an authorized medical  
19 physicist present throughout all patient treatments.

20 And number three is that an authorized user  
21 physically be present in the department during patient  
22 treatment and immediately be able to come to the  
23 treatment room in case of emergency.

24 Based on the -- looking at the current  
25 physical presence requirements, the evolution of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Icon unit, the recommendations from the subcommittee  
2 is that the authorized user and authorized medical  
3 physicist be physically present during the initiation  
4 of all treatments involving the Icon™, and that the  
5 authorized medical physicist be physically present  
6 throughout all treatments of the unit itself.

7 We have made a recommendation that the  
8 current physical presence requirements for the  
9 authorized user be modified to allow the authorized  
10 user to be close enough to the console area to respond  
11 quickly to any issues that may arise.

12 The definition we came up with is that within  
13 a two-minute walk of the Icon console area and  
14 immediately available to come to the treatment room.

15 So it is very important that with this definition of  
16 physical presence, the authorized user cannot be  
17 involved in another procedure that would prevent him  
18 or her to come immediately to the gamma knife in case  
19 of an emergency.

20 In addition, we felt that it was important  
21 that we do not use the definition of a department as  
22 "department" can have different meanings to different  
23 centers. So one could be stated that it's part of a  
24 department, but the department could be physically a  
25 long walk away. So we felt that there should be some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 time restraint in terms of what constitutes being  
2 physical presence in terms of this newer definition.

3 If there is an interruption of treatment  
4 secondary to a medical or mechanical event, the  
5 authorized user must return to the Gamma Knife® Icon™  
6 console area to evaluate patient and to review any of  
7 the medical -- mechanical issues along with the medical  
8 physicist.

9 And at the conclusion of treatment, the  
10 authorized user must be present at the Icon console  
11 to discuss any treatment or patient issues with the  
12 patient, physicist, and a nurse.

13 The subcommittee felt that with these  
14 modifications, in terms of current physical presence  
15 requirements, it would allow more flexibility to the  
16 authorized user.

17 In closing, we felt that it was very important  
18 that the committee report did not encroach on the  
19 practice of medicine, also allowed for the regulator  
20 to inspect the regulated gamma knife center, and also  
21 be consistent with regulations governing a physician's  
22 supervision.

23 Any change that occurs to the current  
24 physical presence requirements should take into account  
25 that the culture of safety quality be supported, given

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 the superb track record for quality and safety with  
2 the gamma knife.

3 Thank you. That concludes my report, Dr.  
4 Alderson.

5 CHAIRMAN ALDERSON: All right. Thank you.  
6 Are there comments?

7 MEMBER SHEETZ: John, this is Mike Sheetz.  
8 I have some comments.

9 CHAIRMAN ALDERSON: Please.

10 MEMBER SHEETZ: I think the subcommittee  
11 provided an excellent report and overview of the  
12 technological advances in gamma knife treatment since  
13 the process has become more efficient allowing for more  
14 treatments, and multiple targets can be treated in a  
15 single session.

16 And they pointed out, based on the small  
17 number of medical events involving modern gamma knife  
18 models, it is, therefore, appropriate to evaluate the  
19 required physical presence requirements for gamma knife  
20 to see if they should be revised.

21 Based on our experience here at the  
22 University of Pittsburgh where we have had every model  
23 like the gamma knife, and we were the first to license  
24 the U model in 1987, I have some comments with respect  
25 to the recommendations of the subcommittee, if I may

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 proceed with those.

2 CHAIRMAN ALDERSON: Please.

3 MEMBER SHEETZ: I agree with the  
4 recommendation number one that the AU and AMP need to  
5 be physically present at the initiation of all  
6 treatments.

7 With respect to recommendation two, I think  
8 the definition of "within a two-minute walk from a gamma  
9 knife treatment console" will create ambiguity for the  
10 regulatory compliance with licensees, and the  
11 recommendation for the appropriately trained staff to  
12 be present to respond to patient medical issues is not  
13 really enforceable by the NRC.

14 So I would suggest consideration of this  
15 recommendation to be modified to something that  
16 actually is in current regulation for HDR right after  
17 the initiation of treatment, an authorized medical  
18 physicist and either an authorized user or a physician  
19 under the supervision of an authorized user who has  
20 been trained in the operation of emergency procedures  
21 for response of the unit, to be physically present  
22 during continuation of all patient treatments involving  
23 the unit.

24 So I'll throw that up for consideration.  
25 Again, it would be consistent with the HDR requirements,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and it would eliminate any ambiguity in response times,  
2 and it would assure that appropriate personnel are  
3 present to respond to any patient medical issues.

4 I agree with recommendation three that the  
5 AU must return to the gamma knife console for the  
6 interruption of treatment secondary to medical or  
7 mechanical issues.

8 With respect to recommendation four that  
9 requires the AU to be present at the gamma knife  
10 treatment console at the conclusion of the treatment  
11 to discuss any treatment of patient issues, if the  
12 patient treatment has been completed without any  
13 issues, I question whether this would be necessary.  
14 And if you have eliminated this requirement, it would  
15 provide greater relief to the authorized user.

16 And then the last slide on this, if the  
17 subcommittee report -- not specifically saying, but  
18 implies some modified physical presence requirements  
19 should only be applicable to the Icon™ unit when using  
20 the thermoplastic mask for patient treatment and not  
21 the stereotactic beam, while the Icon™ utilizes an  
22 integrated cone beam CT for stereotactic reference and  
23 high-definition motion management systems, these  
24 features are only required when using mask-based  
25 treatments. They are not required for frame-based

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 treatments.

2 In our experience, less than 10 percent of  
3 the gamma knife patient cases qualify for mask-based  
4 treatments. There have been an extremely low number  
5 of medical events with Perfexion™ that have been pointed  
6 out involving thousands of patient treatments  
7 demonstrating a highly reliable treatment technology.

8 And so when using the Icon for frame-based  
9 treatments, it is identical to the Perfexion™ unit for  
10 frame based. So, therefore, I would suggest that the  
11 revised physical presence requirements should also be  
12 applicable to frame-based treatments on either the Icon  
13 or the Perfexion™.

14 And that concludes my comments.

15 CHAIRMAN ALDERSON: Thank you. Dr. Suh?

16 MEMBER SUH: Thank you, Michael, for those  
17 very thoughtful comments. So in terms of your second  
18 recommendation about whether or not the physical  
19 presence requirement of the two-minute walk, this is  
20 something that the subcommittee grappled with in terms  
21 of what would constitute appropriate physical presence  
22 requirements with the Gamma Knife® Icon™, and the number  
23 we came up with -- initially, there was some discussion  
24 about whether or not being physically present in the  
25 department would be adequate, and we all agreed that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that is too -- that is too ambiguous. So we felt that  
2 was not a good starting point.

3 And we grappled at the time -- we needed some  
4 type of time standpoint. And I do agree with you that  
5 there could be some ambiguity in terms of regulatory  
6 compliance. I actually have looked at your comments.  
7 I actually thought about it.

8 And thinking about the HDR model, the current  
9 proposal of either an authorized user or a physician  
10 under the supervision of an authorized user who has  
11 been trained in the operation of emergency response  
12 to the unit be physically present during the  
13 continuation of all patient treatments involving the  
14 unit is a better definition, in my opinion, because  
15 it then allows a physician to be present at the console  
16 area or within voice distance during the entire  
17 treatment.

18 As you mentioned, the NRC does not regulate  
19 the ancillary staff such as nursing support, so that  
20 is a consideration that I am certainly open to. I would  
21 be curious to see what the other subcommittee members  
22 think and also the rest of the committee as well on  
23 that particular point.

24 In terms of point -- so in terms of authorized  
25 user returning, I'm glad that you agree with that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 comment. I do think it's important for the authorized  
2 user to return.

3 In terms of recommendation number four, I  
4 am probably not as strong of an advocate for that.  
5 I do believe that the gamma knife does require an  
6 authorized user to know what happened during the  
7 treatment, and one of my concerns is that if the  
8 authorized user does not know that the patient --  
9 because, again, there could be a situation where the  
10 treatment is "completed," but things may not have gone  
11 as planned. And I think this is an opportunity for  
12 the authorized user to deal with the medical physicist  
13 to ensure everything has occurred correctly.

14 Also, from a patient care standpoint, having  
15 the authorized user there to let the patient know that  
16 everything went well I think is a greater assurance  
17 in terms of that the treatment actually did go according  
18 to plan rather than having a surrogate physician who  
19 has been trained to say, well, treatment went well.

20 I have found that from a patient standpoint  
21 it -- there is a better confidence when the physician  
22 is actually there, the one who is actually involved  
23 with the actual treatment.

24 In terms of number five, in terms of whether  
25 or not the Icon™ and the Perfexion™ are similar units,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 although the fundamental makings of the Icon™ are very  
2 similar to the Perfexion™ unit, there is the opportunity  
3 to use a cone beam CT device for the frame-based  
4 treatments.

5           What I can share with you is that at our  
6 institution about 20 percent of our patients are  
7 undergoing frame-based treatments right now, typically  
8 up to five treatments -- of treatment. And for our  
9 functional cases and for those cases that we -- we have  
10 actually started to use the cone beam CT to ensure that  
11 the alignment of what we saw on the computer screen  
12 aligns with the frame attached to the treatment machine.

13           So I don't feel that the Perfexion™ and the  
14 Icon™ are similar. So I am not in support of modifying  
15 physical presence requirements for both Perfexion™ and  
16 Icon™. I would propose that we change the physical  
17 presence requirements for just the Icon™ unit itself.

18           MEMBER ENNIS: This is Ron. Just to follow  
19 up, being on the subcommittee, my thoughts to Mr.  
20 Sheetz's comments. So in terms of the ambiguity of  
21 the two-minute walk, well, certainly, there is a little  
22 bit of ambiguity with that, although I don't think it  
23 will be a lot because it does specify a time which you  
24 can measure and walk. And of course people can walk  
25 slightly faster or slower, but I don't think it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           tremendously ambiguous.

2                       So I'm comfortable with that. The notion  
3 of instead requiring another physician to be there under  
4 the supervision, I would be comfortable with that, too.

5           That is more restrictive on our users, I think, than  
6 the proposed definition that our subcommittee came up  
7 with.

8                       And I think part of our charge was to see  
9 whether we could provide safe relief to the authorized  
10 user. So I don't know that our constituents, if you  
11 will, or the people asking for some relief would feel  
12 that we have provided any relief with that. We could  
13 maybe hear what those constituents had to say.

14                      But I am comfortable with either, but I do  
15 feel like the -- as written in the subcommittee report  
16 is kind of aligned with providing some level of relief  
17 while still being, you know, conservative in terms of  
18 patient safety.

19                      In terms of the other issues, the return at  
20 the end I feel is an important component to this as  
21 well. In my mind, it's kind of akin at the end of a  
22 brachy procedure, kind of -- although we're not  
23 requiring formal documentation, that the authorized  
24 user documents that everything went as planned, or,  
25 if not, what kind of changes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 I do feel like it's really important to close  
2 that loop. More subtle things that aren't quite events  
3 might not be raised or you might forget about them an  
4 hour later when you see each other in the hall. But  
5 at that moment, the physicist and/or the nurse or  
6 whoever might be there might be able to share some issue  
7 about slight patient movement or things like that that  
8 could be safety concerns that I think will be lost if  
9 there is not that closure at the end.

10 In terms of the nurse issue, and whether it's  
11 appropriate for these guidelines or not, I can kind  
12 of certainly see the perspective that it's not something  
13 NRC can regulate or does regulate, so it's not  
14 appropriate for our subcommittee report. On the other  
15 hand, it seems like a good practice advice.

16 I don't have a good sense of whether good  
17 practice advice like this is appropriate or not. I  
18 would turn to NRC staff for their comments on that.  
19 It feels like a good idea to me, but I do get the argument  
20 that it's a little bit more medical than regulatory.

21 And on the final point of Perfexion™ versus  
22 Icon™, frankly, I would defer to Dr. Suh's judgment.

23 He has a lot more experience with these units than  
24 I. So I don't have any particular opinion beyond his  
25 on that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I think I covered all the points. But if  
2 there is something else, Mr. Sheetz, please remind me.

3 MEMBER SHEETZ: This is Mike Sheetz. Thank  
4 you for your consideration and responding to those --  
5 all of my comments.

6 So I guess, for clarity, you are recommending  
7 these revisions for the Icon™ unit for both frame-based  
8 and mask-based treatments. Is that correct?

9 MEMBER ENNIS: That is correct. Yes.

10 MEMBER SHEETZ: Okay. The other comment is  
11 we -- we do use the cone beam CT with frame based as  
12 a replacement for the MRI or co-registration. But  
13 otherwise, if we have a frame and an MRI image, we would  
14 not repeat the cone beam CT, you know, as a secondary  
15 check. And I'm not sure that's standard.

16 So, again, I guess I still go back to  
17 frame-based treatments on Icon™ and Perfexion™ are  
18 identical in practice.

19 MR. OUHIB: Hi. This is Zoubir.

20 CHAIRMAN ALDERSON: Go ahead.

21 MR. OUHIB: On item number two, you know,  
22 trying to use the HDR regulation type of thing, I think  
23 it's a good idea. However, there are institutions that  
24 might not be as fortunate to have the luxury of a  
25 physician under the direct supervision of an authorized

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 user.

2 So perhaps consideration would be to use one  
3 or the other; in other words, to keep the two-minute  
4 option and perhaps -- or the presence of a physician  
5 under the direct supervision of an authorized user.

6 CHAIRMAN ALDERSON: Are there further  
7 comments? Is there anyone that would like to comment  
8 on that statement?

9 MEMBER SUH: This is John Suh. So I can see  
10 both points. So in terms of being less restrictive,  
11 the two-minute walk from a gamma knife treatment console  
12 area is certainly less restrictive. Again, as Ron  
13 mentioned, you know, one can walk faster or slower,  
14 but, again, I think if someone says two minutes, that's  
15 something that the authorized user could work with in  
16 terms of what is considered a two-minute walk.  
17 Physicians would need to decide what constitutes that  
18 as a safety parameter.

19 You know, in terms of this proposal of either  
20 an authorized user or physician under the supervision  
21 of authorized user be present, it does -- it's probably  
22 a clearer definition, although it is somewhat more  
23 restrictive. And for a smaller center that may not  
24 have the luxury of having another physician involved,  
25 I can see this being a more restrictive definition for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 gamma knife.

2 Not all gamma knife centers have multiple  
3 physicians who are: a) trained, and b) have an interest  
4 in being involved in gamma knife.

5 In terms of doing both, I guess I have mixed  
6 feelings about whether or not both is a good option.

7 I think we should stick with one option if we are going  
8 to go ahead with changing the current physical presence  
9 requirements, which was the charge of the subcommittee  
10 to begin with.

11 CHAIRMAN ALDERSON: Further comments? I  
12 think we still need to work to clarify this a bit.  
13 I'm going to take the prerogative here to indicate what  
14 I think is being said, and then I'd like to get, John,  
15 your comments and that of the Committee to clarify this.

16 So I am hearing that regarding the comment  
17 of extending this new approach to both the Icon™ and  
18 the Perfexion™, I believe that the Committee is saying  
19 that it would choose to stay with the Icon™ alone, that  
20 there should be -- the physician should be present at  
21 the end of the treatment, so that they can talk to the  
22 patient.

23 Am I correct on the Committee's position on  
24 those two issues?

25 MEMBER SUH: This is John Suh speaking again.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Yes, I do believe that the Icon™ and Perfexion™ are  
2 different units.

3 CHAIRMAN ALDERSON: Yes.

4 MEMBER SUH: And your second point about  
5 being present at the conclusion of treatment, like Ron,  
6 I feel very strongly that it is important to close the  
7 loop when treating patients with high dose/high  
8 precision radiation that is offered with the Icon™ Gamma  
9 Knife® unit.

10 CHAIRMAN ALDERSON: Right. Am I correct  
11 that Dr. Ennis and Laura Weil agree with those  
12 positions?

13 MEMBER ENNIS: This is Ron. So just to  
14 clarify, on the second position of being there at the  
15 end, I agree with the position. But to clarify the  
16 rationale, for me, it's not just about patient -- you  
17 know, good for the patient, the patient will like that,  
18 and all that, which I totally agree, but that's really  
19 a medical thing.

20 But I do feel like there could be more subtle  
21 or minor safety concerns, issues that might have  
22 happened that would not stop the treatment and would  
23 not be a misadministration, but might be helpful for  
24 the team to know going forward for the next case or  
25 the next patient, where things weren't perfect, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it's really important to have that kind of -- well,  
2 they call it a huddle nowadays, right? Doing  
3 essentially a mandated huddle from a safety point of  
4 view at the end of treatment, which will only really  
5 happen efficiently and effectively, in my opinion, if  
6 we mandate that everyone gets together at the end.

7 CHAIRMAN ALDERSON: Yes. Good. Any  
8 disagreement with that from Dr. Suh or Ms. Weil?

9 MEMBER WEIL: No.

10 MEMBER SUH: No.

11 CHAIRMAN ALDERSON: Okay. So I think that  
12 we have resolved those two issues in terms of the  
13 committee's response to the comments. And that leaves  
14 us with the comment regarding the two-minute walk versus  
15 someone, being the AU or an AU-trained person, being  
16 physically present at all times.

17 I'm going to turn this back to Dr. Suh to  
18 try to see if we can navigate our way through that  
19 particular concern, which seems to be the significant  
20 one remaining in this discussion.

21 MEMBER SUH: So I have been thinking about  
22 this since, you know, Michael sent this to me. As I  
23 said, I am -- I like his suggestion, but I do agree  
24 that it is more restrictive. And, again, it should  
25 be very apparent. You know, a two-minute walk I don't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think should be that ambiguous in terms of responding  
2 to an emergency or if there is an issue with a patient.

3 But my personal feeling, my recommendation,  
4 is that the subcommittee report of the altered current  
5 physical presence to allow for the authorized user to  
6 be within a two-minute walk of the gamma knife console  
7 area is appropriate and will allow the authorized user  
8 to respond to any immediate medical needs.

9 CHAIRMAN ALDERSON: Okay. Good. That's  
10 definitive. Do Dr. Ennis and Ms. Weil agree?

11 MEMBER ENNIS: I do.

12 CHAIRMAN ALDERSON: Laura?

13 MEMBER WEIL: Yes, I do.

14 CHAIRMAN ALDERSON: Okay. So that's also  
15 clear from the standpoint of the subcommittee. Are  
16 there other comments before we open this up to people  
17 who might be on the phone lines?

18 So with the help of the operator, we will  
19 now take comments on any of these issues from people  
20 who are on the phone?

21 OPERATOR: Thank you. And, again, as a  
22 reminder, if you have a question or a comment from the  
23 phone, please press star one at this time. Make sure  
24 your phone is unmuted and record your name to introduce  
25 your question. And to withdraw that request, you may

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 press star two. Once again, for questions or comments,  
2 press star one and record your name at this time.

3 And one moment. We'll stand by for questions  
4 or comments. One moment, please. And we do have a  
5 question or comment from Susan Lohman. Your line is  
6 open.

7 MS. LOHMAN: Thank you. This is Susan  
8 Lohman. I am neuroscience applications manager with  
9 Elekta. And, first, I'd like to thank the subcommittee  
10 for their long and thorough review of this issue, the  
11 process that they have gone through.

12 And at this time, I would like to urge the  
13 subcommittee and Committee to move forward with the  
14 subcommittee's recommendation as it was proposed and  
15 possibly in the future come back to look at the inclusion  
16 or continued exclusion of Perfexion™.

17 And myself, as a representative of Elekta,  
18 would be more than willing to provide any subject  
19 material necessary to proceed as such.

20 Thank you.

21 CHAIRMAN ALDERSON: Do we have other  
22 comments?

23 OPERATOR: Yes, we do have another question  
24 or comment. And our next question or comment is from  
25 Frank Tran. Your line is open.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 MR. TRAN: Yes. This is Frank Tran in  
2 Region III, NRC. I have a comment on the rule with  
3 the HDR of exactly they -- they didn't require direct  
4 supervision from an authorized user or a trained person.

5 It just says under supervision. So I believe the key  
6 is not that. So I just want to comment on that.

7 CHAIRMAN ALDERSON: This is Dr. Alderson.  
8 I'd like to ask the commenter to repeat some of what  
9 he said, because I don't know how other -- I didn't  
10 actually hear all those words very clearly.

11 MR. TRAN: Okay. So earlier I believe that  
12 Mike mentioned about the rule under -- that required  
13 authorizer user to be -- or a trained person to be  
14 present over the -- under the rule for the HDR. Another  
15 person mentioned that there should be direct  
16 supervision.

17 However, under the rule for the HDR, it is  
18 not a direct supervision, just a supervision, either  
19 direct or not direct. So there's the comment for that.

20 CHAIRMAN ALDERSON: All right. Did people  
21 understand the comment?

22 PARTICIPANT: Yes.

23 CHAIRMAN ALDERSON: Okay. Are there  
24 further comments?

25 OPERATOR: I am currently showing no further

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 questions or comments at this time. And, again, as  
2 a reminder, that is star one. Make sure your phone  
3 is unmuted and record your name. And it is star two  
4 to withdraw that request.

5 Again, for further questions or comments at  
6 this time, please press star one and record your name,  
7 and I'll stand by for further questions or comments.

8 CHAIRMAN ALDERSON: So, Dr. Suh, I'm going  
9 to summarize here how I think this conversation has  
10 evolved. I think we are -- we have accepted the --  
11 we have listened to all of the comments. We have made  
12 comments in return. I believe that we're at the point  
13 where we are ready to accept the proposal as it was  
14 originally recommended. Is that how you also  
15 understand the comments?

16 MEMBER SUH: Yes, Dr. Alderson.

17 CHAIRMAN ALDERSON: Okay. Well, in that  
18 case, are we in fact ready to -- is the subcommittee  
19 and the -- are the ACMUI recommended as -- to accept  
20 the report as it was originally proposed? All those  
21 in favor?

22 (Chorus of ayes.)

23 CHAIRMAN ALDERSON: Any opposed? Thank  
24 you. This report is accepted in its original proposed  
25 form.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. HOLIDAY: Dr. Alderson, if I may, I'm  
2 sorry, I didn't catch who made the motion and who  
3 seconded the motion.

4 CHAIRMAN ALDERSON: I believe it was implied  
5 that Dr. Suh was making the recommendation, I believe.

6 MEMBER SUH: Yes. On behalf of the  
7 subcommittee, I propose that we accept the current --  
8 sorry, the physical presence requirements of the  
9 Leksell Gamma Knife® Icon™ that as submitted on behalf  
10 of the subcommittee members.

11 VICE CHAIRMAN ZANZONICO: Sophie, this is  
12 Pat. I'll second it.

13 MS. HOLIDAY: Thank you.

14 CHAIRMAN ALDERSON: Good.

15 MS. HOLIDAY: And then, if I understand, was  
16 this a unanimous endorsement, or were there any  
17 dissensions or abstentions?

18 CHAIRMAN ALDERSON: I did ask for that, I  
19 believe, and it is a unanimous endorsement.

20 MS. HOLIDAY: Okay. Thank you.

21 CHAIRMAN ALDERSON: So is there any other  
22 business that we need to conduct this morning?

23 MS. HOLIDAY: No. I would just like to thank  
24 the subcommittee members for putting forth all of the  
25 effort for both Dr. Metter's subcommittee and Dr. Suh's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 subcommittee, for their thorough reviews and their  
2 reports. I would also like to remind the ACMUI members  
3 and other participants on the phone that ACMUI will  
4 be holding another public teleconference in two weeks  
5 on March 1st from 2:00 p.m. to 4:00 p.m. eastern.

6 The topic of that teleconference will be the  
7 subcommittee report for the training and experience  
8 requirements under all modalities in 10 CFR part 35  
9 with a focus on 35.300 uses.

10 The ACMUI will also be holding their spring  
11 meeting here at NRC headquarters on March 7th and 8th.

12 Thank you.

13 CHAIRMAN ALDERSON: Well, thank for everyone  
14 who was on the call today.

15 Mr. Bollock, anything you would like to say  
16 in the end?

17 MR. BOLLOCK: No, thank you, Dr. Alderson.  
18 Appreciate everyone's time.

19 CHAIRMAN ALDERSON: I believe, Sophie, that  
20 we have concluded our business. Thank you all,  
21 everyone.

22 (Whereupon, the discussion of the draft  
23 report concluded at 10:19 a.m.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

Carol S. Marcus, Ph.D., M.D.  
1877 Comstock Avenue  
Los Angeles, CA 90025-5014

<csmarcus@ucla.edu>

Michael Stabin, Ph.D., CHP  
3878 Stevens Lane  
Nashville, TN 37218

<michael.g.stabin@vanderbilt.edu>

February 7, 2018

Advisory Committee on Medical Uses of Isotopes (ACMUI)  
U.S. Nuclear Regulatory Commission  
11555 Rockville Pike  
Rockville, MD 20852

c/o Ms. Sophie Holiday, [Sophie.Holiday@nrc.gov](mailto:Sophie.Holiday@nrc.gov)

Dear Ms. Holiday and Members of the ACMUI:

We have reviewed the ACMUI subcommittee draft on Nursing Mother Guidelines for the Medical Administration of Radioactive Materials, which is to be discussed at the Feb. 15, 2018 ACMUI meeting. We have a number of comments which we believe should be addressed in the next draft of this document which should make the final document more useful.

1. The draft guidance document assumes theoretical risk to the infant but fails to include information on the benefits of breastfeeding, which are real and significant. According to the American Academy of Pediatrics (AAP):

“Most health professionals are familiar with the benefits of breastfeeding. The AAP continues to support the unequivocal evidence that breastfeeding protects against a variety of diseases and conditions in the infant such as: bacteremia, diarrhea, respiratory tract infection, necrotizing enterocolitis, otitis media, urinary tract infection, late-onset sepsis in preterm infants, type 1 and type 2 diabetes, lymphoma, leukemia, and Hodgkins’ disease, childhood overweight and obesity. There are also maternal health benefits to breastfeeding such as: decreased postpartum bleeding and more rapid uterine involution, decreased menstrual blood loss and increased child spacing (lactational amenorrhea), earlier return to prepregnancy weight, decreased risk of breast and ovarian cancers.”

2. We note that the specific gamma ray factors cited in Table 2 are incorrect, by a factor of 1000(!) We hope that this is an error in the table, and that erroneous gamma factors were not used in the calculations given in the document.
3. We note a number of typos that suggest that the document was not carefully reviewed:
  - a. In Table 5, the heading "Hazel and Breitz" should be "Stabin and Breitz". "Hazel" is Breitz's first name.
  - b. The reference 'Stabin and Breitz' is repeated as references 10, 26, 31, 36, 54, 71, and 73.
  - c. The proper spelling of Lu is "lutetium", not "lutecium", but this is misspelled several times in the document.
  - d. The word 'radioisotope' is substituted for 'radionuclide' twice. 'Radionuclide' is the general term for a radioactive species; 'isotope' refers to different radioactive species of a given element.
  - e. In all cases 'et al' should be 'et al.' (abbreviation mark included).
  - f. The name of the computer program is 'OLINDA/EXM'.
  - g. Page 8: 'generallyless' is given as one word.
  - h. Table 1 – do not capitalize 'rad'.
  - i. On page 4 last line and in Table 2 the half-life of F-18 is given as 1.2 hours. The half-life of F-18 is 110 minutes, or 1.83 hours.
  - j. It is odd that non-SI units are used preferentially in a 2018 document.
4. For ingestion of radioiodinated pharmaceuticals, the document should contain thyroid dosimetry for all radionuclides of iodine to the infant thyroid as a function of infant uptake and thyroid mass/infant age. Choosing the worst possible case of a newborn with extremely high uptake and a tiny thyroid gland is not useful once the uptake falls from 75%-100% to about 15% and the thyroid mass increases, so a whole range of values needs to be presented. This information is important not only when considering advice to the mother but in evaluating accidents as well, of which there have been a number over the years.
5. We were pleased to see the calculations for external dose to the infant using the mother as a line source instead of a point source. We have two issues, however, with the calculations:
  - a. The authors appear to be unaware of important literature on the subject, noting 'the general lack of pertinent data in the literature.' We note, for example:
    - i. J. G. Hunt, D. Nosske, D. S. dos Santos. Estimation of the dose to the nursing infant due to direct irradiation from activity present in maternal organs and tissues. *Radiation Protection Dosimetry*, Volume 113, Issue 3, 28 April 2005, Pages 290–299.
    - ii. Mountford PJ and Coakley AJ. Radiopharmaceuticals in breast milk. *Proceedings, Fourth International Radiopharmaceutical Dosimetry Symposium*, Oak Ridge Associated Universities, Oak Ridge, TN, 1986; 167-180.
  - b. In calculating external dose to the infant from the radioactive mother, humanized gamma ray constants should be used or estimated, as the specific gamma ray

constants significantly overestimate infant dose. For example, the humanized gamma ray constant for I-131, with a 364 keV photon, is 1.3, not 2.2 (RADAR Exposure and Dose Calculator, <http://www.doseinfo-radar.com/ExposureCalculator.html>). For radionuclides with lower photon energy, the humanized gamma ray constants would reflect even more self-absorption by the mother. In the case of a mother with thyroid cancer who has stopped breastfeeding entirely and who has no thyroid of her own (it has been surgically removed), an accurate external dose to the infant after a 5 mCi administration for a metastatic survey and after a 150 mCi administration of a therapy dose should be calculated and appear in this guidance document, so the physician can offer accurate advice about holding the infant and feeding him formula or other milk product.

6. We appreciate that 10CFR35.75 notes a dose limit of 5 mSv (500 mrem) for exposure to members of the public, but requires that guidance be given regarding interruption of breast feeding if the dose to an infant or child may exceed 1 mSv (100 mrem). *We strongly suggest that this second requirement be changed (in the statute) to 5 mSv, and the calculations be redone.* The calculations performed were already conservative, but the application of an additional factor of 5 has no scientific basis. There are no scientifically valid data showing harm to infants at a dose of 500 mrem, and it is therefore unreasonable to reduce the dose far below the legal limit. Every baby conceived and born in Denver, CO has received an extra 500 mrem from background radiation by the age of 15 months, over and above the United States average which is 300 mrem/y. (Background radiation in Denver is about 550 mrem/y). Year after year, the State of Colorado tends to be tied for the third lowest cancer death rate in America, despite the fact that residents receive an extra 250 mrem/y, every year. Some receive even higher doses (Copper City, CO has a background rate of about 890 mrem/y.). By clinging to the Linear No-Threshold (LNT) myth that says any amount of radiation is dangerous and can cause death from cancer, 500 mrem seems five times more dangerous than 100 mrem, but the LNT premise itself has been soundly denounced on various fronts for low dose and dose rates. First, the LNT states that there is no such thing as radiation repair, but we have found over 150 genes that are active in the repair of lesions caused by radiation and metabolism using oxygen, and we know that these repair mechanisms are stimulated by low dose radiation and that they repair more damage than what was caused by the low dose radiation to begin with. We know that one mutation cannot cause a cancer, fatal or otherwise, as assumed by the LNT. If it could, we would see three-year old boys with prostate cancer, five-year old boys and girls with breast cancer, and six-year olds with lung cancer. This does not happen. The LNT states that all doses are additive, and that dose rate is irrelevant. We know that radiation delivered chronically in low doses is much less damaging than the same dose delivered instantaneously. The whole field of radiation oncology is mainly based on the fact that normal tissues can repair if the radiation doses delivered are not too high and repair mechanisms are stimulated, whereas aberrant cancer tissue cannot repair as well as normal tissue. That is why radiation therapy is delivered multiple times over weeks, permitting normal tissue to preferentially repair. If 6000 rad were delivered all at once, you would burn a hole in the patient. It is time to stop using the LNT at low doses as a basis for anything.

Thank you for your attention and consideration. We look forward to reviewing the improved draft.

Sincerely,




Carol S. Marcus, Ph.D., M.D.

Professor of Radiation Oncology, of Molecular and Medical Pharmacology (Nuclear Medicine), and of Radiological Sciences, David Geffen School of Medicine at the University of California at Los Angeles (UCLA) and past two-term member of the ACMUI.



Michael G. Stabin  
Chair, RAdiation Dose Assessment Resource (RADAR) Committee of the Society of Nuclear  
Medicine and Molecular Imaging, and  
Associate Professor of Radiology and Radiological Sciences  
Department of Radiology and Radiological Sciences  
Vanderbilt University





February 12, 2018

U.S. Nuclear Regulatory Commission (NRC)  
11555 Rockville Pike  
Rockville, MD 20852  
Washington, DC 20555-0001

**Re: Nursing Mother Guidelines for the Medical Administration of Radioactive Materials**

Dear members of the ACMUI

The Society of Nuclear Medicine and Molecular Imaging (SNMMI) appreciates the opportunity to comment on the Sub-Committee on Nursing Mother Guidelines for the Medical Administration of Radioactive Materials report drafted by Vasken Dilsizian, MD, Darlene Metter, MD (Chair), Christopher Palestro, MD, and Pat Zanzonico, Ph.D, dated February 1, 2018.

The Society of Nuclear Medicine and Molecular Imaging's more than 17,000 members set the standard for molecular imaging and nuclear medicine practice by creating guidelines, sharing information through journals and meetings, and leading advocacy on key issues that affect molecular imaging and therapy research and practice.

- F-18:  $6.952E-4$  mrem/hr per uCi at 1 m
- Ga-67:  $1.1 \times 10^{-1}$  mR/hr/mCi at 1 m
- Tc-99m:  $1.2 \times 10^{-1}$  mrem/hr/mCi at 1 m
- I-131: 2.2 R-cm<sup>2</sup>/mCi-hr

Page 8, line 1, "generallyless" should be two words.

Page 11, #6 (and possibly elsewhere), "lutecium" is correctly spelled "lutetium".

Table 1, "Rad" should be "rad"

Table 2 half life of F-18 is 109 or 110 minutes (depending on the reference, and is therefore 1.82 or 1.83 hours (not 1.2). This is also mentioned incorrectly in the last line of page 4.

Table 5, 3rd column, the reference should be Stabin and Breitz.  
References 10, 26, 31, 36, 54, 71 and 73 are all the same reference (Stabin and Breitz).

Additionally, the report offers some debatable recommendations. For example, interrupting breast feeding for 7 days for I-123 400 microcurie capsules. That is significantly longer than 10 half-lives (even though the risk of contamination with other isotopes is no longer present - the previous rationale for interrupting breast feeding). Also, most sources say no interruption of breast feeding is necessary including the NRC regulatory guide 8.39 <https://www.nrc.gov/docs/ML0833/ML083300045.pdf> (no cessation needed even for doses of 3 mCi) [https://www.nrc.gov/materials/miau/miau-reg-initiatives/guide\\_2002.pdf](https://www.nrc.gov/materials/miau/miau-reg-initiatives/guide_2002.pdf).

There are certain assumptions of the LNT hypothesis that are lacking in supportive scientific evidence. For one, LNT assumes that there is no DNA repair at low doses. However, the scientific evidence suggests otherwise. There have been over 150 genes identified by radiation biologists that are involved in the repair of DNA radiation damage. There are known to be three types of repair:

- 1) anti-oxidant prevention
- 2) enzymatic repair of DNA damage
- 3) removal of DNA alterations by apoptosis.

Another assumption of the LNT that needs to be addressed is that the dose rate does not matter. However, that supposition is also not supported by scientific evidence. In fact, the entire field of Radiation Oncology is based on repair of radiation damage, and particularly that the repair mechanisms of normal tissue are more efficient than those of cancerous tissue.

SNMMI appreciates the opportunity to comment on this report. As always, SNMMI is ready to discuss any of its comments or meet with NRC on the above issues. In this regard, please contact Caitlin Kubler, Senior Manager, Regulatory Affairs, by email at [ckubler@snmmi.org](mailto:ckubler@snmmi.org) or by phone at 703-326-1190.

Sincerely,



Bennett S. Greenspan, MD, FACNM, FACR  
President, SNMMI

