

**Official Transcript of Proceedings**  
**NUCLEAR REGULATORY COMMISSION**

Title: Advisory Committee on the Medical Uses of Isotopes

Docket Number: N/A

Location: Rockville, Maryland

Date: March 7, 2018

Work Order No.: NRC-3567

Pages 1-205

NEAL R. GROSS AND CO., INC.  
Court Reporters and Transcribers  
1323 Rhode Island Avenue, N.W.  
Washington, D.C. 20005  
(202) 234-4433

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

UNITED STATES OF AMERICA

NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

+ + + + +

MEETING

+ + + + +

WEDNESDAY,

MARCH 7, 2018

+ + + + +

The meeting was convened in room T2B3 of Two White Flint North, 11545 Rockville Pike, Rockville, Maryland, at 8:30 a.m., Philip Alderson, Chairman, presiding.

MEMBERS PRESENT:

- PHILIP O. ALDERSON, M.D., Chairman
- VASKEN DILSIZIAN, M.D., Nuclear Cardiologist
- RONALD D. ENNIS, M.D., Radiation Oncologist
- DARLENE F. METTER, M.D., Diagnostic Radiologist
- MICHAEL O'HARA, Ph.D., FDA Representative
- CHRISTOPHER J. PALESTRO, M.D., Nuclear Medicine Physician
- JOHN J. SUH, M.D., Radiation Oncologist
- LAURA M. WEIL, Patients' Rights Advocate
- PAT B. ZANZONICO, Ph.D., Vice Chairman

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           NON-VOTING MEMBERS PRESENT:

2                   RICHARD GREEN

3                   ZOUBIR OUHIB

4                   MEGAN SHOBER

5

6           NRC STAFF PRESENT:

7                   LINDA HOWELL, Acting Deputy Director,  
8                   Division of Materials Safety, Security,  
9                   States, and Tribal Programs (MSST)

10                  DOUGLAS BOLLOCK, ACMUI Designated Federal  
11                           Officer

12                  SOPHIE HOLIDAY, ACMUI Alternate Designated  
13                           Official and ACMUI Coordinator

14                  MARYANN AYOADE, NMSS/MSTR/MSEB

15                  JENNIFER BISHOP, R-III/DNMS

16                  RUSSELL CHAZELL, SECY/RAS

17                  SAID DAIBES, Ph.D., NMSS/MSST/MSEB

18                  LISA DIMMICK, OEDO

19                  SARA FORSTER, R-III/DNMS

20                  ROBERT GALLAGHAR, R-I/DNMS

21                  MICHELLE HAMMOND, R-IV/DNMS

22                  LATISHCA HANSON, R-IV/DNMS

23                  PATRICIA HOLAHAN, Ph.D., NMSS/DRM

24                  VINCENT HOLAHAN, Ph.D., NMSS/MSST

25                  ESTHER HOUSEMAN, OGC/GCLR/RMR

26

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1        NRC STAFF PRESENT (CONT.):

2                    DONNA-BETH HOWE, Ph.D., NMSS/MSST/MSEB

3                    KEVIN NULL, R-III/DNMS

4                    PATTY PELKE, R-III/DNMS

5                    GRETCHEN RIVERA-CAPELLA, NMSS/MSST/MSEB

6                    DIANE SIERACKI, OE/CRB

7                    ZAHID SULAIMAN, R-III/DNMS

8                    KATHERINE TAPP, Ph.D., NMSS/MSTR/MSEB

9                    IRENE WU, NMSS/MSST/MSEB

10                   SHIRLEY XU, NMSS/MSST/MSLB

11

12        MEMBERS OF THE PUBLIC PRESENT:

13                   DAVE ADLER, American Society of Radiation

14                   Oncology (ASTRO)

15                   ROBERT DANSEREAU, New York State Department

16                   of Health

17                   MIGUEL DE LE GUARDIA, Cook's Children Medical

18                   Center

19                   LYNNE FAIROBENT, *unaffiliated*

20                   CAITLIN KUBLER, Society of Nuclear Medicine

21                   and Molecular Imaging

22                   MELISSA MARTIN, American Association of

23                   Physicists in Medicine (AAPM)

24                   RICHARD MARTIN, AAPM

25                   MICHAEL PETERS, American College of Radiology

26                   JOSEPHINE PICCONE, *unaffiliated*

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1        MEMBERS OF THE PUBLIC PRESENT (Cont.):

2                    A. ROBERT SCHLEIPMAN, Partners Healthcare

3                    CINDY TOMLINSON, ASTRO



## 1 P R O C E E D I N G S

2 8:40 a.m.

3 CHAIRMAN ALDERSON: Welcome to this  
4 meeting of the Advisory Committee on Medical Uses  
5 of Isotopes. And to get the meeting agenda  
6 started, I'll turn the program over to Mr. Bollock.

7 MR. BOLLOCK: All right. Thank you,  
8 Dr. Alderson. Good morning, everyone. As the  
9 Designated Federal Officer for this meeting, I'm  
10 pleased to welcome you to this public meeting of  
11 the Advisory Committee on Medical Uses of Isotopes.  
12 My name is Doug Bollock. I'm the Branch Chief of  
13 the Medical Safety and Event Assessment Branch, and  
14 I have been designated as the federal officer for  
15 this advisory committee in accordance with 10 CFR  
16 Part 7.11.

17 Present today is the alternate  
18 Designated Federal Officer, Ms. Sophie Holiday, our  
19 ACMUI coordinator. This is an announced meeting of  
20 the Committee. It's being held in accordance with  
21 the rules and regulations of the Federal Advisory  
22 Committee Act and the Nuclear Regulatory  
23 Commission.

24 This meeting is being transcribed by  
25 the NRC and may also be transcribed where recorded

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 by others. The meeting was announced in the  
2 February 7th, 2018 edition of the Federal Register,  
3 Volume 83, page 5465.

4 The function of the Committee is to  
5 advise the staff on issues and questions that arise  
6 in the medical use of byproduct material. The  
7 Committee provides counsel to the staff but does  
8 not determine or direct the actual decisions of the  
9 staff or the Commission. The NRC solicits the  
10 views of the Committee and values their opinions.

11 I request that, whenever possible, we  
12 try to reach a consensus on the various issues we  
13 will discuss today, but I also recognize there may  
14 be minority or dissenting opinions. If you have  
15 such opinions, please allow them to be read into  
16 the record.

17 At this point, I'd like to perform roll  
18 call on members participating today. Dr. Philip  
19 Alderson.

20 CHAIRMAN ALDERSON: Here.

21 MR. BOLLOCK: Thank you. Dr. Pat  
22 Zanzonico?

23 VICE CHAIRMAN ZANZONICO: Here.

24 MR. BOLLOCK: Thank you. Dr. Vasken  
25 Dilsizian?



1 MEMBER DILSIZIAN: Here.

2 MR. BOLLOCK: Thank you. Dr. Ronald  
3 Ennis? Okay. I did see him, and it looks like he  
4 stepped away for a moment. Dr. Darlene Metter?

5 MEMBER METTER: Here.

6 MR. BOLLOCK: Thank you. Dr. Michael  
7 O'Hara?

8 MEMBER O'HARA: Here.

9 MR. BOLLOCK: Thank you. Dr.  
10 Christopher Palestro?

11 MEMBER PALESTRO: Here.

12 MR. BOLLOCK: Thank you. Mr. Michael  
13 Sheetz? Dr. John Suh?

14 MEMBER SUH: Here.

15 MR. BOLLOCK: Thank you. And Ms. Laura  
16 Weil?

17 MEMBER WEIL: Here.

18 MR. BOLLOCK: Thank you. So we do have  
19 a -- I confirm we do have a quorum of at least six  
20 members. At the table, we also have Mr. Zoubir  
21 Ouhib.

22 MR. OUHIB: Here.

23 MR. BOLLOCK: Mr. Richard Green?

24 MR. GREEN: Here.

25 MR. BOLLOCK: And Ms. Megan Shober?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. SHOBER: Here.

2 MR. BOLLOCK: Mr. Green has been  
3 selected as the ACMUI nuclear pharmacist. Mr.  
4 Ouhib has been selected as the ACMUI therapy  
5 medical physicist, and Ms. Shober has been selected  
6 as the ACMUI agreement state representative. Mr.  
7 Ouhib, Mr. Green, and Ms. Shober are pending  
8 security clearances but may participate in the  
9 meeting. However, they do not have voting rights  
10 at this time.

11 I would also like to add that this  
12 meeting is being webcast, so other individuals may  
13 be watching online. We have a bridgeline  
14 available, and the phone number is 888-790-6447.  
15 The passcode to access the bridgeline is 79006  
16 followed by the pound key.

17 Individuals who would like to ask a  
18 question or make a comment regarding a specific  
19 issue the Committee has discussed should request  
20 permission to be recognized by the ACMUI  
21 chairperson, Dr. Philip Alderson. Dr. Alderson, at  
22 his option, may entertain comments or questions  
23 from members of the public who are participating  
24 with us today. Comments and questions are usually  
25 addressed by the Committee near the end of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 presentation after the Committee has fully  
2 discussed the topic.

3 We ask that one person speak at a time,  
4 as this meeting is also closed captioned. I would  
5 also like to add that handouts and agendas for this  
6 meeting are available at the NRC's public Web site.

7 At this time, I'd ask that everyone on  
8 the call who is not speaking to place their phones  
9 on mute. If you don't have the capability to mute  
10 your phone, please press \*6 to utilize the  
11 conference line mute and un-mute functions.

12 At this point, I'd like to turn the  
13 meeting over to Ms. Linda Howell, Acting Deputy  
14 Director of the Division of Material Safety,  
15 Security, and State and Travel Programs, for some  
16 opening remarks.

17 MS. HOWELL: Thank you, Doug. And good  
18 morning, Committee members. As Doug noted, I'm the  
19 Acting Deputy Division Director for a newly-named  
20 division. It's Material Safety, Security, and  
21 State and Travel Programs Division. In accordance  
22 with a project that the agency initiated a couple  
23 of years ago, we have removed rulemaking from our  
24 division activities, so we decided to re-name  
25 ourselves.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   First off, I'd like to thank all of the  
2                   Committee members not only for your attendance  
3                   today but your hard work and contributions over the  
4                   past year and several years prior to that. We do  
5                   truly value your contributions, your knowledge, and  
6                   your expertise, and the agency does need your input  
7                   in order to maintain an effective regulatory  
8                   oversight program.

9                   Just so that you know, a few changes in  
10                  the last couple of months that may continue. Dan  
11                  Collins, whom most of you know as the division  
12                  director, is currently on rotation to Region I as  
13                  the Acting Deputy Regional Administrator. Kevin  
14                  Williams, his deputy whom I think you met at the  
15                  last meeting, is currently the Acting Division  
16                  Director, and then I've joined Kevin as part of the  
17                  management team for the division. And that will  
18                  extend over the next couple of months.

19                  As I noted, we just recently changed  
20                  the name, so you will see in our correspondence and  
21                  communications with you, instead of MSTR, MSST.

22                  Okay?

23                  I'd also like to note a couple of  
24                  changes within ACMUI not only for the Committee  
25                  members but for the attendees here in the audience.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 This is Dr. Zanzonico's last meeting, as his term  
2 on the ACMUI ends tomorrow. We are sorry to see  
3 your departure, but we want to thank you for your  
4 many contributions over the past eight years and we  
5 anticipate making a selection for Dr. Zanzonico's  
6 backfill shortly.

7 With your departure, we do anticipate  
8 that Mark Dapas, the office director, will be  
9 providing a tribute to Dr. Zanzonico tomorrow in  
10 advance of the Commission meeting. So we look  
11 forward to that.

12 As Doug noted, we have a new member  
13 here at the table with Megan joining us. Thank you  
14 very much for joining us as the Agreement State  
15 Representative. We also need to note Dr. Alderson,  
16 as the Chairman and Health Care Administrator, is  
17 up for a second term on March 23rd, and you've  
18 informed us that you do not plan to serve that full  
19 second term, but will stay on board until your  
20 replacement obtains his security clearance. And  
21 Dr. Robert Schleipman has been selected as the next  
22 Health Care Administrator representative. I think  
23 he may be on with us this morning as webcast, but I  
24 haven't had a chance to talk with him this morning.  
25 So we look forward to him joining the Committee, as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 well.

2 We do have to appoint a new Chairman  
3 and Vice Chairman with the departure of two of our  
4 senior members of the Committee. And it gives me  
5 pleasure to inform you that we have appointed Dr.  
6 Chris Palestro as the new ACMUI Chairman and Dr.  
7 Darlene Metter as the Vice Chairman. Their  
8 appointments will become effective on March 9th, so  
9 we congratulate both of you in your new positions  
10 and look forward to your leadership on the  
11 Committee.

12 Dr. John Suh, our representative in  
13 gamma stereotactic radiosurgery radiation oncology,  
14 will complete his second and final term here  
15 shortly. We've already issued a call for  
16 nominations in the Federal Register, and those  
17 nominations were due to be sent to Ms. Holiday by  
18 April 3rd, 2018. So we look forward to keeping you  
19 all informed on that process, and thank you very  
20 much, sir, for your service. We do appreciate it.

21 Going on to a few other recent  
22 activities at the Commission level, several things  
23 have occurred since the last meeting, which was  
24 fall of 2017, including issuance of a SECY paper  
25 and the staff's evaluation of our program

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 regulating patient release following radioisotope  
2 therapy. That paper was signed out at the end of  
3 January this year.

4 The paper conveyed the staff's efforts  
5 as they relate to evaluating whether significant  
6 changes were warranted regarding our regulations  
7 for patient release, and those are found under  
8 Title 10, CFR 35.75. We determined that changes to  
9 the rule text were not necessary, although we will  
10 be forwarding some updated guidance to the staff on  
11 that. But we do not anticipate making any further  
12 rule changes at this time.

13 We do appreciate all of your insights  
14 and input on that. It was a significant effort  
15 over a prolonged period of time, and your insights  
16 were very valuable to helping us arrive at a sound  
17 conclusion on that.

18 Also, as most of you are aware, the  
19 Commission has approved some final rule changes on  
20 the medical use of byproduct material that went out  
21 in August of 2017. The final rule is undergoing  
22 OMB review and is due for publication in the  
23 Federal Register in the short term, date yet to be  
24 determined.

25 When the rule was voted on, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Commission directed the staff to evaluate whether  
2 it would make sense for us to come up with a more  
3 tailored training and experience approach for  
4 different categories of radiopharmaceutical use.  
5 We have not made a final determination in that  
6 area. We are seeking your input on it. It will be  
7 a topic for discussion and vote today and later  
8 tomorrow in front of the Commission and probably  
9 will receive review by the staff over the next  
10 several months. But we are interested in your  
11 input, so we hope that you will share your candid  
12 opinions on that.

13 ACMUI, for the audience, has conducted  
14 a couple of public teleconferences since the last  
15 meeting. There was a public teleconference  
16 conducted on February 15th to discuss nursing  
17 guidelines and the physical presence requirements  
18 for the Leksell Gamma Knife® Icon™. Those reports  
19 have been provided to the NRC staff for further  
20 consideration. We will touch on those topics here  
21 during the meeting today and possibly tomorrow.

22 The ACMUI also held a public  
23 teleconference last week on March 1st to discuss  
24 the interim report of the subcommittee on training  
25 and experience requirements for various medical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 modalities. Again, we'll be discussing that later  
2 today with core deliberations to follow in the next  
3 few months.

4 And then other meeting items of high  
5 interest for those of you in attendance in the  
6 audience or on the phone, later on today we'll have  
7 a presentation on the staff's response to  
8 recommendations for medical event reporting and on  
9 medical licensee patient safety culture, as well as  
10 medical projects on the horizon to include the  
11 status of molybdenum-99 production. There have  
12 been some recent changes in that area.

13 And then, lastly, the ACMUI Committee  
14 members will meet with the Commission at 10 a.m.  
15 tomorrow in the Commission briefing room to discuss  
16 ACMUI activities, ACMUI comments on training and  
17 experience, the ACMUI's comments on changes to the  
18 patient release program, and then ACMUI's comments  
19 on medical event reporting and patient safety  
20 culture.

21 So we look forward to active engagement  
22 with you today, as well as with the Commission  
23 tomorrow. And, again, as Doug indicated, we  
24 recognize everybody has independent views, so we  
25 welcome those views. We hope that you will put

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           them on the record if not everybody has consensus  
2           and that we have an engaging dialogue today.

3                       And with that, I'll turn it over to Ms.  
4           Holiday.

5                       MS. HOLIDAY: Good morning, ACMUI  
6           members and members of the public who are joining  
7           us. You know, I always say this for every meeting  
8           and I'll continue to say this; this is the most  
9           important presentation that you will hear at the  
10          ACMUI meeting. It's your most favorite  
11          presentation. I see that everybody is nodding in  
12          agreement.

13                      So this is the old business portion of  
14          the meeting where we go over the recommendation and  
15          action charts from the ACMUI previous meeting and  
16          note if there are any status changes. For the last  
17          several years, you've always heard me say that, for  
18          several years of the charts, they're all tied up  
19          with the expanded Part 35 rulemaking. So I'll  
20          start off by saying we all were informed at the  
21          September 2017 fall ACMUI meeting that the  
22          Commission voted on the Part 35 rulemaking and  
23          staff, as Ms. Howell indicated, we are still  
24          waiting for the final rule to actually be published  
25          in the Federal Register.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   But with that being said, all of the  
2 items in the 2017 chart, with the exception of  
3 items 30, 34, and 35, which are noted as delayed,  
4 were included in the Part 35 expanded rulemaking.  
5 So at this time, I would like to ask the Committee  
6 if there's a motion to close these items.

7                   MEMBER ENNIS: Is there any chance that  
8 something could change between now and when it's  
9 actually published?

10                  MS. HOLIDAY: No. Since the Commission  
11 did perform their vote in August of 2017, once the  
12 Commission takes a vote, it's the final, done,  
13 concluded deal. We're just, at this point, waiting  
14 for the Office of Management and Budget to perform  
15 their review, and then there are some  
16 administrative checks before it actually gets  
17 published in the Federal Register. But there will  
18 be no additional changes to the rule text itself.

19                  Yes, sir?

20                  CHAIRMAN ALDERSON: This is Dr.  
21 Alderson. I have a comment. Just as a point of  
22 information for our later discussions, under item  
23 number two on that first page, back from June of  
24 '07, it has this statement which I just want to  
25 remind us about. The rewritten attestation, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this was requirements for board-certified  
2 individuals, should not include the word  
3 "competency" but instead should read has met the  
4 training and experience requirements. So I just  
5 wanted to remind us, as we enter a meeting where I  
6 think we may be talking about training and  
7 experience requirements, that the word "competency"  
8 has been cited here as one that is not to be used  
9 widely. So I'm sure we'll use it many times, but I  
10 just thought I should remind us that this was  
11 there.

12 MS. HOLIDAY: Thank you. And thank you  
13 for pointing that out, Dr. Alderson. What I'd also  
14 like to offer is that this recommendation was made  
15 11 years ago, and so none of the members on the  
16 Committee now were a part of the Committee back  
17 then. And the beauty of having rotating membership  
18 terms is that opinions can change. And if the  
19 Committee would like to make an amendment to this,  
20 you know, that would be captured as a new  
21 recommendation.

22 But I do recognize, since we do have a  
23 subcommittee that is looking at training and  
24 experience requirements, that this is something  
25 that you should keep in mind going forward. So

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 thank you.

2 So, yes, I'd like to ask again.

3 Procedurally, this has to be a motion made by the

4 ACMUI.

5 CHAIRMAN ALDERSON: Is there a second?

6 Second.

7 MEMBER ENNIS: Second.

8 MS. HOLIDAY: Okay. Thank you.

9 CHAIRMAN ALDERSON: Are you going to  
10 vote on it? All in favor?

11 (Chorus of ayes.)

12 CHAIRMAN ALDERSON: Opposed? None.

13 MS. HOLIDAY: Great. Thank you. So  
14 then that takes us to the 2008 chart. So, again,  
15 the majority of these are related to the Part 35  
16 rulemaking. However, I will note that, for item  
17 number 5 and item 22, these are related to yttrium-  
18 90 microspheres licensing guidance, and I said this  
19 at the last meeting, of course, at previous  
20 meetings where the Committee made a recommendation  
21 that we should move the Perfexion guidance -- I'm  
22 sorry. I'm mixing up names. Item number 5 has to  
23 deal with the Perfexion guidance, and the Committee  
24 at that time, in 2008, asked us to incorporate  
25 their recommendations for future rulemaking. The

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 implications for item 5 are also relevant to item  
2 22 in which the Committee had asked us to move  
3 these modalities, these emerging technologies, to  
4 be incorporated into the rule.

5 As I've said previously, some of these  
6 technologies, such as Perfexion, such as yttrium-90  
7 microspheres, are captured in 35.1000 licensing  
8 guidance, and that's because they have unique  
9 features in which they cannot meet all of the  
10 requirements in the existing 10 CFR Part 35. As we  
11 have also noted that having items captured in  
12 35.1000 guidance allows us to be nimble and make  
13 changes as necessary. For obvious reasons, the  
14 yttrium-90 microspheres guidance has already been  
15 on its ninth revision, with a tenth revision coming  
16 out in the near future. So if this was to go into  
17 rulemaking, we would not be able to incorporate  
18 those changes.

19 Additionally, the Perfexion™, we just  
20 issued the guidance for the Perfexion™ and the  
21 Icon™ unit two years ago. So in a similar  
22 situation, if this was to have gone into  
23 rulemaking, again, we would not have been able to  
24 make these changes.

25 So I have noted that on these charts

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       they say delayed, but I would like to ask the  
2       Committee if the Committee agrees that it should  
3       remain as delayed or if you believe that they  
4       should simply be closed.                   VICE CHAIRMAN

5       ZANZONICO:  Sophie, this is Pat Zanzonico.  Sophie,  
6       could you remind us, remind me what the current  
7       status of this is?  Is it in guidance, and so the  
8       idea would be to continue it basically indefinitely  
9       in guidance, rather than leaving it delayed because  
10      it can't be incorporated into the current  
11      rulemaking?  So I gather, if it were left delayed,  
12      it wouldn't be fully resolved until the next round  
13      of rulemaking?  Is that basically correct?

14               MS. HOLIDAY:  Correct.  So the reason  
15      that it is noted as delayed is that staff had  
16      accepted the Committee's recommendations in terms  
17      of saying that it was not being considered for the  
18      current, I don't know if we should say current but  
19      the Part 35 rulemaking that was just voted on last  
20      year, but that, if this was truly a recommendation,  
21      that the ACMUI still wanted staff to pursue, that's  
22      why we have left it on the charts.  Both the  
23      Perfexion™ and Icon™ and the yttrium-90 microsphere  
24      brachytherapy are captured in 35.1000 licensing  
25      guidance.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So what I'm asking for the Committee is  
2                   if you, as the Committee, do you agree that this  
3                   should still be considered for future rulemaking,  
4                   that is to bring it into the rule text under Part  
5                   35, or does the Committee agree that leaving these  
6                   modalities, these technologies, in 35.1000 space is  
7                   appropriate? If the Committee believes that it is  
8                   appropriate to remain in the licensing guidance  
9                   format, then the Committee would need to make that  
10                  motion.

11                  VICE CHAIRMAN ZANZONICO: So this is  
12                  Pat Zanzonico. My suggestion, my personal  
13                  suggestion is that, since so many things may change  
14                  between now and the next round of rulemaking, which  
15                  will be many years in the future we've come to  
16                  learn, I think, rather than leaving these sort of  
17                  in limbo pending that, that they should just remain  
18                  as guidance. And then if and when new  
19                  technologies, new developments arise between now  
20                  and the next round of rulemaking, those could be  
21                  more appropriately addressed at those times, that  
22                  those issues related to these items could be more  
23                  appropriately addressed at those times. So my  
24                  personal suggestion would be to close them and  
25                  leave them as guidance.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   CHAIRMAN ALDERSON: This is Dr.  
2           Alderson. I would ask a question then. Since  
3           training and experience requirements are a major  
4           issue in front of the Committee right now and this  
5           is a training and experience issue, what  
6           encumbrance would fall upon the Committee if this  
7           were left in guidance and we wanted to bring it  
8           back out because it fit into some training and  
9           experience topic that we were discussing? Is there  
10          an encumbrance?

11                   MS. HOLIDAY: Sure. So if I'm  
12          understanding correctly, the question is if the  
13          Committee were to make recommendation and staff  
14          were to adopt the recommendations or pursue other  
15          venues with training and experience, how would that  
16          affect these 35.1000 licensing guidance documents?  
17          Essentially, licensing guidance under 35.1000 are  
18          considered customizable licensing conditions, and  
19          that simply means that what's in the guidance is  
20          separate from what's under the Part 35 rule, since  
21          they could not meet all of the provisions under 35.  
22          So we could have different training and experience  
23          requirements for these technologies that are  
24          licensed under 35.1000.

25                   Some of you on the Committee may recall

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the radioactive seed localization guidance that was  
2 recently issued a few years ago and the  
3 subcommittee had provided recommendations. The  
4 training and experience for that was very different  
5 from what is captured under the rule for  
6 brachytherapy users, and that is to say that we  
7 also have a provision in there that notes the  
8 ability for surgeons to perform, you know, the  
9 extraction of the seeds under the supervision of  
10 the AU. So for things like that, you would want  
11 that to reside in 35.1000 space.

12 So we made changes in the rule to  
13 accommodate, you know, training and experience.  
14 However the Committee or the staff decides to move  
15 forward, that would not affect the training and  
16 experience in that guidance. It would not affect  
17 the training and experience in the Y-90 guidance  
18 and so on and so forth.

19 If we were to agree to make the changes  
20 for those particular technologies, then another  
21 revision to the guidance would occur, and we'd be  
22 on say revision 11 of the Y-90 guidance.

23 CHAIRMAN ALDERSON: So if I can  
24 rephrase that into the words I used, there would  
25 really be no encumbrance to approving this as it is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and leaving it in guidance.

2 MS. HOLIDAY: Yes. I see a hand.

3 DR. HOWE: This is Dr. Howe. If you  
4 change the guidance and that guidance in training  
5 and experience now covers what's in a particular  
6 35.1000, the 35.1000 guidance could be revised to  
7 remove the specific training requirements that are  
8 now incorporated in the rule. Now, training and  
9 experience is a very important part of the 35.1000  
10 uses, but it's not the only part. So you still  
11 would have, you still may have different parts of  
12 the guidance that would stay if the training and  
13 experience might go into the rule if you make  
14 changes.

15 CHAIRMAN ALDERSON: Yes, good. So it  
16 doesn't seem to provide any problem but some  
17 latitude for practitioners.

18 MS. HOLIDAY: Correct.

19 CHAIRMAN ALDERSON: Good. Okay. So  
20 can we hear the motion again, or are there further  
21 questions or comments? The motion again is that --

22 MS. HOLIDAY: I believe the motion is  
23 to close items 5 and 22.

24 CHAIRMAN ALDERSON: Yes.

25 MS. HOLIDAY: So the motion was made by

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Dr. Zanzonico.

2 CHAIRMAN ALDERSON: And moved and  
3 seconded. Okay. Further discussion? Yes, Dr.  
4 Palestro?

5 MEMBER PALESTRO: Yes, I have a  
6 question. If these items are closed out, let's see  
7 if I can figure out how to phrase it properly, if  
8 they're closed out, they essentially disappear from  
9 the log and we're not going to review them again.  
10 So going forward in the future, all of us are gone  
11 from this Committee, how will future members  
12 recognize that these were items of concern at one  
13 time?

14 MS. HOLIDAY: Well, unfortunately, they  
15 would have to go through many years of transcripts,  
16 not that that's the most wonderful task either.  
17 But, essentially, they would not know that these  
18 were past items because we don't, once we close  
19 them on the charts, again, they do disappear off  
20 the charts, just like how you know we have a two  
21 and then a five but there's no one, three, or four.

22 MEMBER PALESTRO: So that would be my  
23 concern then. If we leave it as is, where it says  
24 open and delayed, in the future they can be flagged  
25 as areas to be revisited.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. HOLIDAY: Yes, it could be  
2 revisited in the future if that's what, if that's  
3 what the Committee wishes for future members to be  
4 able to do. But the Committee now has the  
5 opportunity, as members on the existing Committee,  
6 to make a decision. If you feel like this does  
7 need to stay as a delayed for future members to  
8 consider, it's at your discretion.  
9 Mr. Green?

10 MR. GREEN: So this is from the 2008  
11 year, so the guidance has been static for a decade?  
12 And if there are ever changes in the technology, I  
13 think we can go back and change the guidance. I'm  
14 not sure if there's a need to keep it currently on  
15 the list to remind us it hasn't been changed in a  
16 decade. But we can go back and change that  
17 guidance, could we not?

18 MS. HOLIDAY: That's true, just as the  
19 ACMUI formed a subcommittee, and I think Dr. Metter  
20 chaired that subcommittee to review the staff's  
21 draft revisions to the guidance, again, once the  
22 guidance comes up for another revision or if the  
23 Committee identifies that other things need to  
24 change in the guidance, just with any other  
25 recommendation, the Committee is able to make that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 recommendation at the meeting and it's captured on  
2 the charts going forward. CHAIRMAN

3 ALDERSON: With respect to Dr. Palestro's comment,  
4 I would think that, I understand your point  
5 exactly, but if, in fact, this is a practice issue,  
6 if practitioners are concerned about this, it will  
7 be known to us. They will bring it up. If no one  
8 is concerned, we may forget about it, but then we  
9 don't need to worry about it. But if there are  
10 issues in practice, they could bring it back and we  
11 just heard that we would have the ability to change  
12 this or do whatever we want. So I think that the  
13 motion to close is not inappropriate at this time.

14 MEMBER WEIL: Laura Weil. I think what  
15 Dr. Palestro is getting to is something that  
16 concerns me, as well, is the lack of institutional  
17 memory on this Committee. We don't really have an  
18 accessible history. And given the nature of  
19 rotation, perhaps this is not the place for that  
20 history to be maintained, but transcripts are an  
21 extremely unwieldy way to access that history. And  
22 we struggle in subcommittee work sometimes to  
23 figure out, well, why 700 hours, why, you know, any  
24 number of things that come up. And I think we need  
25 some sort of record, that I'm not aware of anything

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 exists other than transcripts, in order for us to  
2 do our work more efficiently.

3 MS. HOLIDAY: So I understand the crux  
4 of what you're trying to say. So if I may offer  
5 this, I will take this as an action item that I  
6 will -- because I don't delete, I don't delete past  
7 recommendations. They're just hidden on the Excel  
8 spreadsheet. So what I will do is I will open up  
9 all of the last 11 years of charts, and I will  
10 capture them, I will add them to the ACMUI's  
11 history web page on the ACMUI website so that both  
12 ACMUI members and members of the public will be  
13 able to access past committees' recommendations, if  
14 the Committee will accept that action.

15 MEMBER PALESTRO: Yes, I just want to  
16 say that I share Ms. Weil's concerns. And we found  
17 the problems with institutional history in the  
18 Training and Experience Subcommittee, and I think  
19 your suggestion is a good one, as long as there a  
20 way for someone or some committee to go back in the  
21 future and look things over and have a sense of  
22 what went on and so forth, and what was considered  
23 important may or may not still be. So I think --

24 MS. HOWELL: And we appreciate your  
25 input on that. So it's not really that the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information is lost. It may not be as transparent  
2 to you, as Committee members that you would like.  
3 But I think certainly what Ms. Holiday just  
4 proposed would satisfy that concern so that it  
5 would be readily capture-able by all the Committee  
6 members.

7 CHAIRMAN ALDERSON: Does the NRC need a  
8 vote on this suggestion, or can this just go  
9 forward?

10 MS. HOLIDAY: No. NRC doesn't need a  
11 vote for me to take the action. What I'm still  
12 waiting for is, given this action, does the ACMUI  
13 still second Dr. Zanzonico's motion to close these  
14 two items.

15 CHAIRMAN ALDERSON: Right. So we still  
16 have the motion on the table to close these two  
17 items. Is there further discussion on that?  
18 Hearing none, we'll vote. All those in favor?

19 (Chorus of ayes.)

20 CHAIRMAN ALDERSON: Any opposed? None.  
21 It passes.

22 MS. HOLIDAY: Thank you. Okay. So,  
23 again, for items 2, 19 -- no, 2 and 28, 29, 30,  
24 those are all related to the Part 35 expanded  
25 rulemaking. Very similar to the 2007 chart, I'd

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 like to ask if there's a motion to close those  
2 items, not the ones that say delayed but items 2,  
3 28, 29, and 30.

4 CHAIRMAN ALDERSON: Is there a motion  
5 to that effect?

6 MEMBER PALESTRO: So moved.

7 CHAIRMAN ALDERSON: Dr. Palestro. Is  
8 there a second? Is there discussion? All in  
9 favor? Any opposed? No opposition.

10 MS. HOLIDAY: Thank you. Again, so for  
11 the ones that do say delayed, such as number 19,  
12 number 26, and number 27, those were delayed, so I  
13 will keep those on the chart because they were not  
14 included in the Part 35 expanded rulemaking.

15 Okay. So that brings us to 2009.  
16 There are only two items on this chart. Again,  
17 these were included in the current Part 35 expanded  
18 rulemaking. I will note, of course, that number  
19 two has to deal with the 35.390 written directive,  
20 not the training and experience. But I know, since  
21 35.390 is something that's being considered by the  
22 Committee now, I would like to point that out.

23 But, again, similar to the 2007 and  
24 2008 chart, these are tied with the Part 35  
25 expanded rulemaking. So is there a motion from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Committee to close these two items?

2 CHAIRMAN ALDERSON: Do I see a motion?

3 VICE CHAIRMAN ZANZONICO: So moved.

4 CHAIRMAN ALDERSON: Second?

5 MEMBER PALESTRO: Second.

6 CHAIRMAN ALDERSON: There is a second.

7 Is there discussion? All in favor?

8 (Chorus of ayes.)

9 MS. HOLIDAY: Thank you. Okay. Again,  
10 the 2010 chart, all the items were closed on that,  
11 so that's not included in this packet. For year  
12 2011, item 1 has to deal with the patient release  
13 criteria. As you heard from Ms. Howell earlier in  
14 her remarks, staff issued its patient release paper  
15 to the Commission on January 29th, so this is not  
16 an open item anymore. The ACMUI's recommendations  
17 as it relates to patient release was captured in  
18 the subcommittee report that was unanimously  
19 endorsed by the Committee included as Enclosure 4  
20 for that patient release SECY paper. So I would  
21 like to ask at this time if there's a motion to  
22 close item 1.

23 CHAIRMAN ALDERSON: Is there a motion?

24 VICE CHAIRMAN ZANZONICO: So moved.

25 CHAIRMAN ALDERSON: And a second? Any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 discussion? All in favor?

2 (Chorus of ayes.)

3 CHAIRMAN ALDERSON: It passes  
4 unanimately.

5 VICE CHAIRMAN ZANZONICO: Sophie, I  
6 have a parliamentary question. Can the Chair and  
7 Vice Chair make motions? On some committees they  
8 can't. On this committee can we?

9 MS. HOLIDAY: NRC does not preclude you  
10 from making motions. Okay. Item 6 is an item  
11 that's open indefinitely. This is where staff will  
12 review the reporting structure with the Committee  
13 on an annual basis. You'll hear that presentation  
14 from me later on this morning.

15 Items 11 through 15 are all related to  
16 the expanded Part 35 rulemaking. So, again, I'd  
17 like to ask if there's a motion from the Committee  
18 to close items 11 through 15.

19 CHAIRMAN ALDERSON: And is there a  
20 second? Is there discussion? All in favor?

21 (Chorus of ayes.)

22 CHAIRMAN ALDERSON: Unanimous.

23 MS. HOLIDAY: Thank you. Item 16 again  
24 has to deal with patient release criteria similar  
25 to item 1. The patient release Commission paper

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was provided to the Commission on January 29th, and  
2 is there a motion to close item 16?

3 VICE CHAIRMAN ZANZONICO: I make a  
4 motion.

5 CHAIRMAN ALDERSON: Is there a second?

6 MEMBER DILSIZIAN: Second.

7 CHAIRMAN ALDERSON: Is there  
8 discussion? All in favor?

9 (Chorus of ayes.)

10 CHAIRMAN ALDERSON: It passes  
11 unanimously.

12 MS. HOLIDAY: Thank you. All the items  
13 on the 2012 chart were closed, so that chart is not  
14 included. For the 2013 chart, all of these were  
15 related to the Part 35 expanded rulemaking. That  
16 was the time that the ACMUI was provided with the  
17 draft proposed rule, so all of these items again  
18 are related to the Part 35 expanded rulemaking. Is  
19 there a motion to close all of these items?

20 CHAIRMAN ALDERSON: There's a motion  
21 and a second. Is there a discussion? Hearing  
22 none, all in favor?

23 (Chorus of ayes.)

24 CHAIRMAN ALDERSON: Unanimous.

25 MS. HOLIDAY: Thank you. Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 There's no 2014 chart because all of those items  
2 were closed. So that brings us to the 2015 chart.  
3 I apologize if it's very hard to see. Item 7 has  
4 to deal with the abnormal occurrence criteria. I  
5 believe I informed the Committee at the meeting in  
6 September that the NRC issued its revised abnormal  
7 occurrence policy, so this item should have been  
8 closed, but I do not believe that we made a motion  
9 during the September meeting to close it. So at  
10 this time, I'd like to ask if there's a motion to  
11 close item 7.

12 CHAIRMAN ALDERSON: So is there a  
13 motion to close? There is a motion. Is there a  
14 second? There's a second. Discussion? Seeing  
15 none, all in favor?

16 (Chorus of ayes.)

17 CHAIRMAN ALDERSON: It passes  
18 unanimously.

19 MS. HOLIDAY: Thank you. Items 12, 13,  
20 and 15 are related to the Patient Intervention  
21 Subcommittee. As you'll recall during the  
22 September 2017 ACMUI fall meeting, the Patient  
23 Intervention Subcommittee provided a revised report  
24 which was incorporated into the medical event  
25 reporting and its impact on Medical Licensee

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Patient Safety Culture Subcommittee report as an  
2 addendum, I believe, or an appendix. So at this  
3 time, I would like to ask the ACMUI if there's a  
4 motion to close items 12, 13, and 15 as they were  
5 superseded by the subcommittee's report provided in  
6 2017.

7 CHAIRMAN ALDERSON: Is there a motion?  
8 And a second? Is there discussion? All in favor?

9 (Chorus of ayes.)

10 CHAIRMAN ALDERSON: Unanimous.

11 MS. HOLIDAY: Thank you. Again, item  
12 22 is related to the abnormal occurrence criteria  
13 that was revised last year, similar to item 7. Is  
14 there a motion from the Committee to close item 22?

15 CHAIRMAN ALDERSON: Is there a motion?

16 MS. HOLIDAY: Dr. Suh.

17 CHAIRMAN ALDERSON: And there is a  
18 second. Thank you. We have a motion and a second.  
19 Is there discussion? Hearing none, all in favor.

20 (Chorus of ayes.)

21 CHAIRMAN ALDERSON: Unanimous.

22 MS. HOLIDAY: Thank you. Okay. For  
23 2016, this, of course, was when the Committee was  
24 provided with the draft final Part 35 expanded  
25 rulemaking, so items 1 through 15 are related to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the ACMUI's recommendations as it relates to the  
2 Part 35 expanded rulemaking. Is there a motion  
3 from the Committee to close these items?

4 CHAIRMAN ALDERSON: Is there a second?  
5 Is there discussion? Hearing none, all in favor?

6 (Chorus of ayes.)

7 CHAIRMAN ALDERSON: Unanimous.

8 MS. HOLIDAY: Thank you. Item 16, Dr.  
9 Alderson formed a subcommittee to review the  
10 training and experience requirements for all  
11 modalities under 10 CFR Part 35. This I will leave  
12 open since that subcommittee is still performing  
13 its reviews and currently is reviewing the  
14 requirements for 35.300 users.

15 CHAIRMAN ALDERSON: So I would, I think  
16 that's appropriate. I would suggest possibly, I  
17 noted back on item number 6 in the year 2011 it  
18 contained the words open indefinitely, so it just  
19 kept coming back. And that was one of the things  
20 that we proposed and talked about when we created  
21 this Committee, the idea that, as medicine evolves,  
22 the world evolves, that it was always important to  
23 be re-evaluating training and experience  
24 requirements. So I would suggest perhaps that we  
25 include not just open but open indefinitely under

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that as a phrase in this particular handout. Yes,  
2 Ms. Weil?

3 MEMBER WEIL: Thank you. In support of  
4 that, I'd say that we called it a standing  
5 subcommittee, rather than simply a subcommittee.

6 MS. HOLIDAY: Yes. So my understanding  
7 is that it was originally named standing  
8 subcommittee, but, as noted in the ACMUI charter,  
9 ACMUI does not have any standing subcommittees.  
10 And I believe the idea was that this subcommittee  
11 would review the training and experience  
12 requirement on a as-needed basis, maybe every five  
13 years or so, however long it took to get through  
14 it, because you didn't want to continuously have to  
15 look at the training and experience requirements  
16 every year. I wasn't involved with the meeting at  
17 that time, so I'm not very sure if that was the  
18 intent of the subcommittee.

19 CHAIRMAN ALDERSON: Well, Dr. Palestro  
20 can speak. I will say that my intention in talking  
21 about it was that it would be reviewed on an almost  
22 continuous basis so that we were keeping up with  
23 what was happening in the field. Dr. Palestro?

24 MEMBER PALESTRO: Yes. The concept was  
25 not necessarily that it would be a yearly review or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 a monthly review but that it would be an ongoing  
2 review every X number of years and whenever it was  
3 deemed necessary, perhaps the introduction of a new  
4 technique, a new radiopharmaceutical, that sort of  
5 thing. And so that was the concept of forming a  
6 standing subcommittee, in essence that its work was  
7 never really complete.

8 MS. HOLIDAY: Okay. So I will offer  
9 that I am not opposed to this being open  
10 indefinitely. I would like to say, while we called  
11 it a standing subcommittee, I would make this  
12 similar to our Medical Events Subcommittee that  
13 reviews medical events on an annual basis. We  
14 don't refer to that as a standing subcommittee, but  
15 that review is performed on an annual basis. So I  
16 would like to refrain calling it a standing  
17 subcommittee, but I will note that the Committee  
18 will review this on a continual basis. Is that  
19 amenable to the Committee?

20 MEMBER PALESTRO: It is to me, yes.

21 CHAIRMAN ALDERSON: All in favor?  
22 That's a consensus if that would be true. There  
23 are comments, though, however.

24 MS. HOLIDAY: I agree.

25 CHAIRMAN ALDERSON: All in favor of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that?

2 (Chorus of ayes.)

3 CHAIRMAN ALDERSON: Yes, everyone is.

4 So, yes, that's fine. Thank you.

5 MS. HOLIDAY: Okay. Item 24 has to do  
6 with the Committee reaching out to their respective  
7 professional organizations to enhance the  
8 communications between the ACMUI, the NRC, and the  
9 medical community. I've left this open because I  
10 believe that this is one of those open indefinite  
11 items that the Committee put forward as a  
12 recommendation.

13 CHAIRMAN ALDERSON: It did.

14 MS. HOLIDAY: So if it's okay, is there  
15 a motion to amend this to say open indefinitely?

16 MEMBER PALESTRO: So moved.

17 CHAIRMAN ALDERSON: Is there a second?

18 VICE CHAIRMAN ZANZONICO: Second.

19 CHAIRMAN ALDERSON: Any discussion?

20 All in favor?

21 (Chorus of ayes.)

22 CHAIRMAN ALDERSON: It's unanimous.

23 MS. HOLIDAY: Okay, great. Items 39,  
24 42, and 43 are related to the yttrium-90  
25 microspheres licensing guidance. I suggest leaving

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this as open because staff has not issued its  
2 revision to the Y-90 guidance. As you may be  
3 aware, we issued the guidance for public comment  
4 and that public comment period ended earlier this  
5 year. Just this month. So when the next draft  
6 revision of the guidance is ready, it will be  
7 provided to the ACMUI for its review and comment at  
8 that time. So I will leave items 39, 42, and 43 on  
9 the chart as is.

10 Okay. Items 44 through 52 are related  
11 to the Committee's recommendations for the  
12 NorthStar's licensing guidance. I have these noted  
13 in red, and I wrote closed, although this has not  
14 been put forth as a motion to the Committee yet.  
15 Staff issued this licensing guidance last month on  
16 February 8th. It was sent out on the medical list  
17 server. I will provide it to the Committee hard  
18 copy before you leave for the meeting.

19 Since that guidance was issued, staff  
20 will, in the near future, issue a memorandum to the  
21 Committee to explain which recommendations from the  
22 Committee were accepted and were not accepted or  
23 partially accepted to explain what staff did in  
24 response to your recommendation.

25 So at this time, I'd like to ask if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there's a motion from the Committee to close items  
2 44 through 52.

3 MEMBER ENNIS: It seems to me we should  
4 wait and see that document before we close it.

5 MS. HOLIDAY: The guidance has already  
6 been issued, so, similar to, like, the AO criteria  
7 being revised by the Commission or the Part 35  
8 expanded rulemaking, it's already been issued. So  
9 staff has already addressed the ACMUI's  
10 recommendations. All that staff has to do at this  
11 point is simply issue the memorandum to explain  
12 what we did with your recommendations. Ms. Weil?

13 MEMBER WEIL: I support the suggestion  
14 that we wait. If this is inconvenient for you,  
15 perhaps you should have communicated all that stuff  
16 to us prior to asking us to close it.

17 MS. HOLIDAY: Sure. That's not a  
18 problem. Is there further discussion from the  
19 Committee?

20 MEMBER PALESTRO: Just a question. In  
21 terms of the ACMUI recommendations, were they all  
22 accepted in their entirety or were some of them  
23 accepted partially and so forth, the way we see in  
24 some of the other --

25 MS. HOLIDAY: I would have to defer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that to Dr. Howe or Ms. Ayoade, as that was their  
2 working group.

3 DR. HOWE: This is Dr. Howe. I'm not  
4 prepared right now to give you an answer to that  
5 question.

6 CHAIRMAN ALDERSON: So I think the  
7 sense of the Committee is that we're not ready to  
8 close all these items and Dr. Howe isn't ready to  
9 comment to us on our questions. So I think these  
10 items should remain open. Does anyone wish to say  
11 otherwise on the Committee? The Committee's  
12 recommendation is that they remain open.

13 MS. HOLIDAY: Okay.

14 MR. BOLLOCK: This is Doug Bollock.  
15 And I'll just add, whether you close it or not, if  
16 you are not satisfied with our responses, whether  
17 we accept it partially, fully, or not at all, and  
18 when you see those responses, that doesn't preclude  
19 you from, in the future, giving other  
20 recommendations for future updates, revisions, to  
21 the guidance.

22 VICE CHAIRMAN ZANZONICO: So this is  
23 Pat Zanzonico. So this is the guidance that was  
24 issued in February?

25 MS. HOLIDAY: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   VICE CHAIRMAN ZANZONICO: That's  
2                   considered final and presumably has addressed, but  
3                   we can't definitively say at the moment, but  
4                   presumably has addressed the ACMUI comments. But  
5                   that's the document we're referring to, the  
6                   February guidance.

7                   MS. HOLIDAY: Correct, correct.

8                   MEMBER DILSIZIAN: Just to reassure  
9                   you, I mean, I was responsible for this document  
10                  and all the comments in the red are the ones we  
11                  recommended but cannot firmly state that all of  
12                  them weren't adopted. I just wanted to show you  
13                  that these are correct.

14                  CHAIRMAN ALDERSON: This is Dr.  
15                  Alderson. Administratively speaking, I understand  
16                  that it's an efficient action now to potentially  
17                  close these because some of this has already  
18                  happened. On the other hand, from the point of  
19                  view of the public and we, as an advisory  
20                  committee, represent the public to the agency, it  
21                  looks as if we close something before we know what  
22                  happened and perhaps we don't really, aren't really  
23                  caring about it that much.

24                  So I think, from the standpoint of the  
25                  Committee's representation of the public, that it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reasonable to keep these items open.

2 MS. HOLIDAY: Sure. I accept that.

3 Are there any other comments for these items?

4 Okay. So then we can move on to the 2017 chart.

5 Okay. For the very first item, this is where the  
6 Committee requested that we review staff's response  
7 to all the Committee's past recommendations and  
8 actions as it related to the Part 35 rulemaking  
9 during the fall 2017 meeting. As I stated earlier,  
10 we were closing the items related to the rulemaking  
11 efforts earlier.

12 NRC has not issued the final rule yet.  
13 However, in 2018, because the rule will have  
14 already been published by the next meeting, staff  
15 will have an agenda item to go through each of the  
16 recommendations from the ACMUI from 2007 and  
17 forward, including the reports from 2013 and 2016,  
18 to give you that detailed review.

19 So at this time, I would leave item 1  
20 as pending to be discussed at the fall 2018  
21 meeting. Is that okay with the Committee?

22 CHAIRMAN ALDERSON: It seems so.

23 MS. HOLIDAY: Okay. Item 8 has to deal  
24 with the Patient Intervention Subcommittee amending  
25 their report and providing it during the fall of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 2017. And as I discussed earlier, that report was  
2 provided in a presentation and included in the  
3 reporting and its impacts on Medical Licensee  
4 Patient Safety Culture Subcommittee report. So I  
5 would like to ask if there's a motion to close this  
6 item since the item itself is just saying that they  
7 will amend their report and present it, which has  
8 already occurred during the September meeting.

9 CHAIRMAN ALDERSON: Is there a motion  
10 to that effect? A second? Several seconds. Is  
11 there further discussion? Hearing none, all in  
12 favor?

13 (Chorus of ayes.)

14 CHAIRMAN ALDERSON: Unanimous.

15 MS. HOLIDAY: Okay. Item 12. This was  
16 the item where the ACMUI asked staff to engage with  
17 the Organization of Agreement States to find a way  
18 to centralize reporting from the agreement states.  
19 This was noted during Dr. Ennis's report where the  
20 Committee conducts their annual review of medical  
21 events reported on a fiscal year basis, and the  
22 Committee noted that there were discrepancies  
23 between how various agreement states report their  
24 information and how some events do not provide  
25 timely updates.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   So at this time, I would like to inform  
2                   the Committee that, during our monthly phone call  
3                   with the Organization of Agreement of States and  
4                   the Conference for Radiation Control Program  
5                   directors, I gave a presentation or I made a note  
6                   to the agreement states that this was an issue that  
7                   was recognized by the ACMUI and that we are  
8                   reminding the agreement states that they need to  
9                   report their medical events in accordance with FA-  
10                  300, which is the procedure that informs the  
11                  agreement states how they have to report medical  
12                  events. In addition to that, I informed the states  
13                  that they need to ensure that they provide timely  
14                  updates so that the information may be  
15                  appropriately captured in that.

16                   So at this time, I would like to  
17                  propose or ask the ACMUI if there's a motion to  
18                  close item 12.                   CHAIRMAN ALDERSON: Is  
19                  there such a motion?

20                   VICE CHAIRMAN ZANZONICO: This is Pat  
21                  Zanzonico. Is there any evidence since your  
22                  presentation if they are or are not reporting in a  
23                  more timely manner?

24                   MS. HOLIDAY: Because medical events  
25                  are reported as the medical events happen, there's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 no way for me necessarily to double check all of  
2 the 37 agreement states because events aren't  
3 continuously reported. Some states have events,  
4 and some do not. So I guess a turning analysis  
5 will be able to tell by next year if that's the  
6 case.

7 MR. BOLLOCK: This is Doug Bollock. So  
8 we do a, we review NMED events for the previous  
9 year every year, and we just did that for 2017. So  
10 there wasn't time to see that or see any difference  
11 in the reports or doing a review of NMED at this  
12 time. There just hasn't been enough time to see  
13 any gains from the discussion we had with the  
14 agreement states.

15 MEMBER ENNIS: Another question is, and  
16 I guess maybe this is for you, I think, has the  
17 role of, okay, what do I do? And since you've done  
18 that, it would make sense to come off. I think,  
19 for me and maybe for us, it's a little bit of like,  
20 all right, what are our active issues and help  
21 refresh our memory in case, because we're busy  
22 doing other things a lot of the time. And this  
23 feels to me like still an active issue in my mind.  
24 Okay, has this worked and this may become a bit of  
25 an ongoing thing. So on that side, I'm inclined to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 prefer to have it still remaining on the list.

2 CHAIRMAN ALDERSON: Okay. So there's a  
3 suggestion from Dr. Ennis, for those reasons, this  
4 should remain open. We still don't really have a  
5 motion to close it. Is there a motion?

6 VICE CHAIRMAN ZANZONICO: This is only  
7 a comment. Obviously, we've had items open dating  
8 back to 2008, so I think leaving a 2017 item open a  
9 little bit longer isn't that onerous.

10 CHAIRMAN ALDERSON: Zoubir?

11 MR. OUHIB: Yes. I guess keeping it  
12 open probably won't be very beneficial because it  
13 will either confirm that the system is actually  
14 working or maybe the ACMUI will have other  
15 suggestions or recommendations perhaps to meet  
16 whatever needs to be met, in my opinion.

17 CHAIRMAN ALDERSON: So it seems that  
18 the sense of the Committee is to keep this recent  
19 item open. Is that generally the feeling of the  
20 Committee? The heads are nodding yes, so I believe  
21 this will stay open. MS. HOLIDAY: Okay.

22 Then that brings us to items 13 through 20, and  
23 they are related to the recommendations that came  
24 out of the medical event reporting and its impacts  
25 on medical licensee patient safety culture. We

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 should really come up with an acronym for this.  
2 You will hear a presentation later on today from  
3 Mr. Bollock to explain staff's response to some of  
4 those recommendations. So at this time, I will  
5 leave those items open on the charts.

6 Okay. So then that brings us to item  
7 number 21. This has to deal with the Nursing  
8 Mother Guidelines Subcommittee report. So the  
9 initial report was discussed during the September  
10 2017 fall ACMUI meeting with the suggestion that  
11 some amendments be incorporated and that this be  
12 discussed at a future date. So we did hold that  
13 public teleconference on February 15th. So I have  
14 this marked as a closed item because the action was  
15 to hold a public teleconference, which we did do on  
16 February 15th.

17 So, again, I prematurely marked closed,  
18 so I would like to ask the Committee if there's a  
19 motion to close this item.

20 MEMBER METTER: I meant to close that  
21 item.

22 CHAIRMAN ALDERSON: Is there a second?

23 MEMBER O'HARA: Second.

24 CHAIRMAN ALDERSON: There's a second.

25 Is there further discussion? Hearing none, those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in favor of closing this item?

2 (Chorus of ayes.)

3 CHAIRMAN ALDERSON: Unanimous.

4 MS. HOLIDAY: Okay. And item 22  
5 through 28 are related to the Patient Release  
6 Subcommittee's report. Again, staff issued its  
7 paper to the Commission on January 29th. I  
8 provided the ACMUI with a copy of that Commission  
9 paper, as well, if you'd like to see it in hard  
10 copy. Again, essentially, the subcommittee  
11 endorsed by the full committee agreed with staff's  
12 conclusions that no changes were warranted via  
13 rulemaking under 3575. So I would like to ask the  
14 Committee if there's a motion to close items 22  
15 through 28 as they relate to the patient release  
16 Commission paper.

17 VICE CHAIRMAN ZANZONICO: Motion. So  
18 moved.

19 CHAIRMAN ALDERSON: Is there a second?

20 MEMBER ENNIS: Second.

21 CHAIRMAN ALDERSON: Discussion?

22 Hearing none, all in favor?

23 (Chorus of ayes.)

24 CHAIRMAN ALDERSON: Unanimous.

25 MS. HOLIDAY: Thank you. Okay. Item

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 29 has to deal with the physical presence  
2 requirements for the Leksell Gamma Knife® Icon™  
3 Subcommittee report. Again, this item was to hold  
4 a public teleconference in the near future to  
5 discuss the amended report. Similar to the nursing  
6 mother guidelines, we held that public  
7 teleconference on February 15th, so I'd like to ask  
8 if there's a motion to close this item as the  
9 committee did hold its public teleconference on the  
10 15th of February.

11 CHAIRMAN ALDERSON: Motion was made by  
12 Dr. Suh. Is there a second? There are seconds.  
13 Further discussion? Hearing none, all in favor?

14 (Chorus of ayes.)

15 CHAIRMAN ALDERSON: Unanimous.

16 MS. HOLIDAY: And then item 30 is that  
17 we agree to hold the spring ACMUI meeting on March  
18 1st and 2nd with a backup date of March 14th and  
19 15th. We did not meet either of those dates, but  
20 we are here on March 7th and 8th. So I'd like to  
21 ask the Committee if there's a motion to close item  
22 30.

23 CHAIRMAN ALDERSON: This one should be  
24 easy. With seconds, yes. Further discussion?  
25 Hearing none, approved.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. HOLIDAY: Thank you. Okay. And  
2 this brings us to the 2018 chart. These  
3 recommendations come out from the February 15th  
4 public teleconference. Items 1 through 2 are  
5 related to the recommendations for the Nursing  
6 Mother Guidelines Subcommittee report. However, I  
7 have left them as open items as I believe the  
8 intent of the ACMUI was that these recommendations  
9 be considered for changes in Regulatory Guide 8.39,  
10 which is the guidance for patient release.

11 So I don't see any shakes of heads. Is  
12 the --

13 VICE CHAIRMAN ZANZONICO: This is Pat  
14 Zanzonico. The Nursing Mother Guideline  
15 Subcommittee report is going to be amended, is  
16 going to be revised. MS. HOLIDAY:  
17 Absolutely.

18 VICE CHAIRMAN ZANZONICO: So on that  
19 basis alone, these items should be left open.

20 CHAIRMAN ALDERSON: Yes, that's right.  
21 I agree.

22 MS. HOLIDAY: Okay. Mr. Green?

23 MR. GREEN: On number one, I see that  
24 some references are made merely to nuclides,  
25 carbon-11. Some references are made to compounds,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I-123 sodium iodide. And then some, again, go back  
2 to I-131 sodium iodide and -- so there's a blending  
3 of nuclide and chemicals. But, for example, I-123,  
4 iofetamine, not iofetamine, MIBG is not on the  
5 list. Sodium iodide 123 is, but there are other  
6 123 compounds that are omitted from this list.

7 VICE CHAIRMAN ZANZONICO: This is Pat  
8 Zanzonico. I think this list may not reflect  
9 completely the tabulation in the report. And  
10 you're right. In some cases, the recommendations,  
11 the guidelines were based, were radionuclide  
12 specific. So for example, if the physical half-  
13 life was short enough that the biological half-life  
14 of the radiopharmaceutical was irrelevant, then it  
15 was a radionuclide specific guideline. On the  
16 other hand, if the effective half-life was impacted  
17 by both the physical and biological half-life, then  
18 they were radiopharmaceutical specific guidelines.  
19 So that's why there's a mix of guidelines, some  
20 radionuclide specific, some radiopharmaceutical  
21 specific. But I don't think this list in the table  
22 reflects the report exactly.

23 MEMBER METTER: This is Darlene. I  
24 think what happened is, like, these were the items  
25 that were brought to the attention of the Committee

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 at the time and not the items that were on, the  
2 other items that were on the list.

3 MS. HOLIDAY: Okay. So then if there's  
4 no further discussion, we can move on to the next  
5 items. Items 3, 4, and 5 are related to the  
6 physical presence requirements for the Leksell  
7 Gamma Knife® Icon™ Subcommittee report. These  
8 recommendations were presented during the February  
9 15th public teleconference. I've left these items  
10 open on the agenda. As Ms. Howell indicated, this  
11 report was provided to staff specifically. There's  
12 an NRC/Agreement State working group. I'm the co-  
13 chair of that working group, and we are considering  
14 the ACMUI's recommendations during our review and  
15 evaluation to determine if there should be changes  
16 to the physical presence requirements for either  
17 the Perfexion™ or the Icon™ unit. So I've left  
18 these items as open.

19 Okay. So these are all of my items on  
20 the old business charts. Are there any additional  
21 questions, comments, or concerns?

22 CHAIRMAN ALDERSON: Seeing none.

23 MS. HOLIDAY: Thank you.

24 CHAIRMAN ALDERSON: Thank you.

25 Excellent report. So that brings us to the open

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 forum, medical topics of interest for further  
2 discussion. We've actually been discussing some of  
3 those as we went through these items. And we're  
4 already well behind time here. So are there any  
5 other new medical topics of interest that people  
6 would like to discuss further before we invite Dr.  
7 Howe to come forward? Seeing none -- oh, Dr.  
8 Palestro?

9 MEMBER PALESTRO: Very quickly. With  
10 respect to communications with professional  
11 organizations, we did submit a request for a  
12 continuing education program at the annual meeting  
13 of the Society for Nuclear Medicine and Molecular  
14 Imaging, similar to what we did last year. We have  
15 not yet heard back. The meeting is later in June  
16 this year, so I would hope that we find out  
17 shortly.

18 We also had intended to submit to the  
19 ACR but the format of that meeting changed and this  
20 type of program doesn't fit with what they're going  
21 to do this year.

22 CHAIRMAN ALDERSON: That's good. We  
23 have a comment from the audience.

24 MS. KUBLER: Hi. Caitlin Kubler with  
25 the Society of Nuclear Medicine and Molecular

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Imaging. I just heard from our meeting staff this  
2 morning, we will be sending out those confirmation  
3 notices next week.

4 CHAIRMAN ALDERSON: Thank you.  
5 Hopefully, you'll get a positive one. Any other  
6 comments? Hearing none, I think we're ready to  
7 move forward to the next portion of the program,  
8 the next agenda item, which is medical related  
9 events. Dr. Howe.

10 DR. HOWE: All right. This is one of  
11 my favorite parts of addressing the ACMUI. We'll  
12 be talking about the medical events that happened  
13 in fiscal year 2017. Not only will you have  
14 slides, but members of the ACMUI will have the  
15 printout from the NMED reports for this time frame.

16 This is just a reminder that there are  
17 many more medical procedures given, and the number  
18 of medical events that you see is just a very small  
19 number of those procedures. So we also have a dose  
20 threshold for diagnostic events, and that precludes  
21 most diagnosis events that don't go as expected  
22 from not meeting the threshold from that event.  
23 And there are about 150,000 therapeutic procedures  
24 performed using radioactive materials in a year.  
25 So those are kind of very rough numbers for your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 denominator.

2           The ACMUI wanted a perspective, how are  
3 the medical events trending. And as you can see  
4 for 2012, '13, '14, the number of medical events  
5 was 48, 43, 46. That's not a very large number.  
6 We're comparing that to 150,000 per year. And for  
7 diagnostic, there's a million. But with our dose  
8 limit, you see very few diagnostic.

9           And then I've broken down how those  
10 medical events, what categories they fell into.  
11 35.200 are the imaging and localization. Those are  
12 your diagnostic events for the most part. 35.300  
13 are your therapeutic or I-131 greater than 30  
14 microcuries. All of those require a written  
15 directive. 35.400 are your brachytherapy,  
16 primarily manual, permanent implant, and temporary  
17 implant. And 35.600 are your high dose remote  
18 afterloaders, new teletherapy units, and your  
19 original gamma stereotactic radiosurgery units.  
20 And 35.1000 includes the things that we consider to  
21 be other than 100 through 600. Most of the  
22 35.1000s are going to be the yttrium-80  
23 microspheres, but it may also include intervascular  
24 brachytherapy, the Perfexion™, the Icon™, and a few  
25 other devices.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So next slide. So this is what we've  
2                   seen in the last three years. 57, 50, 43. Once  
3                   again, you're not seeing very large numbers. They  
4                   fluctuate, around the mid-40s and they go up into  
5                   the 57 sometimes, up in the 50s. And you can see  
6                   down below the change in the different modalities  
7                   that had medical events. The parentheses that I  
8                   provide are the number of patients, so we may have  
9                   had nine -- and the other thing is how do we count  
10                  medical events? We basically count them by  
11                  facility. If a facility has a medical event, they  
12                  report it to us. That medical event, because they  
13                  may have an event today and then they go back  
14                  through their records and they find out that they  
15                  didn't catch additional medical events earlier. So  
16                  we may have more than one patient for a medical  
17                  event, but most of our medical events are single  
18                  patient activities.

19                         So you can see in 2015, we had nine  
20                         medical events for 35.400, which is brachytherapy.  
21                         We had ten patients, so one of those medical events  
22                         had two patients. And when you look at 2017, for  
23                         35.600, we had eight, I won't say there are eight  
24                         facilities because if a facility reports a medical  
25                         event today and then they report another medical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 event two months from now, we will count those as  
2 separate reports. So in 2017, we had eight  
3 reported medical events that ends up at one  
4 facility had two different reports, and they had a  
5 total of -- and then one location had five patients  
6 and the other had four patients. So you see more  
7 patients than you have events.

8 Next slide. So let's start looking at  
9 the specific modalities. So we had no medical  
10 events in 35-200, which are primarily the  
11 diagnostic, technetium events. We had four in  
12 35.300, which require written directives. Three of  
13 those for iodine-131, and we had one for radium-  
14 223. And this looked specifically at the events.

15 Next. So for I-131, the first event  
16 I'll be telling you about, the facility  
17 administered two millicuries of I-131 when none was  
18 prescribed. The physician asked for parathyroid  
19 tests, but, instead, the facility gave a thyroid  
20 scan. There was no written directive. We have  
21 seen this in the past where activities that require  
22 written directives are given without written  
23 directives, and that goes back to the facility and  
24 its being aware of what written directives require  
25 and being able to, they should identify this before

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they ever gave it because they should have said  
2 where's the written directive. There was none.  
3 Well, I shouldn't be giving I-131 in two millicurie  
4 quantities if there is no written directive. So  
5 these things should be caught earlier because they  
6 should have triggers.

7 What they did was they did electronic  
8 ordering, and the record system was used without  
9 confirming the order prior to administration. And  
10 the thyroid received 1600 centigray.

11 What have they done to corrective  
12 actions? They've modified their procedures.  
13 They're going to confirm the dosage orders, and  
14 they are retraining personnel. Those are common  
15 corrective actions that we see.

16 The next slide. In this case, they  
17 administered 20 millicuries instead of 30  
18 millicuries that was in the written directive. In  
19 this particular case, the written directive was  
20 incorrect. The written directive should have been  
21 written for 20.2 millicuries, but, instead, they  
22 wrote it for 30. So the intended dose was given.  
23 And now, as a corrective action, they're requiring  
24 more individuals to review the written directive  
25 for accuracy before signing it and giving the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 administration.

2           Next slide. They administered 106  
3 millicuries instead of 150. This is a typical  
4 problem we see over and over again. The dosage was  
5 delivered in two capsules. The patient shook the  
6 vial into the mouth but only one capsule came back.  
7 The other was left in the vial. And it wasn't  
8 discovered until the vial was sent back to the  
9 pharmacy and they did a measurement and determined  
10 they still had I-131 inside.

11           Next slide. This is our radium-233  
12 dichloride issue. In this case, they administered  
13 more activity than they were supposed to. They  
14 administered 176 microcuries instead of the 108  
15 microcuries. How did this happen? They had two  
16 patients they treated on the same day. They  
17 correctly labeled with the patient names the lead  
18 pigs and the syringes, but they gave the wrong  
19 syringe to the physician when they were giving  
20 administration or to the technologist when they  
21 were giving administration. So this is a wrong  
22 patient.

23           They've added a time out. They've now,  
24 for corrective action, the dosing physician has to  
25 verify the identity of the patient and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 prescribed dose in the written directive. And  
2 you'll see later, when I get to some of the  
3 yttrium-90 microsphere medical events, that time  
4 out doesn't always work. So it is a corrective  
5 action that many licensees give, but it doesn't  
6 always work.

7 Next slide. So now we'll move into the  
8 35.400. These are the brachytherapy, both  
9 permanent and temporary implants. So we had seven  
10 medical events. All of them were prostate medical  
11 events. And you'll see, as I go through, we had  
12 one licensee with two reports. They had a human  
13 error and one they attributed to anatomy. They had  
14 a wrong site. They used a previous activity. And  
15 then they had larger than the pre-plan or the  
16 swelling and had three cases of those.

17 And now let's look at the individual  
18 cases. Next slide. In the first one, the  
19 licensee, they had one licensee but two separate  
20 reports. In the first case, the patient received  
21 62 percent of the dose. There was no root cause,  
22 but they attributed it to human error. And they  
23 made a statement that some seeds may have migrated,  
24 but they didn't provide any additional verification  
25 of why they believed that was true.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Next slide. It's the second case. In  
2 this case, the patient received 78 percent of the  
3 prescribed dose, and they blamed it on patient  
4 anatomy. They identified during post-implant CT  
5 scan and subsequent dosimetry. It was identified  
6 during the post CT scan and subsequent dosimetry  
7 analysis. There was a delay in reporting to the  
8 state due to communication breakdown and inadequate  
9 procedures.

10                   And the next slide. Now we go into the  
11 patients, additional ones. In this particular  
12 case, there's the wrong site. They said they  
13 received 74 percent less than prescribed, but if  
14 you look you'll see almost all of the dose went to  
15 the penile bulb, so they delivered it to the wrong  
16 site. And they attributed it to human error, and  
17 they are providing additional training to personnel  
18 and approved supervision.

19                   CHAIRMAN ALDERSON: Is there a  
20 typographic error on that slide?

21                   DR. HOWE: There might be. Which --

22                   CHAIRMAN ALDERSON: It says proceed  
23 2,760 instead of 1100.

24                   DR. HOWE: Oh, there should be another  
25 zero there.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: Right. There  
2 should be another zero.

3 DR. HOWE: Yes.

4 CHAIRMAN ALDERSON: Let's note that and  
5 we'll add that in.

6 DR. HOWE: Okay.

7 CHAIRMAN ALDERSON: Thank you.

8 DR. HOWE: Thank you. The next slide.  
9 Okay. In this particular case, there was an over-  
10 dosage where the patient received 157 percent of  
11 the prescribed dose, and how did this happen?  
12 Well, they failed to enter the correct activity  
13 proceed into the physics spreadsheet. They had a  
14 value from the previous calculation, and they  
15 didn't put the new value in. So they didn't  
16 perform an independent verification of the  
17 treatment data. The new action is a secondary hand  
18 calculation. They're required to use a blank  
19 spreadsheet, so they don't carry erroneous  
20 information from previous calculation. And they  
21 are going to have a verbal timeout to verify key  
22 parameters prior to treatment.

23 Next slide. This is an underdose, and  
24 they attributed the underdose to an 18-percent  
25 increase in the prostate size compared to the pre-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 plan and that the physician had planned to have a  
2 cooler coverage at one part of the prostate, and  
3 that led to an under-exposure. And it was  
4 discovered during a routine audit conducted by the  
5 medical physicist.

6 Next slide. They were prescribed  
7 14,500. They received 10,000 centigray. It was  
8 administered in December. It was discovered the  
9 next day. The second treatment was planned for the  
10 day after where they implanted eight more seeds,  
11 and they attributed it to post-operative swelling  
12 and seed migration. And they decided that a  
13 corrective action was to perform the post-implant  
14 imaging sooner than one day later to minimize the  
15 effect of swelling of the prostate gland and  
16 possible migration of seeds.

17 Next slide. In this one, they  
18 prescribed 1400, 1450 centigray, and they received  
19 10,353 centigray. They determined that they should  
20 order additional seeds beyond what the pre-plan  
21 requires. I think when they were inserting them,  
22 they realized they needed more coverage. And then  
23 they performed a post-implant x-ray and ultrasound  
24 to determine if and where additional seeds could be  
25 placed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   In the next slide, now we're moving on  
2                   to the 35.600, which are the HDRs, the teletherapy  
3                   and the Gamma Knife. And in this case, we have  
4                   seven gynecological HDR events, and we have one  
5                   Gamma Knife event. And the gynecological ones, we  
6                   had more patients than we had reports and also we  
7                   had most of our additional patients came with a  
8                   software issue. And we also had wrong site before,  
9                   equipment failure for one.

10                   So if we move to the next slide. This  
11                   was a generic software issue, and this is a generic  
12                   software issue that we shared with the FDA under  
13                   our NRC FDA MOU. In this particular case, we had  
14                   two licensees and we had a Part 20 report. And the  
15                   first licensee, there was a determination made that  
16                   the Oncentra software versions, both 4.5, 4.5.1,  
17                   and 4.5.2, had an issue with source step size when  
18                   you were using a ring.                   In this case, the  
19                   source step size of 5 millimeters was a default,  
20                   that if you put 2.5 millimeters in you were still  
21                   going to get 5 millimeters. And so licensees  
22                   weren't able to really see what was happening in  
23                   this case.

24                   So in the first case, we had four  
25                   patients. The dose to the unintended site ranged

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 from 2,800 centigrade to 1,400 centigray. The dose  
2 to the unintended site was expected to be between  
3 126 to 175 centigray per fraction. So we clearly  
4 have a medical event for the wrong treatment site.

5 Elekta notified software users of the  
6 problem with the ring. The initial software  
7 problem was identified overseas in France, and then  
8 Elekta put a notification out and this caused a  
9 number of licensees to go back and check to see if  
10 this was a problem for their particular patients  
11 and if they had used the ring.

12 On the next slide, this is our second  
13 licensee, and this particular licensee had five  
14 patients. It was a problem with the Oncentra  
15 software version 4.5.2. And it was, again, the  
16 software step size with a ring of 5 millimeters  
17 versus 2.5 millimeters. In this case, when you go  
18 5 millimeters, you may actually go back over and  
19 cover tissue that you had only intended to give one  
20 dwell time. And then you have doses to another  
21 side of the ring that you hadn't expected to give  
22 dose.

23 In this case, the treatment site  
24 received between, a reduction between 20 and 31  
25 percent of what the intended dose was. The

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 licensee did not calculate a dose to the unintended  
2 site. Some of the source paths extended beyond the  
3 planned end point and started on their turn path.  
4 And then some tissue protection was provided in the  
5 fluid-filled sleeve that provided some shielding in  
6 displacement, and the licensee said it was too  
7 complicated to provide dose to the unintended site.

8           Next slide. Now we've got four wrong  
9 sites. In the first one, we have a 5 centimeter  
10 site that received 500 centigray. The wrong  
11 software orientation was selected. This again was  
12 an Oncentra but for a different problem. In the  
13 treatment planning, you must chose if the treatment  
14 catheters are modeled from the tip or the connector  
15 end of the catheter. In this case, the licensee  
16 selected the tip end mode, which was incorrect.  
17 And they have corrective actions, provide  
18 additional training to personnel.

19           The next slide. In this case, they  
20 used a Capri applicator, and they inserted it into  
21 the patient's rectum instead of the vagina for the  
22 second of five fractions. It was interesting that  
23 they had to call on the radiologist to determine if  
24 they had put it in the wrong place. I think there  
25 would have been other indications.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   The treatment site received a  
2                   prescribed dose of, they claim that the treatment  
3                   site received the prescribed dose during the second  
4                   treatment. And then, as I indicated, the  
5                   radiologist had to confirm that the rectum had been  
6                   treated.

7                   Next slide. In this particular case,  
8                   the 5 centimeter site receiving 500 centigray  
9                   should be deleted. That's immaterial for this  
10                  case. There were two tandem treatments that were  
11                  delivered as prescribed, but then one of the  
12                  fractions, the incorrect tandem applicator length  
13                  was put in as 115 instead of 131. And so it went  
14                  to the wrong site.

15                 Next slide. Okay. So in this  
16                 particular case, the wrong site received 700  
17                 centigray. A wire was inserted in the length of  
18                 the -- the transfer guide-to was 7 2 centimeters  
19                 shorter than intended. They used a wire to make  
20                 sure that the applicator was in the correct place.  
21                 They pulled the wire out. There was a problem with  
22                 the transfer guide-to. And so when they went to  
23                 put the source in, it wouldn't fully insert and  
24                 they didn't realize that until afterwards. So  
25                 they're counseling the staff on the event.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   The next slide. This was an equipment  
2 failure. The patient received a very low  
3 percentage of the intended dose. There were five  
4 separate interlocks that tripped in the first  
5 fraction. So the licensee stopped the procedure  
6 and sent the patient home, and then what they found  
7 was that there was fluid in the catheter that may  
8 have contaminated the source in the afterloader  
9 unit, and they did a decontamination and then they  
10 were sending the source and the catheters off to  
11 the manufacturer for evaluation.

12                   The next slide. This is our Gamma  
13 Knife Model C. They were anticipating five shots.  
14 Three of them were delivered correctly. The couch  
15 retracted from the treatment physician due to  
16 clutch malfunction. The patient was released.  
17 They made the repairs, but the patient decided not  
18 to come back.

19                   Next slide. So we've just gone through  
20 about 50 percent of the events. The other 50  
21 percent are primarily yttrium-90 microspheres, and  
22 we did have one intervascular brachytherapy.

23                   So on the next slide. For the  
24 intervascular brachytherapy, the prescribed dose  
25 was to be given for in-stent re-stenosis and in two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 dwell positions. They were able to successfully  
2 give the first dwell position, but when they went  
3 to give the second, when they retracted the  
4 sources, the source got stuck in the train, in the  
5 source train, and they couldn't retract it into the  
6 afterloader. And they found out later that there  
7 was a deformation, 7.3 centimeters distal to the  
8 strain relief located outside the patient.

9           So there wasn't a treatment to the  
10 wrong treatment site, but the patient only got 50  
11 percent of the dose they were expected to get. And  
12 they attributed it to compression of the catheter  
13 during a challenging advancement into the commonly  
14 torturous vessel, the left internal mammary artery.

15           Next slide. So now we get to the bulk  
16 of the medical events, the yttrium-90 microspheres.  
17 And the first group we'll look at are the  
18 Theraspheres. And you'll see some pretty complex  
19 reasons for medical events here.

20           We've got 15 events. Three are  
21 overdoses. We don't normally see overdoses. We  
22 have two wrong sites. We have four kinked  
23 catheters. That seems to be continuing. And we  
24 have one cracked catheter. We have a partial  
25 obstruction, two leaking connections, slow

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           infusion, and reflux to other loads.

2                       Next slide.  So in this particular  
3           case, we have an overdose.  They used the wrong  
4           calibration date.  There was a -- there are two  
5           dates.  One is when you plan it and when you expect  
6           to give the material, and they used a much later  
7           calibration day than they should have.  They used a  
8           dose calibrator that should have tipped them off.  
9           They did not question the results.

10                      The written directive was not prepared,  
11           and it was not signed before the administration.  
12           And they had additional shunting to the lung with  
13           2,000 centigrade to the lung and the intended was  
14           500 centigrade.  And they have looked at the  
15           patient about six months later, and they haven't  
16           seen clinically-significant complications.

17                      And in this particular, the next  
18           overdose, they prescribed 35,000 centigrade.  They  
19           administered 80,000 centigrade.  They administered  
20           before the microspheres had decayed to the  
21           prescribed activity.  The scheduling nurse used the  
22           pre-treatment plan instead of the final treatment  
23           plan, and the physician's pre-treatment  
24           calculations in timeout failed.  So as I told you  
25           earlier in some of these things, many of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 corrective actions are a timeout. And in this  
2 particular case, and I have another one, the  
3 timeout failed to pick up the discrepancies.

4 Next slide. And to go into a little  
5 more detail on this particular one, the spreadsheet  
6 had calculated the patient dose and was modified.  
7 And when they checked the administrative vial for  
8 the calibrated activity date versus this is what  
9 they're going to do, they're going to do a  
10 spreadsheet to calculate the patient dose and  
11 they're going to modify that so that they look at  
12 the calibration activity versus the prescribed  
13 activity and the procedure date. And their timeout  
14 procedure was modified to confirm the proper  
15 activity prior to administration. So they're  
16 having to revise their timeout process.

17 Next slide. This is another overdose  
18 where they gave about twice as much as they needed.  
19 They considered it to be a human error in  
20 converting from gigabecquerels to millicuries, so  
21 their corrective action is to have a procedure  
22 modification, have written directive revisions, and  
23 software update to assist in the unit conversions.

24 Next slide. In this case, they were  
25 prescribed -- we're going to the wrong site. They

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1        were prescribed 6,000. They administered 4,860 to  
2        the left lobe, and then they delivered 3,600 to the  
3        right lobe. They claimed they had challenging  
4        anatomy. They had a narrow window just distal to  
5        the vascular supplying the right lobe. And so they  
6        believed the microspheres refluxed back into the  
7        right lobe.

8                    They verified catheter position because  
9        they knew they were in a very sensitive area that  
10       they could get back flow multiple times before the  
11       administration without any apparent complications  
12       they could see. But when they looked at their  
13       Bremsstrahlung images after the procedure, they  
14       realized that they had microspheres in both lobes.  
15       And they attributed it to movement of the catheter  
16       from some unnoticed patient movement, probably  
17       breathing, or angiographically undetected reflux  
18       caused by the difference in the flow dynamics of  
19       the microspheres, the contrasting agent, and the  
20       macro-aggregated albumin. So they are saying that  
21       we've got three different tests to see if we have  
22       it in the right place and where the back flow is  
23       going to be, and all of those tests have different  
24       parameters, so they may not be equivalent.

25                    Next slide. We have a wrong site

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       again. Two separate segments of the right lobe  
2       were supposed to receive 25 millicuries to the  
3       small segment and 64 millicuries to the large  
4       segment. They discovered that they only ordered 10  
5       millicuries for the large segment, so they had an  
6       error in ordering. And each dose needed a  
7       different calibration date. And then contrary to  
8       the vendor guidance, the licensee used one order  
9       sheet for the two doses with the one calibration  
10      date. So that led to problems in filling the  
11      order.

12                   On the next slide, we'll see that this  
13      was a very complicated licensee. They had several  
14      hand-offs. There was radiation oncology that  
15      determined how much activity, and the radiation  
16      oncologist was an authorized user. They did it in  
17      consultation with the interventional radiology  
18      authorized user. The physicist calculated certain  
19      things. The interventional radiologist did the  
20      ordering. And then when it came back, they also  
21      switched people again, so everybody signed off on  
22      things, but it was just too complicated a handoff,  
23      so there were many, many places where there could  
24      be errors.

25                   There was also inconsistency between

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the written directive, the order, and the assay  
2 data. And none of that was identified prior to  
3 patient treatment.

4 Next slide. They prescribed roughly 47  
5 millicuries to the left lobe, and they administered  
6 46 to the right lobe. In this case, the  
7 interventional radiologist and the radiation  
8 oncologist authorized users, both of them are  
9 authorized users, signed off on the planned  
10 activity for the left lobe and via the left hepatic  
11 artery, and then the authorized user completed the  
12 written directive. But the interventional  
13 radiologist, when he put the catheter in, he put it  
14 into the right hepatic artery instead of the left  
15 hepatic artery and so delivered the dose to the  
16 right lobe.

17 Next slide. So this is one of the  
18 cases that I told you about where the operating  
19 room timeout for all parties is now going to be  
20 looked at more closely to confirm the procedure and  
21 the treatment that was administered. So they re-  
22 modified their written directive timeout  
23 procedures.

24 Okay. Next slide. Now we have four  
25 kinking ones. They prescribed 146 millicuries.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 They administered 11. They had a slow injection.  
2 So this is a fairly routine activity and, through  
3 the slow injection, there was a dent in the outlook  
4 tubing from a pinch-clamp where they thought they  
5 had over-tightening of the Touhy-Borst Y adaptor,  
6 causing sedimentation of the microspheres in the  
7 delivery system.

8 They sent the catheter back to the  
9 manufacturer, and the manufacturer didn't observe  
10 any evidence of the Touhy fitting as being over-  
11 tight. And so they also saw there was a small mass  
12 of microspheres inside the dose vial, so they never  
13 got out of the dose vial, and within the outlet  
14 tubing. They did see locations with kinks, but  
15 they didn't see any fragments that came through.

16 The next slide. So I've got two cases  
17 here. One is prescribed 1,200, and they  
18 administered, 12,000, they administered 6,000.  
19 They had a kinked delivery catheter which  
20 prohibited the complete microsphere administration.  
21 In the second one, the residual, they prescribed 51  
22 millicuries and they administered 39. There was  
23 residual activity remaining in the delivery device,  
24 and they could visualize a kink at the hub of the  
25 catheter.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   Next slide. They prescribed 46  
2                   millicuries. They administered 20. They had two  
3                   separate liver segments. They had two different  
4                   procedures, and they got through the first one and  
5                   they made a measurement and they decided everything  
6                   was fine. But when they got to through second one,  
7                   the acrylic jar, which is the waste area that they  
8                   measure, contained 56 percent of the microspheres  
9                   intended for the patient's second liver segment.  
10                  So they looked at their protocols for dose  
11                  preparation and the box construction, the dose  
12                  administration, and all of those were followed.  
13                  They found minor resistance during the flush of the  
14                  stretched-out micro-catheter. They thought the  
15                  micro-catheter had a kink and that they would be  
16                  able to flush the contrast and saline through it,  
17                  but the microspheres might still clog it even  
18                  though they got saline and contrast flushed through  
19                  the kink.

20                  So next slide. We have a cracked  
21                  catheter. In this case, they had two doses with a  
22                  total of activity of 54 millicuries to the right  
23                  and left lobes. They only administered 21. The  
24                  first dose and the second administration through  
25                  the radial artery of the left hand using the first

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and second were both through the radial artery, and  
2 this is the first time we've had a micro-catheter  
3 identified as a Marksman. Most of the others  
4 either haven't been identified or have been  
5 identified by a different brand name.

6 So the post-radiation surveys, both in  
7 the microsphere vial, ended up with 5 mR per hour.  
8 The first one, the authorized user assumed that it  
9 was contamination from a cloth, but when he saw the  
10 second 5 mR per hour on the second procedure, he  
11 realized that they had two under-doses. So they  
12 did a visual inspection of the micro-catheter and  
13 it revealed a crack, and the crack was determined  
14 to be the cause of the event.

15 Next slide. In this one, we have a  
16 partial obstruction. So they were prescribing 47  
17 millicuries, they administered 13. They believe  
18 the treatment went as planned. They didn't believe  
19 there were any issues with the flow before the  
20 administration. There was no increased resistance,  
21 and they could flush the line post-administration.  
22 But then they discovered when they surveyed the  
23 waste and were performing the dose assessment that  
24 less than half of the microspheres went into the  
25 patient.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Next slide. So this is a continuation  
2 of it. They thought there was a partial  
3 obstruction in the catheter where the line  
4 connecting the microsphere vial to the catheter and  
5 they thought the vascular was complicated and may  
6 have resulted in movement of the micro-catheter  
7 slightly forward from the initial placement. But  
8 they also identified they had an unusual amount of  
9 saline in the overflow vial, so that should have  
10 given them a hint that the procedure was not going  
11 as intended.

12                   Next slide. Leaking catheter  
13 connection. So they were prescribing 11  
14 millicuries and administering 8. And they had  
15 liquid leaking from the connector between the e-  
16 line, and they give different letters for the  
17 different lines within the microsphere delivery box  
18 so that they know which ones to connect to which  
19 area and what the flow is going to be. And so this  
20 particular e-line and the catheter that was going  
21 into the patient, there was a leak. They stopped  
22 the treatment. They started decontamination. They  
23 didn't think there was very much dose to the skin.  
24 And the incident was due to human error and the  
25 poor connection between the e-line and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patient's catheter.

2                   And on the next slide, so, once again,  
3 we have a leaking catheter. They were supposed to  
4 give 25 millicuries. They only gave about 9. And  
5 it occurred while connecting the infusion line from  
6 the microsphere vial to the micro-catheter. And  
7 the problem was that the physician simultaneously  
8 unclamped the administration line while trying to  
9 connect it to the micro-catheter. So the physician  
10 assumed that the leaking fluid was only saline and  
11 proceeded with the administration. They found out  
12 later that there was an area of contamination from  
13 the leaking catheter.

14                   Next slide. Now I have one case of a  
15 slow injection rate. As I move into the  
16 SirSpheres, you'll see a lot of cases with a slow  
17 injection rate or slow activity, a low activity.  
18 So they prescribed 175 millicuries. They  
19 administered 43. They had a slow injection rate  
20 because they were worried about reflux into  
21 adjacent gastric artery that they could not  
22 embolize. And then they completed the  
23 administration, they did their flushes, they  
24 verified the digital dosimetry was reading zero,  
25 indicated all the microspheres had left the vial,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 but the microspheres had collected in the catheter  
2 outside the patient.

3 Next slide. Another slow injection  
4 rate. Oh, this is continued. Okay. So they had  
5 external experts that they talked to, and they  
6 thought that a slow injection rate could result in  
7 an event like this, and the RSO identified the  
8 catheter backed up as another slow injection rate,  
9 on another slow injection rate administration.

10 So the next slide, we're moving into  
11 the SirSpheres. They had eight medical events, a  
12 label vial shield, not the vial; a low activity  
13 administration, three of those; and a high-activity  
14 clogging, one of those; and then they had other  
15 clogging issues with the needle and catheter and a  
16 kink.

17 So proceed to next slide. So they  
18 prescribed, and these are the low activity ones,  
19 and they prescribed only 2 millicuries to a small  
20 lesion and then 20 millicuries for a large lesion.  
21 And so they prepared two vials. They labeled each  
22 vial shield, but they didn't label the vials. So  
23 they provided the wrong vial for the wrong  
24 treatment. They provided the large activity for  
25 the small lesion and they didn't realize it until

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they went to do the large lesion and only had a  
2 small activity.

3 So they're going to require timeouts.  
4 They're going to label both the vial and the vial  
5 shield. They're going to read the labels three  
6 times before administration.

7 Next slide. In this one, there's a  
8 low-activity administration where they're giving,  
9 and there are three of these cases where they're  
10 giving 6 millicuries to two segments, and they only  
11 administered 4.4. The activity was in the residual  
12 waste. Stasis wasn't reached, and there are  
13 procedure modifications.

14 There are going to be four  
15 modifications. There are going to be written  
16 directives adjusted to tighten up the dose to match  
17 100 percent of prescribed dose. And they're  
18 committed to have the AMP physician -- this one  
19 didn't make any sense -- the AMP physician, I think  
20 that should have been "and physician," present to  
21 observe low-activity administrations.

22 So the next slide. They prescribed 5  
23 millicuries. They administered 4. The cause of  
24 the event was the amount of, they believe the cause  
25 of the event was the amount of activity delivered,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that the relatively low prescribed dose made the  
2 residue look relatively large. And they are going  
3 to have another doctor supervise the remainder of  
4 the administrating doctor's cases. Now, this one  
5 made no sense because that should have already been  
6 part of the requirement for obtaining authorized  
7 user status, so it sounds, if they would have a  
8 physician in training, but he would have to be  
9 supervised for the rest of the tests anyway.

10 Next slide. Another low-activity one.  
11 They prescribed 4, and they administered 3. The  
12 radiation survey revealed that the residual  
13 activity of 1 millicurie remained in the treatment  
14 device. And they believe using small doses carried  
15 out with greater scrutiny and review.

16 Next slide. And now we've got a  
17 higher-activity clogging, and they prescribed 84  
18 millicuries and they administered 59. They thought  
19 the tubing became clogged because the entire  
20 activity couldn't be administered, and they  
21 attributed it to the large dose of microspheres  
22 increasing the amount of microspheres in the system  
23 and clogging the micro-catheter.

24 Next slide. Clogged needle. They  
25 prescribed 32, and they administered 8 millicuries.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 There was an occlusion of the vial delivery C  
2 needle due to clumping of the microspheres. They  
3 intended to return the apparatus to the  
4 manufacturer, but they discarded it, so it didn't  
5 get evaluated. And the manufacturer believed that  
6 educating the administrator on the microspheres and  
7 how to clear the clogged needle was all that was  
8 needed, so they provided training on reversing the  
9 valve for flush purposes to unclog it.

10 The next slide. So in this one, they  
11 were prescribing 12 millicuries. They administered  
12 5. The AU and the interventional radiologist  
13 noticed strong resistance. The micro-catheter was  
14 pulled from the patient and a very small defect was  
15 observed. The cause of the microsphere blockage  
16 was a defect in the micro-catheter, and this one  
17 was reported to FDA under the MedWatch program.

18 Next slide. We have a kinked catheter,  
19 and they were prescribing 40 millicuries. They  
20 administered half of that, 21 millicuries. They  
21 think the patient inhaled deeply and created a kink  
22 in the catheter. The first three or four aliquots  
23 were delivered before the plunger met resistance.  
24 The kinked catheter was confirmed by PET/CT imaging  
25 of the administration set and vial.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   Next slide. And we have completed all  
2                   43 of the medical events.

3                   CHAIRMAN ALDERSON: Thank you, Dr.  
4                   Howe, for that very complete report. I also want  
5                   to thank you for giving us, as we had asked for it  
6                   sometime ago, the year by trending of all these  
7                   medical events. I think it's very useful to see  
8                   that. I hope that will continue.

9                   We are over time now, but I will point  
10                  out that most of that isn't because of Dr. Howe's  
11                  report. It's because we started late this morning.  
12                  So are there additional questions for Dr. Howe  
13                  before the next item, which will be taking a 15-  
14                  minute break. Yes?

15                 VICE CHAIRMAN ZANZONICO: Pat  
16                 Zanzonico. There were two in the 35.400, these  
17                 were the brachy implants, where one was attributed  
18                 to patient anatomy and the other was, I guess, an  
19                 under-dosing due to swelling and seed migration.  
20                 Those kind of strike me as patient intervention. I  
21                 mean, if everything were done correctly in terms of  
22                 planning and delivery of the treatment and then  
23                 subsequently happened within the patient beyond the  
24                 control of the physician, of the treating team,  
25                 that sort of strikes me more as patient

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 intervention, rather than a misadministration or a  
2 medical event rather.

3 DR. HOWE: Well, our current definition  
4 of patient intervention would not include those as  
5 patient intervention. But the new revision of Part  
6 35 would probably do a different evaluation of the  
7 events, and they may or may not be a medical event  
8 based on the new criteria.

9 I would like to point out that we do  
10 get the NMED cases and we present them to you. But  
11 you'll actually get a thicker document that has  
12 each one of these in it. I have included an area  
13 in the back which are not medical events. They're  
14 the ones that came up when I searched NMED as  
15 medical events, but they don't meet the NRC  
16 criteria. And I've also included the Part 21  
17 report for the Elekta software issue in there, too,  
18 for your information. Yes, Richard?

19 MR. GREEN: So there are 23 Y-90  
20 microsphere events. One brand had 15 events, the  
21 other brand had 8. Do you attribute that to  
22 physician preference or market share, or is there a  
23 quality defect rate that you can attribute to one  
24 or the other?

25 DR. HOWE: No. We noticed that when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       you have Y-90 medical events it almost seems as if  
2       the word gets out to one manufacturer that we're  
3       having issues with a certain area. So the next  
4       year, if we don't see very many for that  
5       manufacturer but we see more for the other, so they  
6       seem to trade back and forth. I'm not, I don't  
7       have information on the division between which  
8       manufacturer has which share of the market, but the  
9       devices are very different because of the small  
10      size of the Theraspheres and the larger size of the  
11      SirSpheres.

12                We are getting more complicated medical  
13      events on the Theraspheres. We're still seeing  
14      simple medical events for the Theraspheres that  
15      just don't seem to go away.

16                MR. BOLLOCK: This is Doug Bollock. I  
17      can add a little bit to that. So looking back over  
18      about 10 years, it does, as Donna said, it goes  
19      back and forth between the two. And we haven't  
20      seen a trend necessarily negative from one or the  
21      other or because of any design or any practice  
22      preference. We haven't seen anything with that  
23      when you look for ten years.

24                MR. OUHIB: Just a general comment  
25      here. Looking at these, you see that things are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 repeating again and again. We go back through  
2 iodine-131 and you look at the microspheres, you'll  
3 look at other -- and it seemed like, obviously, we  
4 can report these, but if institutions or  
5 manufacturers are not learning and providing some  
6 action to actually avoid from repeating these,  
7 we're not doing the right job in my opinion.

8 So I guess I can probably do a survey,  
9 and I'm not really sure how many institutions have  
10 actually gone on the NRC website to look and learn  
11 from past events. We talk about learning from  
12 others' unfortunate scenario, but I'm not really  
13 sure if that's really happening.

14 So I guess I'm not sure who would be  
15 sort of the leader in this. Would it be the  
16 regulation, would it be the manufacturer or  
17 somebody else, to actually create a good summary  
18 that will reach every single institution. This is  
19 what has actually happened, and this is, which is  
20 more important, here's how you can actually avoid  
21 that scenario.

22 I mean, I look at the iodine-131  
23 scenario, I remember looking at 10 - 12 years  
24 medical event, 2000 to 2012, and not seeing that  
25 happen. And I'm looking at it in 2017 and I'm

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       like, wait a minute, something is not right here,  
2       we've got to do something. You look at the  
3       microspheres, treating the wrong site, we've seen  
4       it before. It has been reported before.

5                So I think something has to be done in  
6       order to avoid that. And that's just my opinion.

7                DR. HOWE: And I think one of the  
8       things that you have to consider here is, one, we  
9       get very few medical events compared to all of the  
10      treatment. So most treatments appear to be going  
11      okay. The second thing is most of our medical  
12      events are caused by human error, people not paying  
13      attention. When we did the written directive  
14      requirements, at that point we called it the  
15      quality management rule back in 1992, we really  
16      attacked human error. We said you need to identify  
17      the patient, and you need to make sure that what  
18      you wrote down is what's ordered and that you need  
19      to make sure that what you ordered and what you  
20      wrote down is what's administered.

21      And then we put in 35.41, and we said you have to  
22      have a program to assure with high confidence that  
23      you've administered what you had in the written  
24      directive.

25                So we've tried to attack those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 elements, and most of them are human error. But  
2 one of the things that we're doing with the  
3 revision to Part 35 is we're now making licensees  
4 determine if they have a medical event. And we  
5 think that will focus them more on, okay, I wrote  
6 this, I gave it, oh, now I've got to go back and  
7 look again and see if I gave them my intended and  
8 see if there are errors in it.

9           And then every once in a while we'll  
10 get a rash of these things, and if we get a rash of  
11 them we will send out an information notice, and  
12 the information notice goes to all of our NRC  
13 licensees and goes to the agreement states and  
14 they're asked to share them with their licensees.  
15 So we try to do that, but many of these cases are  
16 onsie-twosies over time. I mean, we can try to  
17 keep telling people pay attention.

18           CHAIRMAN ALDERSON: Dr. Ennis has a  
19 comment.

20           MEMBER ENNIS: Just following up on  
21 Zoubir's comment, I think he's right, there would  
22 be something advantageous about closing the loop a  
23 little bit more. So you alluded that the NRC, from  
24 time to time, will send out an information notice.  
25 Would it be worthwhile at the conclusion of your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 kind of annual report that we come up with some or  
2 you come up with some kind of summary of the themes  
3 of the year or the themes of the last five years  
4 that would go out as information to everyone, look  
5 out for clogging tubing, cracking kind of things  
6 because we consistently see that and it's not going  
7 down. Look out for prostate bulb, penile bulb is  
8 not prostate, we've seen that repeatedly. If you  
9 could, maybe just alert people to the themes and  
10 what to look for or, you know, you've alluded to a  
11 theme, the checklist isn't the whole solution and,  
12 you know, you've got to do it right or whatever.

13 So perhaps that would help close the  
14 loop. You have a mechanism potentially and just  
15 let everyone know what you think the themes are for  
16 the last year or last few years as an alert.

17 MR. OUHIB: If I could just add to  
18 that, I think the most important thing, which is  
19 lacking sometimes in these reports, is corrective  
20 action. You look at the reports and it says  
21 corrective actions were implemented. Well, what  
22 are they? What was done to avoid that particular  
23 problem? It would be beneficial for people to  
24 learn from that also. I think that has a value.

25 DR. HOWE: And what we see on a lot of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 corrective actions is retraining the staff. You  
2 retrain the staff, you retrain the staff, you  
3 retrain the staff. It doesn't seem to be  
4 effective. So we do see the same corrective  
5 actions over and over again.

6 Now we're seeing, because there's more  
7 focus everywhere in medicine about timeouts, we're  
8 seeing corrective action, timeout, timeout,  
9 timeout. And we're seeing that some of these  
10 timeouts, because the licensee has such a  
11 complicated system of passing off to the radiation  
12 oncologist to the interventional radiologist, and  
13 both of them are authorized users, and back and  
14 forth and back and forth.

15 MR. OUHIB: Yes, and I can think of  
16 these timeouts. There's a timeout pre-procedure,  
17 and there is a -- and it should be, and I hate to  
18 say it because this might sound redundant, but  
19 there's another final timeout source launching sort  
20 of like before. And I'll give an example of the  
21 case of the rectum, you know, vagina versus rectum.  
22 I'll give you the example of right versus left  
23 lobe. There is a final timeout, okay, we are  
24 treating this. It's sort of like just a site, per  
25 se. We are treating this. That would have been,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 no, we're treating the left lobe, we're not doing  
2 the right lobe and, no, we're treating, you know,  
3 the vaginal cuff, not the rectum or whatever.

4 You know, it's like, it's sort of, you  
5 know, because in the preliminary timeout, this is  
6 the patient, this is case number two, this is  
7 fraction number whatever, this is this, this is  
8 this, and all of that. But there is, I mean, event  
9 thought they might mention the site prior to  
10 launching the source, someone might say wait a  
11 minute, you know, the only person who knows is the  
12 interventional cardiologist who is looking at the  
13 image and probably the radiation oncologist and can  
14 say, turn around and say wait a minute, I'm putting  
15 it in the right lobe, what are you talking about  
16 left lobe here, whatever. And then that, at least  
17 the source has not engaged yet. Just a thought.

18 CHAIRMAN ALDERSON: So as we are  
19 getting further behind time and because the next  
20 discussion after the break has to do with the  
21 safety culture of this issue, I would suggest that  
22 perhaps we defer those discussions which are part  
23 of the feedback until we get in there. Vasken has  
24 a brief comment.

25 MEMBER DILSIZIAN: Just one comment. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think that, based on this discussion, I agree that  
2 there should be an educational component. And we  
3 did mention that part of the role of ACMUI and NRC  
4 is to scientific sessions. I would recommend that  
5 to have recommendations how to catch these and how  
6 to prevent it. I think that we are describing  
7 right now but what we're not doing is the next  
8 step. And I agree with Zoubir that we should  
9 probably take that --

10 CHAIRMAN ALDERSON: So we should bring  
11 that up during this next discussion. Are there any  
12 other items right now? Hearing none, thank you,  
13 Dr. Howe. We'll use that clock on the wall. We're  
14 supposed to have a 15-minute break, so we'll  
15 reconvene just prior, a couple of minutes prior to  
16 11. Thank you.

17 (Whereupon, the above-entitled matter  
18 went off the record at 10:40 a.m. and resumed at  
19 10:56 a.m.)

20 MR. BOLLOCK: Okay, so, I'm going to be  
21 going through our Staff Response to ACMUI Safety  
22 Culture Recommendations from your Subcommittee  
23 Report that we received last year.

24 I'm just going to quickly go through  
25 your recommendations from your report and then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 provide our staff responses.

2 So, on September 11th of last year,  
3 ACMUI unanimously approved the ACMUI report,  
4 "Medical Event Reporting and Impact on Medical  
5 Licensee Patient Safety Culture" which included the  
6 recommendations.

7 So, those recommendations included that  
8 the NRC establish a program allowing medical use  
9 licensees to evaluate medical events as described  
10 in 10 CFR 35.3045 and also in 10 CFR 35.1000  
11 Licensing Guidance and 10 CFR 35.3047 with an  
12 approved patient safety program.

13 They also recommended the NRC licensees  
14 with an NRC approved patient safety program  
15 continue to report medical events as required with  
16 the following conditions.

17 That the NRC will not allow this event  
18 notification in the event notification report  
19 posted on its website, so not making it publically  
20 available.

21 But, the NRC will conduct -- will not  
22 conduct a reactive inspection on medical events  
23 unless the event results or will result in death,  
24 untended permanent harm or unintended significant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 temporary harm for which medical intervention was  
2 or will be required to alleviate the harm or reduce  
3 radiation effects.

4 The other condition was that medical  
5 use licensee will write a report available for the  
6 next NRC inspection describing the event, cause and  
7 corrective action taken.

8 And, the NRC will develop with ACMUI  
9 advice, new temporary inspection procedures for NRC  
10 review, licensee patient safety event reports and  
11 will evaluate with ACMUI advice the need to change  
12 enforcement manual procedures regarding medical  
13 events that support the tests of this program.

14 ACMUI also in the report also  
15 recommended the NRC should test out this program  
16 with a number of sites and duration to be  
17 determined at a later date, evaluate medical events  
18 reported or medical events reports with the ACMUI.

19 And, during this test period, the NRC  
20 with the advice of ACMUI should do the following.

21 Develop minimum criteria for patient  
22 safety program reviews, assess how this change to  
23 medical event reporting impacts the NRC's ability  
24 to protect patient health and to minimize dangers

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to the patient's life and to evaluate the different  
2 types of patient safety programs and how lessons  
3 learned from the patient safety incident reviews  
4 are shared with the medical community.

5 The ACMUI report also recommended that  
6 after the completion of the test year, NRC should  
7 consider opening programs at NRC to all NRC medical  
8 use licensees who request approval of their patient  
9 safety program and to agree with States who request  
10 to implement the program with their medical  
11 licensees.

12 They also recommend the NRC define its  
13 -- redefine its perspective of patient safety to be  
14 different from occupational safety and from public  
15 safety.

16 It also recommended the NRC partner  
17 with the Department of Health and Human Services,  
18 specifically, the Agency of Healthcare and Research  
19 and Quality and the ACMUI to develop a national  
20 database taxonomy specific for reporting patient  
21 events involving medical use of byproduct material.

22 And also, that the NRC -- they  
23 recommend the NRC update its medical use policy  
24 statement in 10 CFR Part 35 event reporting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 regulations for patient safety programs to verify  
2 the active involvement of the licensee's patient  
3 safety program review of medical errors and  
4 reporting of reviews to the National Patient Safety  
5 database.

6 So, there's a lot in that report.  
7 Again, the report was unanimously endorsed by the  
8 ACMUI. So, it was a lot of us to swallow and we've  
9 -- so, it was a lot for us to look over and to  
10 consider.

11 And, in our considerations, our  
12 responses, we looked at and are these following  
13 this recommendation or are they meeting the purpose  
14 of medical event reporting and what limitations we  
15 would have or are there to conducting a pilot  
16 program utilizing the patient safety organizations.

17 And, what we'd have to do in changing  
18 our criteria for NRC reactive inspection.

19 So, the -- again, we're looking at the  
20 purpose of medical event reporting, which the  
21 Commission established in 1980 back when medical  
22 events were part of the administrations, but still  
23 applies with medical events today.

24 The purposes required or forced the NRC

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to identify the causes in order to correct them and  
2 prevent their recurrence.

3 The Commission was able to notify other  
4 licensees of their possibility they could become --  
5 they could make the same errors. So, looking at  
6 generic issues.

7 We feel we are -- staff is not sold,  
8 but the patient safety organizations presently meet  
9 that completely. And, again, I understand the  
10 recommendations are that we would have to look into  
11 that. But, right now, from the information we  
12 have, they don't fully meet our -- the purpose of  
13 the medical event reporting.

14 Our medical events allows the NRC to  
15 follow up on incidents in terms if other licensees  
16 might be making the same or similar mistakes or  
17 experiencing some of the same or similar  
18 challenges.

19 When we identify similarities in the  
20 problems reported from multiple facilities, we  
21 provide information that may help prevent  
22 additional incidents.

23 Some of the things that Donna Beth had  
24 mentioned through generic communications and other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 -- and just the fact that our events are publically  
2 available, shared on the public website.

3 And, the information collected by the  
4 NRC is valuable in assessing trends and patterns,  
5 identify generic issues and generic concerns and  
6 recognize any inadequacies or unreliability of  
7 specific equipment or procedures.

8 This could -- this goes to -- it's a  
9 good segue into what Zoubir was talking about and  
10 Dr. Ennis was bringing up about looking for those  
11 hot topics for the year.

12 We actually do that on an annual basis.  
13 So, we, each year, we report to the Commission  
14 during an Agency Action Review meeting we call it,  
15 but in that meeting, we look at all materials  
16 events. But it is -- and the report from NMED.

17 There's so -- NMED runs the annual  
18 report which is publically available. And, as part  
19 of that, they do break it down to medical events  
20 and they look at trends for the past ten years and  
21 look -- and it will -- through that, we may be able  
22 to identify any specific trends or patterns that we  
23 may feel show a negative performance by licensees.

24 We also look for -- we will look at any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 major negative performance by licensees and they  
2 report specifically to the Commission on that.  
3 That is rare for medical events, so I cannot recall  
4 when we've done a briefing of the Commission on  
5 that.

6           However, we do, if we feel that or have  
7 some indications that there may be a negative trend  
8 or some sort of pattern or trend, we can take a  
9 deeper dive and do a case study.

10           So, we ask the INL folks that control  
11 the NMED database to do a specific look at one type  
12 of either modality or specific type of material  
13 events or medical events.

14           And, in fact, we just did one this year  
15 on Y-90 looking at the tracks and trends and  
16 Yttrium-90 events.

17           And so, we do have a good idea of what  
18 has been happening over the past ten years, if we  
19 are seeing any significant issues with them.

20           And, to go to some of the points with  
21 the Yttrium-90 events, the number of events have  
22 stayed at relatively -- have been about the same,  
23 in the 20s over the past 10 years.

24           But, we do know that the rate of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 incidents of the -- there is an increase in the use  
2 of Y-90, so the rate of incidents is very low and  
3 is actually slightly going down. And, that's using  
4 -- we do have some information directly from the  
5 manufacturers of microspheres to get that to kind  
6 of give us that other information.

7 So, we do know we have -- there's,  
8 again, the low rate of incidents. The low rate of  
9 incidents for all medical events is actually a  
10 factor in looking at the hot topics.

11 So, we do absolutely look for the hot  
12 topics. Unfortunately, when there's one, you know,  
13 we see something once one year and then maybe one  
14 another year or two later out of the 100,000,  
15 150,000 cases where therapy -- for therapeutic  
16 uses. It's such a low rate of incidents, we may or  
17 may not share that in an information notice or take  
18 other actions. So, we do take that all into  
19 consideration.

20 And, that's one of the main factors is  
21 why you don't see information notices come out  
22 every few months or every couple of years from us  
23 necessarily, because just the low rate of incidents  
24 is a factor.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN ALDERSON:  So, do Dr. Ennis or  
2                   Dr. Dilsizian wish to comment?  I mean, your  
3                   comments previously just a few minutes ago were to  
4                   communicate more and get things back out to the  
5                   user community in such a way that they were  
6                   energized or educated by it.

7                   And so, now, we're hearing that the low  
8                   frequency of events is generally what has not, you  
9                   know, has caused that not to really happen.

10                  But, what do you think?  Would your  
11                  sort of communications still be useful even in a  
12                  situation where the events are only occurring on a  
13                  low, but repeated frequency?

14                  MEMBER DILSIZIAN:  Yes, I mean, it's,  
15                  you know, I think there's been studies done on this  
16                  at that human error factor of doing something  
17                  complicated is about 3 percent.

18                  And, if you look at our event rates,  
19                  certainly less than 1 percent.

20                  So, you could make the argument that  
21                  we're doing great compared to others.  But, the  
22                  potential negative outcome of even a less than 1  
23                  percent event is great in medicine compared to some  
24                  tasks that are, you could say, you know, working on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a car engine.

2 And so, I still think that, even though  
3 it's low, what the whole scientific community  
4 meetings that we attend, and if it's going to be a  
5 special session on NRC, for example, if I'm in the  
6 audience, besides learning what the rules and  
7 regulations and the changes, I would be really  
8 interested to know what kind of medical events  
9 occurred that's particular to, let's say, nuclear  
10 medicine or radiation oncology.

11 And, what are the root causes and how  
12 it could be prevented?

13 I think, to me, that would be something  
14 I would like to hear, even though it's a small  
15 percentage.

16 MR. BOLLOCK: Yes, and we appreciate  
17 that. And, we have listened to -- ACMUI was a big  
18 part of why we do post both Dr. Howe's reports on  
19 our public website and then Dr. Ennis's  
20 Subcommittee group when he does another review that  
21 we'll hear in the fall on the medical events.

22 We do post those -- the slides on the  
23 public website.

24 Also, going back to something that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Sophie had brought up in the opening comments about  
2 our discussion with the Agreement States and your  
3 recommendation to keep that open with getting that  
4 good information from them that's from the events  
5 and getting the root cause.

6 As Mr. Ouhib had also mentioned  
7 earlier, you know, we do, you know, we agree with  
8 you and we appreciate that feedback. And, we do  
9 agree that, you know, it takes the better  
10 information we have, the better we can respond to  
11 it and the better our communications out to the  
12 regulated community, I mean, this is, you know, the  
13 NRC 100 percent agrees there, you know, that is a  
14 good thing.

15 And, we'll continue to work to do that  
16 as best we can. So, we do appreciate those  
17 insights and the feedback from you all.

18 And, just because I say the incidents  
19 of rates are low doesn't mean we're not continuing  
20 to do this on an annual basis. We look for these,  
21 when we do see significant trends, we do take  
22 action.

23 I was actually just thinking from two  
24 years ago, the Committee recommended that we put

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 out an information notice on the kinking for the  
2 catheter kinking and tubing kinking for Y-90.

3 And, we did actually take a look at it  
4 two years ago and there wasn't necessarily a trend.  
5 However, you know, what, six events last year. So,  
6 maybe we need to take another look.

7 And so, we do continually do that. And  
8 so, we, you know, we are aligned and I think the  
9 NRC is in alignment with that and we do strive to  
10 pass on that good information where we can.

11 CHAIRMAN ALDERSON: You want to  
12 comment, Dr. Ennis?

13 MEMBER ENNIS: I want to just say, I  
14 think that even low rates, if they're steady and  
15 they're not declining, is a reason to try and  
16 further the education. And, whether that's  
17 presenting at annual meetings that you're invited  
18 to or whether it's sending out a special thing.

19 I do think it's great to publically  
20 boast Dr. Howe and ACMUI's alternating six month  
21 reports, but how many people actually are going to  
22 do -- going to look? You know, everyone's really  
23 busy.

24 But, a blast from NRC gets more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 attention. So, it's just another way beyond just  
2 reporting it there to get it out.

3 And, you know, although the rates are  
4 low, we're seeing recurring themes over years and  
5 they're not going away as severe kind of a lot.

6 And so, I think there's room for  
7 additional education that would be helpful.

8 I also, you know, as we talked about  
9 before, I have some concerns about how accurate the  
10 rates exactly are and whether there's more events  
11 that are flying under the radar, so there may be  
12 more going on.

13 MR. BOLLOCK: Yes, we appreciate that.  
14 And, we recognize that's one of the -- kind of a  
15 theme of the ACMUI's report last fall on this.

16 We haven't seen that. We don't know  
17 what we don't know, and we recognize that we also  
18 can't take action on things we don't know.

19 And, you know, we have to, you know,  
20 between the NRC and the Agreement States, we do go  
21 out and inspect licensees periodically and ensure  
22 that they have a program and, you know, understand  
23 the regulations of the NRC or the state specific  
24 regulations and report as required.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: Mr. Ouhib?

2 MR. OUHIB: Yes, I guess in addition  
3 and, forgive me for not being a full member, I  
4 can't really make a motion here, but it would be  
5 desirable to have a group of people perhaps looking  
6 at these events and probably submit some sort of  
7 recommendation.

8 They're not rules, they're simply  
9 recommendations based on what we're seeing this  
10 year.

11 Here's what we recommend users to look  
12 at, pay attention, correct, whatever, if they have  
13 not done it already at their institution.

14 I think that would be beneficial sort  
15 of like because you're not just presenting the  
16 problem, you're presenting some ideas there how you  
17 can avoid these type things.

18 And then, welcome any others to actually  
19 submit to the group. Says, if you have any other  
20 recommendations, please send them to us and have  
21 somebody who is going to collect that information.  
22 And, we'll just revise that and put it out that at  
23 some point.

24 CHAIRMAN ALDERSON: Dr. Ennis has a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 comment and then Sophie Holiday has a comment.

2 MEMBER ENNIS: I would just ask, I mean,  
3 the Subcommittee that I've been running to report on  
4 any events. We could take on an addition charge to  
5 give recommendations to NRC about things that we  
6 think might need some additional education if NRC  
7 would welcome and want that.

8 MR. BOLLOCK: Yes, absolutely, we would  
9 welcome that.

10 And, Sophie, if you have anything else  
11 to add?

12 MS. HOLIDAY: Yes, actually, this is  
13 exactly what I was planning to make the comment  
14 about with Zoubir's request, this is something that  
15 is totally within the scope for the Medical Event  
16 Subcommittee to do.

17 I know a lot of the membership has  
18 changed, but you may recall that, as a result of one  
19 of the -- of one year, the Medical Event  
20 Subcommittee had identified that there were a  
21 significant number of Yttrium-90 microspheres  
22 medical events that were reported.

23 So, as a result of that, a separate  
24 subcommittee was created to specifically review

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 those medical events.

2 So, absolutely, if the Subcommittee  
3 wants to review and make remarks about how they  
4 think there could be improvements or that they have  
5 noted, you know, some specific errors or trends with  
6 medical events, that is all within the discretion of  
7 the Subcommittee to do.

8 And, in fact, this is something that NRC  
9 and the greater medical community will benefit from.  
10 And, because you are the medical experts, the  
11 physicians and the physicists, this is something  
12 that you are doing every day and we do not have that  
13 specific knowledge and expertise, which is the whole  
14 crux of having this advisory committee.

15 So, this is who you can benefit and  
16 advise the NRC staff so that we can ultimately  
17 inform our policies, procedures and regulations for  
18 the medical use of byproduct materials.

19 CHAIRMAN ALDERSON: Yes, Dr. Howe?

20 DR. HOWE: I want to support what Sophie  
21 just said. Our original intent when I give the  
22 medical event report is to provide you with an  
23 organized view of how medical events occur during  
24 the year.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And, our hope is that when you do the  
2           fall medical event presentation, you will take it  
3           and maybe cut in a different direction and come up  
4           with what you think is important out of these events  
5           that I'm presenting to you.

6           I'm giving them to you by modality  
7           because they come in by date and it's very hard to  
8           see any trends that way. So, I do modality.

9           You may decide that, based on cutting  
10          across the modalities, it's time to give something  
11          about the time out, whether the time out appears  
12          effective or not based on what we're seeing in  
13          medical events.

14          But, that's our hope is that, in the  
15          fall meeting, your group will take something -- take  
16          what I presented to you in an organized fashion just  
17          by modality and come up with something that is from  
18          your perspective and different from what I've done.

19                   CHAIRMAN ALDERSON: We have a comment  
20                   from the audience here.

21                   MS. TOMLINSON: Yes, sure, Cindy  
22                   Tomlinson from ASTRO.

23                   So, as you know, ASTRO and the AAPM  
24                   sponsored the radiation oncology instant learning

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 system which is part of the PSO. So, on behalf of  
2 both organizations, we are happy to come in and talk  
3 to staff.

4 More specifically, I know we've done a  
5 couple of presentations on RO-ILS, but to come in  
6 and really talk about the nitty-gritty of PSOs and  
7 of what the information that is within RO-ILS and  
8 how maybe that could be beneficial to you.

9 So, feel free to reach out to me and we  
10 can make that happen.

11 MR. BOLLOCK: Thank you.

12 CHAIRMAN ALDERSON: I think that when  
13 you think about this idea, and I would -- I'd like  
14 to suggest, Ron, that your Subcommittee do take this  
15 up and bring it back for the fall agenda.

16 I hope, Zoubir, that you're on that  
17 Committee. I don't know the Committee, but if  
18 you're not, you're appointed.

19 (Laughter.)

20 CHAIRMAN ALDERSON: Because, and I'm  
21 going to use a generic term here, but, if you could  
22 figure out a good natured way, I mean, we're talking  
23 about safety culture which is a positive,  
24 affirmative culture based on the fact that some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 things didn't go well.

2 A good natured educational way to get  
3 information out that would get to the user  
4 community, this is not easily solved when you think  
5 about -- but, of course, we've got the internet and  
6 we've got emails.

7 And so, it doesn't have to cost a lot.  
8 It can totally be an electronic communication. And,  
9 if it came out periodically, and just, and I  
10 wouldn't call it hot topics. I mean, it'll make for  
11 so many jokes, you know.

12 Anyway, but, you know, get it out so  
13 that the people can hear that, well, these events  
14 are uncommon, but when administering microspheres, a  
15 kinked catheter is a frequent recurrent --  
16 frequently recurs as one of the problems.

17 And so, then, it's just got a couple of  
18 paragraphs and a little picture about, so, let's  
19 everyone pay attention, you know, to catheter  
20 safety.

21 I mean, you know, and that's all it is.  
22 You know, and it goes out twice a year or whenever  
23 it's appropriate in terms of the Committee.

24 I think that would be a big plus. It

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would be educational. It also would impact in, I  
2 think, a positive way, the public's perception of  
3 the NRC as a regulator, you know, because it would  
4 be out there as a way to be involved with the  
5 community in a very positive way that's not in any  
6 way punitive.

7 I mean, here's information that we  
8 pulled our records together and we're providing this  
9 to you, you know, with the help of the ACMUI.

10 So, I think, Ron, if your Committee  
11 could help us get towards something like that, I  
12 think that would be a big plus.

13 Mr. Ouhib?

14 MR. OUHIB: I think as far as  
15 transmitting the information to the users, I don't  
16 see that as a big challenge. And, we have  
17 representatives here from different organizations.

18 The AAPM will be happy to actually put  
19 that in their newsletter and put the link there.  
20 The ASTRO will be happy and I'm sure the ABS will be  
21 more than happy to do that.

22 So, I think as far as getting that  
23 information to the people will be -- and we can  
24 label it in all three organizations as the patient

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 safety corner and that will be very catchy sort of  
2 like. And, it will be common to all three.

3 I think the work is going to come in  
4 getting -- and don't get me wrong, your data is  
5 extremely valuable, we just have not dug enough into  
6 it to make it very effective in changing what is  
7 actually happening.

8 CHAIRMAN ALDERSON: Yes, Dr. Suh?

9 MEMBER SUH: So, I also highly support  
10 trying to shift the culture from being somewhat of a  
11 more reactive culture to more of a proactive  
12 culture. And, I think that begins with education.

13 So, one suggestion I also would make is,  
14 these what I call best practices to build a higher  
15 reliability organization or culture is to also  
16 include the trainees as well.

17 So, within radiation oncology, we have  
18 the ARRO organization. And, I know as a trainee,  
19 they would really learn a lot. Because, here's some  
20 medical events that have occurred and here are best  
21 practices to avoid medical events.

22 And, I think if you hear it over and  
23 over, time outs are really essential. Time outs are  
24 really essential. This is how you can avoid X

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 percent of medical events from occurring by doing a  
2 proper time out, not doing a shortcut. Is it right?  
3 Is it left? Is it superior? Is it inferior?

4 And, if you keep going over that, it  
5 becomes part of a ritual habit for them rather than  
6 making a kind of a one off. Well, I'm going to skip  
7 this because I'm really busy right now.

8 And, I think it's very important to  
9 really have that.

10 And, also, getting on point, even though  
11 the number of medical events are really small, I  
12 think all of us, you know, from the public  
13 standpoint, from a professional standpoint, we  
14 really want to strive to be zero. Right?

15 So, it would be great someday, we have a  
16 report where the number of events were so small that  
17 it's a very short item on our agenda. Right? That  
18 should be the goal of the Committee.

19 I think a long-term is, I mean, it's a  
20 very aspirational goal, but it has to start with  
21 sharing this information.

22 And, I'll be very, you know, from my  
23 perspective, even though these events are listed on  
24 the NRC site, I would -- I'm not sure how many, just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 to take radiation oncologists, for instance, how  
2 many actually look at that?

3 And so, maybe one way if you have a best  
4 practice that comes out from the ACMUI, that it goes  
5 to like say the chairs of various departments.  
6 Then, it's something you can disseminate to other  
7 faculty and to ASTRO and other organizations as  
8 well.

9 So, I think this is very important topic  
10 because, if you're really going to learn best  
11 practices, you have to share best practices. And, I  
12 think as a group, we've -- I think there's room to  
13 improve that.

14 CHAIRMAN ALDERSON: Yes, right,  
15 excellent statement.

16 Dr. Zanzonico?

17 VICE CHAIRMAN ZANZONICO: And, we've  
18 often cited around the table here, the excellent  
19 safety record of the airline industry. And, I think  
20 just about any industry practice aspires to their  
21 low rate of error where the error rate is -- where  
22 an error is pretty catastrophic, needless to say.

23 And, I think included in any  
24 communication, whether it's professional

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 organizations or whoever, needs to be an emphasis  
2 that the time outs and the item cited in the time  
3 out should be at every level of the care team from  
4 the most junior technologist up to the attending  
5 physician.

6 Because, items like vials mislabeled or  
7 activities not calibrated or not labeled properly,  
8 those can be identified often by the most junior  
9 people who carry a dose from Point A to Point B and  
10 so forth.

11 So, I think there should be an emphasis  
12 on the intervention, so to speak, by all members of  
13 the care team. And, we all know that hospitals,  
14 there's a hierarchy structure which sometimes  
15 inhibits that sort of thing.

16 And, I think especially in this case  
17 where we've noticed a lot of medical events related  
18 to what amount to clerical errors that that's sort  
19 of intervention we encourage as part of this  
20 communication.

21 CHAIRMAN ALDERSON: Good.

22 Well, I'll make one final comment. And  
23 so, I think we've agreed that Dr. Ennis's Medical  
24 Event Subcommittee will make recommendations

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 probably at the next meeting of the ACMUI. So, it  
2 should be on the agenda of things like content,  
3 style, how it's transmitted and so on to begin to  
4 get these ideas out into the user community.

5 So, thanks very much. Thank you, Dr.  
6 Ennis and all of you who made these excellent  
7 comments.

8 Are there any further comments on Mr.  
9 Bollock's report?

10 MR. BOLLOCK: Well, actually, I have a  
11 couple more points.

12 CHAIRMAN ALDERSON: Okay.

13 MR. BOLLOCK: That's just gets kind of  
14 the first part of the report.

15 (Laughter.)

16 MR. BOLLOCK: And, there's a --

17 CHAIRMAN ALDERSON: Carry on.

18 MR. BOLLOCK: Yes, I'll let Dr.  
19 Dilsizian have a say and then I can go through a  
20 couple more our --

21 MEMBER DILSIZIAN: I just had one  
22 comment. The bullet number three, I didn't -- I may  
23 have not heard you, what was your response whether  
24 you would consider --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. BOLLOCK: Yes, okay.

2 MEMBER DILSIZIAN: -- whether you would  
3 -- you're going to address it?

4 MR. BOLLOCK: I'll get to that, yes.

5 So, yes, so, getting to the next bullet,  
6 limitations to conducting the pilot program, the  
7 PSOs and some of the limitations with the PSO as  
8 being voluntary.

9 If we went to a system that was  
10 voluntary, even if we had approved it, if people  
11 decide to stop using it, right, reporting, because  
12 it is voluntary, that cuts out. I don't know that  
13 something that -- that's something that the NRC  
14 right now is not willing to accept.

15 There are a couple points, I know the  
16 Subcommittee had -- or the ACMUI's report to us  
17 spoke an anonymous reporting.

18 You know, we, right now, that would, as  
19 part of the regulations that what's got to be  
20 reported and what has to be reported in medical  
21 events in the regulations. So, it would take a  
22 regulation change.

23 But, we do -- I mean, we hear you, we  
24 appreciate and we understand the positives and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 negatives to that.

2 And, the only other limitation for us in  
3 conducting the pilot besides some of things not, you  
4 know, being outside of the regulations, and unless  
5 we make changes to the regulations, we can't just  
6 pilot something that goes -- that's not a course of  
7 the regulations.

8 For us to review the PSOs, that is  
9 resource intensive for us and it's just the -- so,  
10 it's just a limiting factor for us. We would have  
11 to go out and verify it.

12 And, right now, we already have our  
13 structure in place and the Agreement States have  
14 their structure in place where they go out and do  
15 periodic inspections looking at their programs and  
16 report the medical events.

17 Now, we would have to go and approve,  
18 you know, the PSO at a site which is a different --  
19 you know, it's a slightly different take.

20 And then, I do recognize that the ACMUI  
21 said that they would help us with developing that  
22 program, but it is resource intensive. So, that's  
23 just one of our limitations.

24 For both the PSOs, like the RO-ILS, for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 instance, or an individual institution that has  
2 their own PSO program, I believe that was one of the  
3 recommendations was not just do one of the five, I  
4 think, approved PSOs, but if an institution has  
5 their own, if we approve it. So, it's, again, it's  
6 a different type of -- it's another type of  
7 inspection that we would have to conduct, we, as the  
8 NRC, would have to conduct.

9 So, there are some -- there are a lot of  
10 limitations to that.

11 And, to that, I think some of the  
12 discussion we just had earlier with things that are  
13 good that can help sharing information, things that  
14 we can do, maybe getting from your subcommittees  
15 reports on medical events, any themes, we'll call  
16 it, instead of hot topics, but that and sharing that  
17 using the listserv or helping to inform if we do  
18 decide to put out information notices to share the  
19 information.

20 You know, those are all very not  
21 resource intensive ways, I think. So, I,  
22 absolutely, I think those are great. Those are good  
23 things. It's always good to be able to find things  
24 that make a positive effect, you know, getting the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 most bang for our buck.

2 And then, the last -- to the point, the  
3 change in criteria for NRC reaction inspections, so  
4 that is our Management Directive 8.10 is what  
5 determines our criteria for when to set up a  
6 reactive inspection at a medical facility.

7 For instance, if there is a medical  
8 event with an exposure over -- greater than 20  
9 percent overdose to the patient, we -- the NRC will  
10 send out an inspection team within five days.

11 That Management Directive is up for a  
12 periodic change coming out next year. So, we'll  
13 start this year, we will start the process. So we  
14 will consider the ACMUI's comments and read the  
15 report and considerations for our update to that  
16 procedure and potentially making it in the changes.

17 CHAIRMAN ALDERSON: Excellent.

18 Questions or comments?

19 All right, seeing none, well, we have an  
20 action plan and so we look forward to hearing those  
21 reports in the fall and thank you for working with  
22 us on this important issue.

23 Okay? Well, that will take us to the  
24 next item on the agenda which is Sophie Holiday and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 she's going to talk to us about the ACMUI reporting  
2 structure.

3 MS. HOLIDAY: I realize we are  
4 significantly over time, so I will try to make up  
5 for it in my presentation.

6 So, I am before you to give you your  
7 annual presentation to discuss the Committee's  
8 reporting structure, as I stated earlier this  
9 morning during my old business comments.

10 So, we will go over what the current  
11 reporting structure is. This will be your annual  
12 review, discuss how often we conduct our meetings  
13 and then open it up for a discussion amongst the  
14 Committee members.

15 So, this is a chart that looks very  
16 familiar to everyone on this Committee with the  
17 exception that I made a change to reflect our new  
18 division name, MSST. Previously, it was MSTR, and  
19 prior to that, it was MSSA. So, now we are MSST.

20 So, as this chart is trying to convey,  
21 the ACMUI along with MSEB, which is the Medical  
22 Safety and Events Assessment Branch, the branch that  
23 Doug is the Branch Chief for, we both report to the  
24 Division Director of MSST.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   Again, that's the Division of Materials  
2                   Safety, Security, States, and Trial Programs.

3                   While our branch is responsible for  
4                   doing the day to day operations of the ACMUI, more  
5                   specifically, my responsibility, we both ultimately  
6                   report to who is now Kevin Williams as the Acting  
7                   Division Director.

8                   But, we have the dotted lines on this  
9                   chart to simply indicate that, while you may report  
10                  directly to Kevin Williams or Dan Collins, whoever  
11                  is the Director at the time, this does not preclude  
12                  you from being able to reach out, communicate with,  
13                  interact with, have drop-in meetings with- the  
14                  Director for our office, Office of Nuclear Material  
15                  Safety and Safeguards.

16                  Our Executive of Operations, Victor  
17                  McCree or to the Commission, we've had Dr. Alderson,  
18                  I believe, had a drop-in with the Commission before.  
19                  Dr. Thomadsen has had a drop-in with some of the  
20                  Commissioners previously.

21                  So, this is simply is saying that any  
22                  member on the Committee has the ability to reach out  
23                  to you, anyone in this management chain should you  
24                  so wish to do so.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So, in September 2012, as was indicated  
2           on the old business chart, the ACMUI recommended to  
3           have this annual review of the reporting structure  
4           open indefinitely.

5           And so, the Subcommittee -- there was a  
6           subcommittee that was formed to -- for the ACMUI  
7           bylaws. And, Dr. Zanzonico presented to the  
8           Committee during May 2014 for the Committee to  
9           consider whether they want to continue reporting  
10          within the NMSS structure or if you wanted to report  
11          directly to the Commission, which is what our  
12          counterpart, the Advisory Committee for Reactor  
13          Safeguards, ACRS, does.

14          The Subcommittee report states, and I  
15          quote, "that the working relationship between the  
16          NRC and the ACMUI remains excellent. The reporting  
17          structure through NRC staff continues to function  
18          effectively and the associated logistical overhead  
19          associated with direct reporting to the Commission,  
20          e.g., the need for more frequent meetings, did not  
21          and does not now justify any change in the ACMUI's  
22          reporting structure."

23          That's what was stated in May of 2014.  
24          But, of course, it was also requested that we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 continue to have this annual reporting review.

2 This is the eighth review that we are  
3 conducting.

4 So, one of the items that were up for  
5 consideration is how often does the Committee meet?  
6 Whether that be meetings physically here at  
7 headquarters or via teleconferences.

8 So, as we are aware, we conduct two in-  
9 person meetings here at NRC headquarters a year.  
10 The spring meeting which takes place in either March  
11 or April and the fall meeting which takes place in  
12 either September or October.

13 Comparatively, ACRS meets here ten times  
14 a year and they report directly to the Commission.  
15 Subcommittee meetings also meet here, so they meet  
16 more frequently, which is a bit over burdensome for  
17 some of the members on the Committee, as was  
18 communicated in previous presentations for this  
19 topic.

20 We also hold approximately two to three  
21 teleconferences a year on an ad hoc basis. We've  
22 already had our two, last month on February 15th  
23 and, of course, March 1st.

24 I know we will likely have another one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 later this summer once staff provides its review of  
2 training and experience requirements to the  
3 Committee for consideration.

4 So, again, the number of teleconferences  
5 varies from year to year, depending on the needs of  
6 the Committee and depending on the needs of staff  
7 and the Commission.

8 So, at this point, I would like to open  
9 it up for discussion to the Committee.

10 The questions for consideration are, do  
11 you still agree with the current reporting structure  
12 whereby you report to the NMSS management or would  
13 you rather report directly to the Commission?

14 Are you satisfied with the frequency of  
15 the meetings, that is the two in-person meetings  
16 here at headquarters with ad hoc teleconferences?

17 And, are there any other changes that  
18 you would like to see?

19 So, I turn it over to the Committee.

20 CHAIRMAN ALDERSON: Very good.

21 Okay, this -- these subjects are open  
22 for discussion.

23 Dr. Zanzonico?

24 VICE CHAIRMAN ZANZONICO: Pat Zanzonico.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So, when we originally considered this  
2           way back and initiated these annual reviews, Sophie  
3           put the fear of God in us that this -- what I think  
4           was sort of an appealing notion that we report  
5           directly to the Commission involved a lot more work  
6           and a lot more time on everyone's part.

7           As you said, our counterpart in the  
8           reactor business, the reactor side of the business,  
9           meets many more times per year.

10          And, I think that convinced everyone on  
11          the Committee at the time that what we were doing  
12          was perfectly adequate. Two face-to-face meetings a  
13          year, teleconferences as needed, plus, of course,  
14          emails and telephone calls and so forth, really was  
15          more than adequate to address our responsibilities.

16          And, you know, in the intervening years,  
17          I haven't felt anything has changed in that respect.  
18          That the frequency of face-to-face meetings,  
19          scheduling of Subcommittee -- of Committee and  
20          Subcommittee meetings as needed and electronic  
21          communications were perfectly adequate to meet our  
22          responsibilities.

23          So, personally, I don't see any need for  
24          any change in the reporting structure at this time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. HOLIDAY: Thank you.

2 CHAIRMAN ALDERSON: Thank you, Dr.  
3 Zanzonico. Other comments from the ACMUI on that  
4 issue?

5 Seeing none, back to Ms. Holiday. I  
6 think that the Committee is happy with this  
7 structure. I think the fact that it has been  
8 reported back to us on an annual basis is useful.  
9 It reminds us all what it is and what the -- at  
10 least our predecessors, if it were true in that  
11 sense, that on this Committee voted to do, and Dr.  
12 Zanzonico's comment, you know, summarizes all that  
13 up.

14 Are there any issues related to any of  
15 this that people would like to bring forward at this  
16 time?

17 Yes, Ms. Weil?

18 MEMBER WEIL: Just another historical  
19 perspective. There was a time in the past when the  
20 Committee felt not inadequately supported by staff.  
21 And, that was the reason why we wanted to keep this  
22 live so that we could continue to reassess whether  
23 or not we were content and felt effective with the  
24 reporting structure.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I'm sure all of us agree that, I mean,  
2 we've had the best. So --

3 (Laughter.)

4 CHAIRMAN ALDERSON: Did you mean Sophie?

5 MEMBER WEIL: So, why would we change?

6 (Laughter.)

7 MS. HOLIDAY: Yes, I think we should  
8 clarify for the record that.

9 (Laughter.)

10 CHAIRMAN ALDERSON: Are there any other  
11 comments?

12 Well, hearing none, I think that this  
13 report is concluded. Thank you very much.

14 And I believe that our agenda for the  
15 morning is concluded ten minutes behind schedule and  
16 that's about where we started. So, thank you all  
17 for staying on that pseudo schedule.

18 The schedule shows us reconvening for  
19 the afternoon session at 1:00 p.m. by that clock, an  
20 hour and 20 minutes from now.

21 Are there any final comments before we  
22 adjourn for the morning?

23 Seeing none, I think we're adjourned for  
24 the morning.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   (Whereupon, the above-entitled matter  
2 went off the record at 11:38 a.m. and resumed at  
3 1:03 p.m.)

4                   CHAIRMAN ALDERSON: So, with that, the  
5 next item on the agenda is Richard Green who is  
6 going to tell us about the worldwide supply and  
7 domestic production of Molybdenum-99.

8                   MR. GREEN: Thank you, Dr. Alderson.

9                   At the onset, I want to just provide the  
10 caveat here that this -- I apologize, this may be a  
11 simplistic presentation. I want to make sure it's  
12 discernable, digestible for everybody. We can get  
13 in the weeds and be real geeky later.

14                   So, this is an update of a presentation  
15 I made in September of 2016. At that time, it was  
16 entitled, "The Worldwide Supply of Moly-99."

17                   The change in the title recognizes the  
18 fact that, for the first time in 30 years, we have  
19 the domestic manufacturing of moly on U.S. soil.

20                   So, moly-99 used in the U.S. the last 30  
21 years has been produced solely through the fission  
22 of uranium-235. Uranium-235 is a very rare in its  
23 natural abundance. There's only 0.7 percent.

24                   The degree of an enrichment is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 important. Below 20 percent is referred to as LEU  
2 and above 20 percent is referred to as HEU, low-  
3 enriched and high-enriched uranium.

4 The production of fission moly-99  
5 utilizes enriched uranium-235 targets either LEU or  
6 HEU targets but are placed in a neutron flux in a  
7 nuclear reactor that itself is fueled with enriched  
8 uranium-235 fuel, either LEU or HEU.

9 For the sake of clarity, I have to  
10 introduce a term, HSA, or high specific activity,  
11 moly-99. Before, we never had to concern ourselves  
12 with that term, but it's germane now because of the  
13 new generator system that's on the market today.

14 So, I'll be speaking specifically about  
15 high specific activity moly and low specific  
16 activity moly because they're made by different  
17 mechanisms.

18 In the fission production of moly-99,  
19 neutrons strike a uranium-235 target and split that  
20 uranium atom into pieces. Six percent of the result  
21 from fission fragments are moly-99.

22 There are current six reactors worldwide  
23 involved in the large-scale commercial manufacture  
24 of moly-99 that ship their irradiated targets to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 four processors where they sort through the nuclear  
2 bits to isolate and purify the moly-99.

3 There are three commercial manufacturers  
4 of FDA approved high specific activity moly-99  
5 generators utilizing fission moly in the U.S.

6 GE Healthcare's DRYTEC generator is made  
7 is Amersham, United Kingdom and shipped across the  
8 pond.

9 Lantheus Medical Imaging's TechneLite  
10 generator is made in North Billerica, Massachusetts.

11 And, Curium, you might know them by  
12 their old name, Mallinckrodt, their Technekow V4  
13 generator is made is St. Louis, Missouri.

14 So, those are the three, I'll call them  
15 legacy manufacturers of moly-99 generators in the  
16 U.S. market.

17 All of these generator manufacturers use  
18 high specific activity moly-99 which is loaded onto  
19 a small alumina column and the sodium pertechnetate  
20 is released when the unit is eluded with normal  
21 saline.

22 Here are the current six reactors  
23 worldwide involved in the large-scale commercial  
24 manufacture of high specific activity fission moly-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 99.

2 In the last few years, the Osiris  
3 reactor in France and the National Research  
4 Universal reactor in Canada, or the NRU, have ceased  
5 production of moly-99, leaving us with just these  
6 six reactors.

7 The LVR-15 in the Czech Republic and the  
8 MARIA reactor in Poland joined the supply network  
9 after the severe moly shortages the market suffered  
10 through 2009/2010. Glad to have them join the  
11 party.

12 The OPAL, Open Pool Australian Light-  
13 Water reactor is the youngest reactor on this list,  
14 operated by the Australian Nuclear Science and  
15 Technology organization, or ANSTO, in Sydney,  
16 Australia.

17 ANSTO has invested considerable  
18 resources in their Australian nuclear medicine  
19 project that will enable ANSTO to triple production  
20 of moly-99.

21 They have completed the construction  
22 phase and are in the process of completing their  
23 validation program.

24 The increased capacity will enable

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Australia to meet their domestic demand as well as  
2 be able to supply up to 25 to 30 percent of the  
3 global high specific activity moly-99 demand.

4 It is of note, the progress made by the  
5 industry in converting from HEU to LEU. You see  
6 that column second from the right? Target type, as  
7 well as the one next to it, fuel type.

8 In converting from HEU to LEU for both  
9 fuel as well as targets.

10 Only the BR2 in Belgium and the LVR-15  
11 in the Czech Republic remain to make that switch  
12 from high-enriched to low-enriched uranium.

13 In February of this year, Curium  
14 announced that they were supplying their customers  
15 exclusively with 100 percent low-enriched uranium  
16 moly-99 generators, making them the only North  
17 American provider to do so up to this point.

18 More on the American Medical Isotope  
19 Production Act, or AMIPA in a moment.

20 I've updated this slide to reflect the  
21 dedicated reactor processor relationships direct  
22 lines as well as the multiple reactor processor  
23 relationships that exist in some cases.

24 So, you can see that MARIA feeds

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 exclusively to the Curium processor in the Petten,  
2 Netherlands where the BR2 reactor could funnel its  
3 targets that have been irradiated to either Curium  
4 or IRE in Belgium.

5 Obviously, South Africa is insular, they  
6 feed their NTP processor and the OPAL reactor feeds  
7 their associated ANSTO processor in Australia.

8 On November 22nd last year, a halt to  
9 production at the NTP Radioisotopes facility, a  
10 subsidiary of the South African Nuclear Energy  
11 Corporation, or NESCA, was ordered, after it was  
12 discovered that procedural deviations related to a  
13 set of standard operating procedures were not  
14 followed.

15 There is concern about the -- I'm going  
16 blank -- we talked about it this morning, the -- it  
17 was not a radiological hazard, it was not a spill it  
18 was a concern about safety culture.

19 So, they said, wait, time out. You had  
20 an SOP, you weren't following the SOP, take a time  
21 out, figure it out and get back all on the same  
22 page. We need a safety culture.

23 So, NESCA, which is the nuclear license  
24 holder said that after they reported that matter to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 their new national nuclear regulator that they  
2 received notification from the NNR to cease all  
3 operations in its active pharmaceutical ingredient  
4 production facility at NTP immediately. So, they  
5 called a time out.

6 NTP resumed production on February 21st  
7 at its facility after what was ultimately a three-  
8 month shutdown. Safety culture is important.

9 Unfortunately, this South African outage  
10 coincided with a scheduled maintenance period at the  
11 OPAL reactor in Australia.

12 Other reactors put into play their  
13 outage reserve capacity to increase the number of  
14 targets being irradiated.

15 Despite these efforts, there was a very  
16 rough week in December when supply fell  
17 significantly below demand.

18 Some manufacturers, depending on, you'll  
19 notice that if I go back a slide, that SAFARI is all  
20 LEU and OPAL is all LEU. And, they had contracted  
21 to provide one manufacturer on a certain day of the  
22 week enough LEU moly to produce a batch of all LEU  
23 generators.

24 As that manufacturer would say, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 order at Tuesday CAL, for example, you can buy an  
2 LEU generator and get additional benefit derived  
3 from CMS by getting an addition \$10 co-pay payment  
4 on doses derived from LEU target material.

5 Well, both those reactors were down  
6 concurrently, that generator production cycle did  
7 not occur. Okay? So, it was a rough week in  
8 December.

9 But, for three months, South Africa was  
10 down.

11 Just yesterday, Curium informed the  
12 industry that the moly-99 activity for their  
13 Wednesday CAL, today, generator production was much  
14 lower than expected. This was due to one of their  
15 reactor partners and Curium can receive from BR2,  
16 HFR on MARIA, they were not specific in their  
17 announcement, was much lower than expected.

18 This was due to one of their reactor  
19 partners having a delayed startup after a scheduled  
20 maintenance cycle.

21 As a result of this issue, they had to  
22 reduce many of their customers' existing Wednesday  
23 calibrated generators today. So, there are already  
24 pharmacies today working with less than a full a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 compliment of generators they would normally have.

2 After reviewing all of this not so  
3 pleasant news regarding legacy producers of fission  
4 moly-99, good news.

5 For the first time in 30 years, we now  
6 have a U.S. manufacturer able to supply moly-99 to  
7 the U.S. market.

8 This is occurring at the Missouri  
9 University Research Reactor, or MURR, and I will add  
10 that to the bottom of the list, in Columbia,  
11 Missouri.

12 This is a low specific activity moly-99  
13 that I'll discuss in greater length in just a  
14 moment.

15 So, the top six reactor sources and  
16 processes are making HSA that can go into legacy  
17 generators. And this production source on the  
18 bottom starting at MURR feeding to their MURR  
19 factory in Columbia, Missouri where they fill source  
20 vessels is a low specific activity which requires an  
21 entirely different generator system to produce  
22 sodium pertechnetate.

23 So, let's talk briefly about the  
24 American Medical Isotope Production Act of 2009. It

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 was passed in 2009 and signed into law by President  
2 Obama, it has provided some degree of funding for at  
3 least two of the startup projects we'll be  
4 discussing today, to develop domestic production of  
5 moly-99.

6 It has also been the impetus behind that  
7 conversion from a use of highly enriched uranium to  
8 low-enriched uranium as a reactor fuel and as  
9 fission target material.

10 The goal is to reduce the potential for  
11 terroristic acts by taking and seizing HEU  
12 shipments.

13 This legislation effectively mandates  
14 the full conversion away from HEU as soon as  
15 possible and no later than January 2020, which is  
16 not that far away.

17 The moly-99 supply chain remains very  
18 complex and, as we have seen firsthand, in those  
19 last several months. And, we are still experiencing  
20 this fact even this week.

21 While the issues at NTP did not involve  
22 a radiological release or incident, it illustrates  
23 the fragility of the supply chain.

24 I know that the commercial radiopharmacy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 industry has taken multiple measures to hedge their  
2 bets to ensure a consistent supply of moly-99 as  
3 best as possible.

4 This includes sourcing moly-99 charters  
5 from multiple charter manufacturers as well as  
6 operational measures such as frequent elutions and  
7 multiple deliveries to clinical sites.

8 You can't get any more joiners, you can  
9 just squeeze them harder and get more out of them,  
10 that works to an extent.

11 The association that imaging producers  
12 and equipment suppliers, or AIPES, A-I-P-E-S,  
13 coordinates a reactor maintenance schedules in an  
14 effort to ensure that when one reactor is down for  
15 scheduled maintenance, others are able to pick up  
16 the slack to the medical community and patients  
17 don't suffer.

18 The Nuclear Energy Agency, NEA, is a  
19 specialized agency within the organization of  
20 Economic Cooperation and Development, OECD, an  
21 intergovernmental organization of industrialized  
22 countries based in Paris, France.

23 OECD was working -- is working to  
24 understand the industry and assist in the conversion

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to LEU fuel and targets as well as transition to the  
2 full cost recovery basis in these largely government  
3 operated subsidized research reactors.

4 For the industry to be viable and  
5 sustainable, we need to be on the same playing field  
6 and we can't have a low cost operator putting out  
7 HEU derived moly which would cost a lot less because  
8 20 percent versus, you know, 90 percent enrichment,  
9 you're going to have five times as much waste using  
10 LEU versus HEU.

11 There is desirable reasons to go to LEU,  
12 but there are costs. And, those costs have to be  
13 uniform throughout the industry, otherwise, it's not  
14 going to work.

15 On February 8, 2018, the U.S. FDA  
16 approved the first domestically produced non-uranium  
17 based moly-99. The NorthStar RadioGenix™ system is  
18 a device designed as a totally closed system to  
19 contain, move and shield all of the moly-99 which is  
20 a mixture of radioactive moly-99, Tech-99m, and non-  
21 radioactive moly-98, or in the future, moly-100.

22 During a computer driven process of  
23 isolating technetium from moly before delivering  
24 technetium <sup>99</sup>M into an Elysium vial.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   The moly in this system is not derived  
2                   from the fission of uranium and requires a different  
3                   system to isolate and concentrate the Tech <sup>99</sup>M than  
4                   in the existing fission 99 legacy generator systems.

5                   The NRC has promulgated licensing  
6                   guidance for medical use licensees, medical use  
7                   permittees and commercial nuclear pharmacies that  
8                   was released in February of 2018 under the steady  
9                   hand of Dr. Donna-Beth Howe.

10                  The current production method for this  
11                  moly-99 is through neutron capture of natural  
12                  enriched moly-98. They take moly-98, make it into a  
13                  solid metal target and put it down into their  
14                  neutron flux at the MURR reactor where a neutron is  
15                  added and it becomes moly-99.

16                  But, a relative small number of atoms  
17                  are converted from 98 to 99. Let's just say 2  
18                  percent. So, you're going to get a solution once  
19                  you dissolve that target of radioactive moly-99, but  
20                  it's not high specific activity, it's a lot of moly  
21                  present, a very small percentage is radioactive  
22                  moly-99.

23                  So, in using natural occurring abundance  
24                  moly-98, they can produce a source vessel, which is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1        what is -- what we could call a generator, a source  
2        vessel that is approximately 6 curies today.

3                    Their next step to increase the activity  
4        in a single source vessel by using enriched moly-98.  
5        This will allow up to approximately 18 curies, or  
6        the top end DOT Type A quantity that could be  
7        shipped in the U.S., about 18 curies per source  
8        vessel.

9                    NorthStar has built and qualified a  
10       source vessel filling facility near the MURR  
11       reactor.

12                    As it was related to me, they intend to  
13       crawl, walk and then run. They intend to focus  
14       initially on units, placing units near the Missouri  
15       vicinity and adjoining states. They're not going to  
16       put one in Spokane to start with.

17                    And, once they validate their mechanics  
18       and distribution and processing and retrieving  
19       abilities, they're going to go beyond that and  
20       enlarge their delivery radius.

21                    I've heard from NorthStar, the longer  
22       term goal for them is to produce moly-99 without a  
23       reactor and that's by using a linear accelerator of  
24       proton bombardment of enriched moly-100.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Again, starting with stable moly by  
2                   using a proton, knocking one neutron off or sorry,  
3                   one proton off and making it moly-99 in that  
4                   fashion.

5                   NorthStar has stated that they have a  
6                   goal to be able to provide 10 percent of all U.S.  
7                   moly-99 demand in their first year after approval.

8                   Now, I'm going to purposely go back a  
9                   slide or two to give you the picture of the entire  
10                  unit.

11                  The moly-99 technetium liquid is  
12                  received inside it's shielded radiation transport  
13                  vessel, called a source vessel, I call it a keg.  
14                  But, it looks about the size of a normal moly  
15                  generator system, but it's liquid. It has  
16                  approximately 30 milliliters of liquid potassium  
17                  molybdate.

18                  It is placed in one of these four doors  
19                  on the middle row of that generator system. There's  
20                  two on the far left, two on the far right. There's  
21                  one in the middle which has a unique purpose.

22                  The vessel is connected to tubes that  
23                  move the tech moly liquid by computer driven valves  
24                  and a syringe pump located behind that service bay

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 door. The service bay door is the top largest door  
2 in the center of the unit.

3 The moly tech is moved by the primary  
4 separation cartridge, cabinet where various chemical  
5 solutions located handing on top of the unit. You  
6 see in the IV bag type device which will react with  
7 that moly tech solution and column to make that moly  
8 pass through the column, but the technetium is  
9 filtered and retained on the column.

10 So, in a computerized mechanical  
11 process, it's going to pump 5 mils from the source  
12 vessel through that filtration column, moly goes  
13 through, tech gets captured.

14 You get the next 5 mils, repeat, next 5  
15 mils, repeat until all 30 mils have been pushed  
16 through that exchange column, that filtration  
17 process.

18 And then, upon command, it will elute  
19 that technetium through the chemical agents to that  
20 far right door on the right hand side on the top  
21 which is where the technetium will be delivered in a  
22 tungsten shielded vial, much like we do today.

23 So, additional chemical solutions are  
24 used to wash the technetium from the first column

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and then through a second column into that product  
2 cabinet into the collection vial.

3 The various chemical washes are pumped  
4 through valves to one of those two discard material  
5 containers. Those two doors at the very bottom of  
6 the unit in the center are to contain waste liquids  
7 used in generating during the elution process.

8 At the end of the process, the moly is  
9 returned back to that source vessel from which it  
10 came. So, they can have four generators or four  
11 source vessels in those bays and you can elute one  
12 at a time by pushing liquid through that column and  
13 extracting the technetium.

14 So, because we're working with low  
15 specific activity, it's an entirely different animal  
16 than the high specific activity legacy generators  
17 that we have today.

18 But, we're excited to have a new source  
19 of moly-99. More moly is good, moly from non-HEU is  
20 good and I would argue that domestically made moly  
21 is good as well. Glad to have it.

22 There's an example of a source vessel in  
23 this photograph here.

24 Once the moly-99 is no longer usable, or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 reaches its expiration date, is returned to the  
2 source transport vessel to be returned to the  
3 manufacturer.

4 So, that was approved in February.  
5 Another company that you may have heard of in the  
6 January 15th of 2018, an article appeared in The New  
7 York Times entitled, "Inside the Global Relay Race  
8 to Deliver Moly-99."

9 This article is primarily focused on  
10 another startup company, SHINE Medical Technologies.  
11 SHINE is pursuing the manufacture of moly-99 via the  
12 neutron bombardment of a liquid uranium salt  
13 solution.

14 SHINE has completed the construction of  
15 what they call Building 1 in Janesville, Wisconsin.  
16 They will house their first integrated accelerator  
17 production system.

18 Moly-99 produced via this method that's  
19 being pursued by SHINE has been successfully made,  
20 loaded into a GE DRYTEC generator and successfully  
21 eluded and made commercially manufactured drug kits  
22 today. So, we know this technology works.

23 But, we should look for this system to  
24 become operational in a few years, probably 2020 or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 beyond. So, that's a future project.

2 I pestered my boss. He went to Paris,  
3 France, poor guy, and attended the OECD meeting  
4 earlier this year and he brought back this chart for  
5 me to show you today.

6 This is from the recent OECD meeting  
7 that was held in Paris February 19th through the  
8 22nd. It shows current fission produced moly-99  
9 production and projected demand.

10 So, the red line on the bottom is the  
11 demand growth with no outage reserve capacity. This  
12 is just standard targets that normally put in the  
13 reactor.

14 Again, many reactors have what's called  
15 outage reserve capacity so they have normally eight  
16 slots they can occupy with targets. But, they've  
17 rented space and they can put in 12 if they need to.

18 So, the red line is that standard demand  
19 curve.

20 The green line is the demand growth with  
21 that outage reserve capacity so they could very  
22 easily bump up production to that level.

23 The blue line is the total of radiation  
24 capacity and the yellowish line is the total

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 processing capacity.

2 So, I don't want to paint a discouraging  
3 picture, I think it's a promising picture. We have  
4 moly.

5 Yes, we have two less producers, large  
6 Canadian reactor is gone off the map and a French  
7 reactor has gone off the map. But, they were each,  
8 you know, older than I am. And, you know, bad knees  
9 and bad hips, reactors get it, too.

10 But, we've got coordination, we've got  
11 collaboration, we've got scheduling coordinations so  
12 that we don't have two reactors hopefully, knock on  
13 wood, down at the same time as we did for three  
14 months in December, January and February.

15 And, then now, most of all, we have a  
16 domestic manufacturer and others trying to get into  
17 the game.

18 So, that's a status report on where we  
19 stand today with moly. Today, we're still  
20 suffering, literally, today we're suffering, but  
21 we're doing the best we can within the resources.

22 Any questions?

23 Yes, Doctor?

24 VICE CHAIRMAN ZANZONICO: That was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           terrific update.

2                           I just have a technical question.  So,  
3           the fact that you're chemically isolating the tech,  
4           the fact that it's being made from low specific  
5           activity doesn't have any adverse effect of kit  
6           production or any such thing as that?

7                           MR. GREEN:  In simplistic form, tech is  
8           tech is tech.

9                           VICE CHAIRMAN ZANZONICO:  Right.

10                          MR. GREEN:  It's a different way to get  
11           there.  And, actually, they were rather ingenious.  
12           They -- very early on in the '70s, there was a  
13           commercial manufactured low specific activity  
14           generator, I think that was put out by E. R. Squibb  
15           & Sons which today we would call BROCO.

16                          And so, they filed an ANDA with this  
17           unit.  They didn't have to forge a new path and file  
18           an NDA.  So, they took an easier route to the  
19           approval process.

20                          So, they've got an FDA approved product.  
21           Today, they can make a 6 curie source vessel.  Once  
22           they amend applications with the FDA to swap out  
23           natural enriched to natural abundance to enriched  
24           moly-98, then they can get an 18 curie source

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 vessel. And, that still uses a reactor in MURR  
2 which I think is a very utilitarian high uptime  
3 reactor that we have access to here in America.

4 But, once they have an accelerated  
5 production system in their own plant in Beloit,  
6 Wisconsin, then they'll be able to produce it right  
7 there.

8 It's my understanding that they'll keep  
9 both systems viable. You don't want to put all your  
10 eggs in one basket. But they'll have multiple ways  
11 to make moly.

12 VICE CHAIRMAN ZANZONICO: Another  
13 question.

14 So, would that, within that base  
15 production, do you then what energy protons you need  
16 for that? Just in terms of the sort of the  
17 viability of that?

18 It becomes much more expensive as the  
19 energies grow up.

20 MR. GREEN: Well, I'll be a smart aleck  
21 and tell you, I'm not a business, but I work with  
22 several. I'd have to ask them about the energy  
23 levels required.

24 I know it's not horribly exorbitant. In

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 their case, they're just knocking a proton off of  
2 the 100 atom to make it 99.

3 The SHINE process is different because  
4 they're actually making it from the accelerator  
5 hitting a target material, making a neutron flux  
6 which then causes fission in a liquid target uranium  
7 solution that they're able to tap and extract the  
8 moly and then put the material back in to use again.

9 VICE CHAIRMAN ZANZONICO: One last  
10 question.

11 So, I mean, what they're envisioning is  
12 that these systems would just go into regional  
13 commercial radiopharmacies. These would not be  
14 hospital based systems?

15 MR. GREEN: Well, the NRC guidance is  
16 for both clinical hospital sites and commercial  
17 radiopharmacies, if they elect to get one.

18 Now, it is a rather large footprint. I  
19 believe it's approximately five feet. I think it  
20 weighs 3,000 pounds. So, it's -- if you're a small  
21 clinic, small site, I don't think you would likely  
22 be in the market.

23 But, if you had the capacity to have  
24 four generators in one system, and you loop

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 generator A and then generator B and C and D, then  
2 it may be more applicable to a commercial  
3 radiopharmacy setting.

4 CHAIRMAN ALDERSON: I'd like to ask  
5 about -- this is Dr. Alderson -- I'd like to ask  
6 about -- maybe I should say I'd like to be reassured  
7 further about this low specific activity idea.

8 So, I'm going to reflect my ancient, you  
9 know, legacy in the field to say that I remember,  
10 you know, when, you know, we would get our tech  
11 generator made the old-fashioned way on Monday, you  
12 know, and it was high specific, it was high, you  
13 know, we could get out small doses.

14 But, by Wednesday, it wasn't so good  
15 because the activity was declining and we had to get  
16 a second generator delivered, you know, later. This  
17 was at Milacron Institute which is, you know, was a  
18 busy, and then, even a busy facility.

19 So, we had to get a second generator  
20 delivered so we could get to higher specific  
21 activity eluates.

22 So, we had a problem clinically. We  
23 felt that with low specific activity eluates.

24 Now, maybe it's just a matter of what

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 low really means here, but why shouldn't be worried  
2 about that?

3 MR. GREEN: Well, in your case, as  
4 you're describing, you were using a fission  
5 generator, where all the atoms of moly were  
6 radioactive atoms of moly.

7 And, you could change the elution volume  
8 to some degree to change the concentration.

9 CHAIRMAN ALDERSON: Right.

10 MR. GREEN: But, as always, high  
11 specific activity moly, because there was no stable  
12 moly in that column, the alumina column had nothing  
13 but radioactive moly because it was broken pieces of  
14 uranium, the bits they sorted out through the moly  
15 pieces and put them on a column for you.

16 In this case, they take stable moly and  
17 make some of them radioactive moly-99 and that's all  
18 dissolved with acid into a liquid solution of  
19 potassium molybdate in 3 milliliters and you're  
20 pushing that mechanically through this filtration  
21 process and extracting the tech -- holding the tech,  
22 moly goes through, the tech is held.

23 And, once you've pushed all the moly  
24 liquid through, then you chemically tell the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 computer system, okay, now, elute -- rinse all the  
2 technetium off into my elution vial.

3 So, it's still going to be nothing but  
4 tech-99M and tech 99.

5 CHAIRMAN ALDERSON: I see.

6 MR. GREEN: But, it's --

7 CHAIRMAN ALDERSON: So, what you elute  
8 is high specific activity --

9 MR. GREEN: Correct.

10 CHAIRMAN ALDERSON: -- you know what's  
11 in there is low --

12 (Simultaneous speaking.)

13 MR. GREEN: The moly was low but the  
14 tech is high.

15 CHAIRMAN ALDERSON: I got you, okay.

16 MR. GREEN: It's quite an ingenious  
17 system. And, it's -- they've had a long road to hoe  
18 but I'm very pleased that they've made it thanks to  
19 the FDA.

20 CHAIRMAN ALDERSON: Dr. Palestro?

21 MEMBER PALESTRO: A question, you may  
22 have mentioned it and I didn't catch it, but what is  
23 the cost differential for producing technetium-99M  
24 through the conventional generators that we have now

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       versus NorthStar?  If that data is available either  
2       in terms of millicurie or curie or however you would  
3       compare it.

4                   MR. GREEN:  I'm a clinical geek, I don't  
5       have financial numbers.  I don't do math.  My dad  
6       was a CPA, not me.

7                   But, I can give you a couple guardrails.  
8       We were enjoying 30-plus years of high specific  
9       activity fission moly from cheap HEU fuel reactors  
10      using HEU targets.

11                  Well, now, we're going to be using LEU  
12      targets.

13                  So, to get the same number of curies,  
14      I've got to use five times as many targets because  
15      it's 20 percent and it may have been, say it was a  
16      100 percent.  So, now, it's going to have a lot --  
17      five times as much radioactive waste as much  
18      processing as my storage.

19                  So, there's a lot more bits to sort  
20      through to get them out of moly that I used to get  
21      in one target.  That cost has to come up.

22                  The other thing is, they're going to  
23      have to say, hey, Belgium government or Australian  
24      government or South African government, you can't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 subsidize these. I know these reactors are not  
2 solely there for making moly, they do other things.  
3 They do moly on the side.

4 Well, they need to have full cost  
5 recovery so they're not propped up and supported.

6 So, tech is not going to be cheap. Now,  
7 SHINE and NorthStar who are not using fission to get  
8 moly will tell you that they think theirs will be  
9 economically viable because you don't have all of  
10 that waste stream.

11 I'm not sorting through the bits to get  
12 6 percent moly and 94 percent waste which has half-  
13 lives of who knows how long.

14 So, I don't know what the true economics  
15 are, you know, per curie, but there's arguments that  
16 the legacy systems are going to go up because the  
17 LEU and there's more waste.

18 And, perhaps these other innovators may  
19 have a cost advantage, I don't know yet. But, in my  
20 mind, one moly is good, non-HEU is good, and I'm not  
21 opposed to domestically made moly either.

22 CHAIRMAN ALDERSON: Yes, Ron?

23 MEMBER ENNIS: Just more for my  
24 education. So, the switch to low from a safety

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 perspective is just what the community thinks, like  
2 is that -- is it really a lot safer? Like, that  
3 would be number one.

4 And then, number two, among the now the  
5 different technologies that are available, just can  
6 you share what like what the pros and cons are to  
7 them, particularly from a safety perspective since  
8 that's what we're mostly interested in hearing?

9 But, also, just a little more broadly?

10 MR. GREEN: Well, I think the document  
11 that Donna-Beth Howe and her team has prepared that  
12 was released last month, I mean, in the legacy  
13 system, the moly is static. It's on a fixed alumina  
14 column and I push water past it.

15 In this system, the liquid -- low  
16 specific activity gets a liquid moly solution and  
17 I'm pushing it around.

18 And so, there are requirements -- there  
19 are certain doors on that locked cabinetry you don't  
20 open, you know, unless NorthStar's on the phone  
21 telling you what to do or they're physically  
22 present.

23 You have to -- may have to put radiation  
24 signage on all four sides because when it's moving

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and processing moly, you've got a radiation flux  
2 that's here for 10 minutes that won't be there 10  
3 minutes from now. So, it's not static.

4 Your term, you said was safety. I don't  
5 think any of these are unsafe. They're just  
6 different. I've used both systems, both produce  
7 technetium and Dr. Alderson's question, technetium  
8 is technetium, glad to have it.

9 CHAIRMAN ALDERSON: But, the safety can  
10 be looked at from different perspectives when you --  
11 yes, the generator's safe for the patients, but the  
12 reason that they want it to be low-enriched uranium  
13 is because they don't want other people to get their  
14 hands on the high-enriched uranium to pirate it.

15 And, that's where that safety comes in.

16 MR. GREEN: You've got an operator  
17 making a dirty bomb.

18 CHAIRMAN ALDERSON: Right, exactly.

19 MR. GREEN: Yes.

20 CHAIRMAN ALDERSON: That's where another  
21 aspect of the safety that I think the NRC will be  
22 concerned by.

23 MR. GREEN: Global threat reduction at  
24 issue.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN ALDERSON: Any -- yes, question  
2 from Dr. Daibes?

3 DR. DAIBES: Mr. Green, do you see --  
4 sorry, Said Daibes.

5 Do you see NorthStar supplying the  
6 needed market, being something that is available  
7 now? Do you see this as --

8 MR. GREEN: I think they envision  
9 themselves to start with because they're working  
10 with natural enrichment moly and they can only make  
11 a 6 curie source vessel at the moment.

12 Until they get an amended application  
13 with the FDA to use enriched moly-98, they are  
14 limited to roughly 6 curies per source vessel, I  
15 understand.

16 Today, in a legacy system, I can buy an  
17 18 curie generator. Okay? So, they're not a one  
18 for one swap. But, they're a producer so it may be  
19 in the mix. It may be 10, 15, 20 percent of the  
20 technetium consumed, it's not going to, I think,  
21 replace all units in all facilities.

22 But, we had three manufacturers, now we  
23 have four. I've got to look at my fingers when I  
24 count.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN ALDERSON:  Yes, other  
2                   questions?

3                   DR. DAIBES:  Just a general comment.  I  
4                   mean, considering that there was a crisis at one  
5                   point and there is potential crises down the road,  
6                   is there anything in parallel as a possible  
7                   technology to actually overcome this crisis down the  
8                   road that you know of?

9                   MR. GREEN:  Well, I think they've done a  
10                  lot of things.  I mean, we were -- it's not that we  
11                  were naive, we were unprepared in 2009/2010.  
12                  Community reactors got holes in the stainless steel  
13                  vessel, it's leaking all over the place.  There's  
14                  problems with the cement, tubings in Holland.

15                  The two biggest reactors that supplied  
16                  roughly 6 percent of the moly in the world went down  
17                  for almost a year.  That was rough.

18                  But, back then, we didn't have any  
19                  coordination.  We didn't have France tell Australia,  
20                  hey, I'm going down for three weeks here to refuel  
21                  my machine.  You stay up while I'm down.  Now, we  
22                  have that coordination.

23                  Now, they've qualified additional target  
24                  slots.  So, rather than having just 8 targets being

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       bombarded for a 6-day curie production cycle,  
2       they've rented the space and now they've got outage  
3       reserve capacity, they can take that 8 and make it  
4       12.

5                   If you tell me, I'm going to be out  
6       doing a maintenance cycle, I can pick up the slack.  
7       The other generator -- the manufacturer can pick up  
8       the slack by using those other slots and creating  
9       more targets.

10                   So, we've got the coordination, we've  
11       got the outage reserve capacity. We've got more  
12       alternatives today than we had. We were unprepared  
13       in '09 and '10. We're better prepared.

14                   DR. DAIBES: But, you always have the  
15       unknown, though.

16                   MR. GREEN: Who knew, I mean the article  
17       in The New York Times said it was a baboon that got  
18       into the reactor hull. I don't know if that's true  
19       or not.

20                   (Laughter.)

21                   MR. GREEN: We can discuss their  
22       validity as journalistic source. But, safety  
23       culture, shutting down, not a spill, not a release,  
24       not an exposure, safety culture issue shutting down

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 for three months. And there was -- the reactor was  
2 not shutdown, it was the processor that sorts  
3 through the bits.

4 And so, and then, it happened to be that  
5 the OPAL reactor was down for maintenance in that  
6 cycle. So, that really added the additional pain.

7 CHAIRMAN ALDERSON: So, Richard,  
8 NorthStar is up now?

9 MR. GREEN: Their drug is FDA approved.

10 CHAIRMAN ALDERSON: FDA approved? It's  
11 up there? I meant, they're selling the product now?

12 MR. GREEN: We have a representative  
13 from the state of Wisconsin Agreement State who can  
14 give us direct information on their license status.

15 MS. SHOBER: Yes, Wisconsin does have  
16 the -- we have an application in for the  
17 distribution license for that generator product.

18 The distribution license has not been  
19 issued yet. We are still waiting, coordinating with  
20 NRC on the safety evaluation report for the  
21 generator.

22 CHAIRMAN ALDERSON: I see. So, pending  
23 local approval, it's not really being distributed  
24 yet?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. GREEN: Not yet.

2 MS. SHOBER: Better not be.

3 (Laughter,)

4 MR. GREEN: We hope so.

5 MS. SHOBER: Yes, I mean, we're  
6 expecting within the next several weeks or --

7 CHAIRMAN ALDERSON: Several weeks?

8 MS. SHOBER: -- you know, short  
9 relatively short-term.

10 CHAIRMAN ALDERSON: And then, SHINE is  
11 about two years?

12 MR. GREEN: Two or beyond.

13 CHAIRMAN ALDERSON: Yes, all right.

14 Well, Wisconsin's going to be the  
15 important place to be.

16 MS. SHOBER: Well, SHINE has an NRC  
17 license for their production facility. And, we're  
18 just licensing their demonstration site.

19 CHAIRMAN ALDERSON: Oh, okay, all right,  
20 very good.

21 MR. GREEN: But, who knew? Southern  
22 Wisconsin.

23 MS. SHOBER: The place to be.

24 CHAIRMAN ALDERSON: Any other questions

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for Richard?

2 Seeing none, thank you, thank you.

3 Excellent report bringing us up to date on this  
4 future issue.

5 Okay, medical -- the next report is back  
6 to Doug Bollock again on the medical projects on the  
7 horizon.

8 MR. BOLLOCK: Good afternoon.

9 I'm just going to give a quick overview  
10 of some of the major projects that the medical team  
11 is working on. And, I'm Doug Bollock, I'm the Chief  
12 of the Medical Safety Events Assessment Group.

13 All right, so, just a couple of major  
14 projects that the medical team is working on right  
15 now.

16 As you're all aware, Part 35 rule was  
17 approved by the Commission last year. We are  
18 awaiting OMB's final review for impact before that  
19 rule to be published for the near future.

20 We've reached out to find out an  
21 estimated time when we'll get it back. Have not  
22 heard back yet on that. But we are engaged and  
23 hoping that comes out shortly.

24 But, with that rule change, there are a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 lot of other things that have to happen.

2 So, NUREG-1556 Volumes 9 and 13 have to  
3 be updated to reflect the changes in the rule. And,  
4 Donna-Beth Howe is working with the NUREG-1556  
5 working group to incorporate those.

6 Also, besides that, we are working on  
7 the other NUREG-1556 Volume 9 and 13 updates. Katie  
8 Tapp is working with -- Dr. Tapp is working on that  
9 along with a working group including other NRC  
10 employees representation.

11 Germanium Gallium generators, as we  
12 know, last year, I guess two years ago now, we  
13 approved the 35.1000 licensing guidance for the  
14 Eckert Ziegler generators.

15 But, what we are working on, Dr. Daibes  
16 is working on 35.1000 guidance for current and  
17 future generators. So, a more generic licensing  
18 guidance so we don't have to update it every time a  
19 new generator comes out. We just have one that  
20 covers all the generators. We think it's -- we have  
21 a path in sight to be able to do that. So, we're  
22 working on that right now.

23 Physical presence requirements for  
24 Leksell Perfexion™ and Icon™, y'all had -- ACMUI had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a teleconference last month on this. And, Sophie  
2 Holiday and the Agreement State working group are  
3 working on changes to 35.1000 guidance and  
4 considering the comments from the ACMUI in their  
5 determinations for any changes there.

6 Yttrium-90 microspheres, the topic of  
7 the day today, another -- as Katie Tapp indicated  
8 earlier, there were -- we put out a Federal Register  
9 Notice for public comments on the 35.1000 guidance.  
10 So, we're Revision 10 to the 35.1000 guidance on the  
11 Yttrium-90 microspheres went out for public comment.  
12 The first time we've ever done that with 35.1000  
13 guidance.

14 And, we understand and recognize it's  
15 not the same as going through rulemaking in that  
16 full, you know, all the public input from that.  
17 However, it's a step and we believe it's a step in  
18 the right direction to get some further insights  
19 from the users and the public to inform us on our  
20 next revision.

21 And, so, we've received all of our  
22 comments over 100 comments were received by the  
23 public. Some of them were, you know, we agree with  
24 this standard letter, but so, I think it was 134

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1        comments is the last number I had heard and we're  
2        still in processing those comments as that just  
3        closed a couple weeks ago.

4                    And, once we get all the comments, Katie  
5        will lead the Agreement State Working Group on going  
6        through those comments and incorporating, or  
7        potentially incorporate, anything into the next  
8        revision to Y-90 microspheres.

9                    Patient release, so, as you were all  
10       informed this morning, we set the -- the staff sent  
11       a SECY paper to the Commission on patient release  
12       last month, or actually, the end of January.

13                   However, our work is not done. We are  
14       going to update our guidance on patient release  
15       which is Reg Guide 839.

16                   As I'm sure many of you all are aware,  
17       NUREG-1556 Volume 9 Appendix U also provides  
18       guidance.

19                   What we're doing now is the Volume 9  
20       Appendix U will refer to the Reg Guide. So, we will  
21       just work on changing that one document and not have  
22       dual documents with potentially different  
23       information if we update them in the future. So, we  
24       will just have the Reg Guide and be updating that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And, some of the things that will be  
2 going into the updates include the best practices  
3 and other information that we provided -- the NRC  
4 provided in an information notice last year, May of  
5 last year, that the ACMUI reviewed and gave us many,  
6 many good comments.

7           We've actually seen a lot of kudos for  
8 that information notice. And, a lot of that will be  
9 included in the Reg Guide update.

10           And, last, but not least, training  
11 experience paper. So, the staff was -- when the  
12 Commission approved the Part 35 final rule, they  
13 also in their SRM with the approval directed the  
14 staff to evaluate training experience as we  
15 discussed in the teleconference last week.

16           So, we owe the Commission our staff's  
17 evaluation on our training experience requirements  
18 specifically for 35.300 at the end of August of this  
19 year.

20           So, we will be -- staff will be quickly  
21 getting our recommendations thoughts on paper and  
22 sharing it with the ACMUI later this spring.

23           So, that's some of the major projects  
24 that the NRC is working on.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   We also -- we are reviewing potential  
2                   rulemaking that we received from the organization of  
3                   Agreement States to add isotopes to the 10 CFR  
4                   Appendix -- or 10 CFR Part 35 -- or Part 30 Appendix  
5                   B table, this is -- if y'all remember, this is the  
6                   table that was discussed many times because it did  
7                   not have germanium-69 in the table.

8                   And, that's why we need an exemption for  
9                   the germanium gallium generators because the use of  
10                  those generators automatically kicked into requiring  
11                  a decommissioning funding plan.

12                  So, there are a couple of isotopes that  
13                  were recommended by the Organization of Agreement  
14                  States.

15                  Also, the staff put out a *Federal*  
16                  *Register* Notice soliciting for other isotopes. I  
17                  don't think we received many, so I don't know if  
18                  there are any others outside of what the original  
19                  petition had.

20                  PARTICIPANT: Roughly 10.

21                  MR. BOLLOCK: Ten? Okay, so there's 10  
22                  additional isotopes. So, the NRC is reviewing that  
23                  and Said is part of the petition review group for  
24                  that. So, that's another major project that we're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 working on.

2 And, as Dr. O'Hara's well aware, we are  
3 also working with the FDA in updating our FDA NRC  
4 Memorandum of Understanding. And, that's just how  
5 we are able to communicate and share information  
6 between the two agencies.

7 And, those are all the major work. That  
8 doesn't count all the day to day answering questions  
9 that we receive from our regions, from the states  
10 and from the general public on our regulations, our  
11 practices and everything across the board.

12 There's a lot of work for a small group.  
13 If you look around, there's only a couple people  
14 sitting on the edges here. And, in fact, we  
15 actually had to supplement our staff this year in  
16 order to get the SECY papers that we needed to write  
17 for the training experience and also for emergent  
18 technologies.

19 I was able to add for this year, Irene  
20 Wu to the medical team.

21 So, it's a lot of work. We've got a  
22 very dedicated staff, thankfully, keeping us very  
23 busy.

24 And, you -- as the ACMUI, if you're not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       aware of what's going on, you will be. There will  
2       be multiple opportunities for us to share  
3       information with you and seek your guidance on many  
4       of these projects.

5                   Any questions?

6                   CHAIRMAN ALDERSON: Okay, yes, Laura  
7       Weil?

8                   MEMBER WEIL: So, when is your paper to  
9       the Commission on training and experience going to  
10      be finished?

11                  MR. BOLLOCK: August, the end of August.

12                  MEMBER WEIL: And, how does that mesh  
13      with the work of our Subcommittee?

14                  MR. BOLLOCK: So, it's -- it can go in  
15      parallel. Now, we -- the staff was directed to  
16      provide our staff evaluation to the Commission. So,  
17      before any actions can happen, you know, before  
18      anything -- any actual changes would occur, there is  
19      a lot of other steps to get to that point.

20                  We would seek a lot of other input to do  
21      that. So, this is just one part of that and one  
22      step in that process. But, it works in parallel  
23      with -- but we, as staff, we have to respond to the  
24      Commission.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. HOLIDAY: Dr. Alderson? I'm sorry,  
2 this is Sophie.

3 If I could maybe add a little bit of  
4 information, I think what Ms. Weil is trying to ask  
5 or ascertain is, is this going to expedite the  
6 review that the Subcommittee is performing?

7 And, I think, as we explained during the  
8 teleconference last week, similar to when we have  
9 rulemaking changes or NUREG-1556 or other guidance  
10 documents, staff will be providing its paper, its  
11 draft paper to the Committee for their review and  
12 their comment.

13 This does not necessarily mean that the  
14 Subcommittee's overall review of training experience  
15 comes to an end.

16 MR. BOLLOCK: Right, nor does that mean  
17 that you have to -- we'll ask you to review our  
18 evaluation. But, what your Subcommittee is working  
19 on for any recommendations directly from the  
20 Subcommittee does not -- that doesn't affect the  
21 time line there. You can work that in parallel.

22 CHAIRMAN ALDERSON: Question from Dr.  
23 Palestro on that?

24 MEMBER PALESTRO: Yes, I'm sorry, I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 didn't follow what you just said.

2 MR. BOLLOCK: Our paper doesn't affect  
3 the work that you're doing.

4 MEMBER PALESTRO: Well, the way you're  
5 describing it sounds to me almost like it's  
6 duplication of effort. In other words, why would we  
7 both be working on the same topic separately?

8 I understand if you're going to prepare  
9 a draft of a paper or a proposal for the  
10 Subcommittee to review and to critique and to make  
11 suggestions or vice versa, it doesn't really make  
12 any difference to me.

13 But, for the two groups to be working on  
14 the same topic independently, I'm not sure that that  
15 works very well, especially when you have deadlines  
16 to meet, unless I'm just not understanding what  
17 you're going to do.

18 MR. BOLLOCK: We have our deadline --  
19 right, we have our deadline and what we owe, you  
20 know, the paper. So, we can discuss further the  
21 offline or I'll say it right here.

22 Really, there's not -- if you feel it's  
23 duplicative, I mean there is a sense that it is  
24 duplicative, but we understand that. We do want to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 seek the ACMUI's Subcommittee view.

2 If you're doing other outreach and  
3 things that we don't have access to, we don't work  
4 in clinical settings, we don't have the same  
5 connections to -- that you necessarily have.

6 We do it through you in a lot of cases,  
7 right, but we don't necessarily have that. We just  
8 -- what we owe the Commission is our staff  
9 evaluation, so it's the staff's opinion on what we  
10 are directed to do.

11 So, we can work that in parallel. And,  
12 what we would ask for -- what staff would ask to do  
13 is review our product or have the ACMUI to review  
14 our product before it goes to the Commission.

15 MEMBER PALESTRO: Okay, so then the  
16 staff is going to put together, if you describe it,  
17 a product, and it'll come back to the Subcommittee  
18 to review and to make comments on?

19 MR. BOLLOCK: Correct.

20 CHAIRMAN ALDERSON: Well, I think we're  
21 going to have several comments now.

22 All right, Dr. Dilsizian's hand I saw  
23 next.

24 MEMBER DILSIZIAN: I guess the simple

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 question that comes up is, would you have  
2 information that the Subcommittee does not have?

3 What, you know, for example, whatever  
4 the research that you're doing besides teaching and  
5 training with the issues is where did 700 hours come  
6 from?

7 I guess the question is, would you have  
8 additional data that they may not have that you may  
9 share with them as you're doing your work?

10 MR. BOLLOCK: Right now, I don't believe  
11 we have anything -- any information that you don't  
12 have or haven't had access to over the past couple  
13 years of working this.

14 But, if we do find -- if we think we've  
15 come upon some other background information, we can  
16 share that through -- we have a staff resource for  
17 your Subcommittee. I believe Maryann's the staff  
18 resource. If we come across that, we'll -- Maryann  
19 can share that with the Subcommittee, absolutely.

20 Yes, we don't intend to -- yes, this is  
21 -- we don't have -- right now, we don't have any  
22 really different information or new information that  
23 the ACMUI doesn't have.

24 But, staff has to come up with our

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 recommendations to the Commission.

2 CHAIRMAN ALDERSON: I think Ron Ennis  
3 had the next comment.

4 MEMBER ENNIS: So, I guess what -- I  
5 don't know the words other people must, but I think  
6 we're used to a little process where we've had kind  
7 of had sort of given you advice and then you've kind  
8 of digested that and come up with a position paper.

9 And, I think the tension here is like it  
10 seems to not be following in that kind of a sequence  
11 now. And, I don't want to put words in Chris's  
12 mouth, but maybe Chris wants to know how his  
13 Subcommittee can still impact your paper if you're  
14 working in parallel instead of in sequence?

15 MR. BOLLOCK: It's a good question. We  
16 have, I mean, we essentially have our time line,  
17 it's coming up shortly. We've had a lot of other  
18 projects that have taken precedence the whole way so  
19 this is one we really have to focus to get this out.

20 And, we have heard and, you know, we do  
21 from the interim report that we just heard last week  
22 and the previous reports, the May or the March 2016  
23 report and all the work on that. I mean, that'll  
24 help -- that helps inform staff on our position.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   But, and we understand there's, you  
2                   know, things take time, but we are on a time -- we  
3                   are on a kind of a strict time line.

4                   And, that's why we're, in this case,  
5                   we're driving -- it's our evaluation. It's staff's  
6                   evaluation. So, we're driving this a little bit  
7                   more so than things in the past that the Committee  
8                   has seen where you bring an issue to us and  
9                   recommend change and then we might take action with  
10                  that.

11                  This is something that we've been  
12                  directed, staff's evaluation. So, we have, you  
13                  know, this is something we have to do.

14                  Yes, I don't like to be duplicative in  
15                  the work. And, the Subcommittee, you know, like I  
16                  said, for anything to make any actual change that  
17                  the Commission decides to have us do, say,  
18                  hypothetically, they have us go the path of  
19                  rulemaking and we'll have to develop a regulatory  
20                  basis for that.

21                  We'll have our part of it and there's  
22                  other parts that tie into it. And so, the  
23                  Subcommittee's work will tie into that and other  
24                  public comments will all tie into that process.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           So, it is duplicative, but it's -- we  
2           will be seeking all that duplicative input or, you  
3           know, opinions. We will be seeking all that for  
4           anything going forward.

5           Yes, so, I mean, I understand. This is  
6           a little bit different from what the Committee is  
7           used to with projects we have. I guess something --  
8           it is probably closest to what we did with the  
9           patient release paper.

10           We had the patient release draft SECY  
11           paper. We were driving that. I know the ACMUI had  
12           years prior given presentation and that was part of  
13           our staff's paper.

14           And then, we provided the Subcommittee  
15           and the full Committee the draft paper. You  
16           provided us your recommendations. That's closest to  
17           what we're doing right now with this.

18           CHAIRMAN ALDERSON: Okay, Dr. Ennis,  
19           again?

20           MEMBER ENNIS: So, just to close the  
21           loop, so, it seems like the issue is that you have a  
22           deadline from the Commission.

23           So, is it possible to share with the  
24           Commission that this is a big deal and it needs more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 time to kind of get things aligned rather than --

2 MR. BOLLOCK: They're aware. And,  
3 they're aware that this is -- and this has evolved  
4 even with the ACMUI and the discussions from March  
5 2016 to now, right? There's new things happened,  
6 you know, you hear new input, you get the new  
7 information comes along. Right?

8 This is -- we have to be able to be  
9 flexible with that. The Commission is aware and  
10 they have been aware. I mean, I think, I believe,  
11 Dr. Palestro, you've briefed them in March of 2016  
12 on this topic.

13 MEMBER PALESTRO: And 2017.

14 MR. BOLLOCK: And 2017. And again  
15 tomorrow.

16 MEMBER PALESTRO: And 2018.

17 MR. BOLLOCK: So, they have been aware.  
18 It is just, you know, we owe the Commission. We  
19 work for the -- we're staff, we work for the  
20 Commission and we owe them this evaluation.

21 MR. OUHIB: I guess just along that same  
22 line, are there, for better coordination and to  
23 really resolve these issues, are there any target  
24 dates for each one of these at this point?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. BOLLOCK:  So, the Part 35 rule  
2                   change implementation, that -- once the rule is --  
3                   there's not, again, this is -- right now, it's out  
4                   of our hands.  When it comes back and it's actually  
5                   published, we'll have six months before it's  
6                   applicable.

7                   And, in that time frame, we will have --  
8                   that's when we'll have to have the NUREG-1556  
9                   updates.  But, I think we're very close on that  
10                  right now.

11                  1556 updates that apply to the rule  
12                  change, I should be specific with that.  The general  
13                  NUREG-1556 updates, that may take a little bit  
14                  longer.  There's -- that may lag a couple months.

15                  The -- so that'll all be done within the  
16                  six months before it's applicable.

17                  We have to update our internal  
18                  procedures, our inspection manual chapters and  
19                  inspection procedures that may have to be changed.  
20                  I don't believe there's any major changes to those,  
21                  but Donna-Beth and Maryann are reviewing all of  
22                  those.

23                  We have some other internal NRC  
24                  procedures, processes, some of the reporting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 requirements change in the rule which means our  
2 headquarters operations officer who received the  
3 emergency notifications, they have to change their  
4 processes. They have to understand what they are  
5 receiving.

6 We also plan on providing training to  
7 NRC license reviewers on the spectrums on the  
8 changes to the Agreement States as well. And then,  
9 to the licensees. So, we'll be developing and  
10 providing webinar training on the changes to the  
11 rule. And, that'll all happen in the six months.

12 Essentially, we plan on having that.  
13 Once the training's developed, start giving it  
14 probably April, May, June and throughout the summer  
15 through multiple times.

16 The Germanium/Gallium generators, that  
17 update, I believe we have a draft update already.  
18 We're just -- staff will do a peer review before  
19 it'll come to me and then we'll send it to the  
20 Agreement States for their review and our Office of  
21 General Counsel review. So, that's kind of in  
22 process now. And then, we'll share it with the  
23 ACMUI when we share it with the Agreement States, I  
24 believe. So, that may be coming out shortly.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           There's actually not a lot of changes to  
2           that from what the guidance that was already  
3           developed two years ago.

4           Sometimes when we have -- we'll have to  
5           take a look at it. Sometimes we do request -- in  
6           the past we have requested with 35.1000 guidance  
7           where there's very, very small changes with -- after  
8           speaking to a couple -- just a few of the ACMUI  
9           members, if the changes are not significant and  
10          we've checked with a couple of the ACMUI staff.  
11          They said this isn't worth your time to do a full  
12          review, full Committee comment.

13          This is actually maybe the case with the  
14          Germanium Gallium generators. There's not a lot of  
15          changes to make it generic, which is a good thing.  
16          I mean, it makes it -- this makes it easier for  
17          future generators and other companies that want to  
18          develop future generators.

19          So, we do -- those are a couple things  
20          that we know we'll see in the next couple months and  
21          this year that may be coming to the Committee.

22          Our hard line dates, the only one -- the  
23          only two that we really have hard line dates for is  
24          the -- to have the rule change implementation in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 place and that's just once the SRM goes out before  
2 it becomes -- before it's applicable then we'll have  
3 to have those in.

4 And, you know, we feel we can -- we  
5 should be able to easily do that.

6 The patient release, the Reg. Guide 8.39  
7 update, we have a date for that. There is some time  
8 frame with Reg. Guide updates, it has to go out for  
9 public comment. So, that does delay it. But, it'll  
10 be about two years from now when that's fully  
11 completed.

12 And then, the training and experience  
13 paper, that's -- that we know we owe at the end of  
14 August.

15 CHAIRMAN ALDERSON: Yes? Dr. Zanzonico?

16 VICE CHAIRMAN ZANZONICO: So, Pat  
17 Zanzonico.

18 So, regarding the Reg. Guide which you  
19 just alluded to, so, I'm glad to see you probably  
20 follow the ACMUI recommendations to maintain Reg.  
21 Guide 8.39.

22 I think one advantage of Appendix U over  
23 the Reg. Guide is that the Reg. Guide, at least the  
24 original version, was largely I-131 focused.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Whereas, the Appendix U was more general.

2                           Are the anticipated revisions of Reg.  
3           Guide 839 including generalizing it somewhat to  
4           other isotopes, radiopharmaceuticals and so forth?

5                           MR. BOLLOCK: Right now, I believe the  
6           tables in there include other isotopes.

7                           VICE CHAIRMAN ZANZONICO: I'm trying to  
8           recollect that.

9                           MR. BOLLOCK: Yes, in Reg. Guide 8.39.

10                           And, originally, the Reg. Guide and the  
11           NUREG-1556, Volume 9, Appendix U were identical at  
12           one point. They did start out identical. We're  
13           just hoping to get back to that.

14                           It will be -- it will cover -- it is  
15           intended to cover all isotopes or all uses and what  
16           tool to be used.

17                           VICE CHAIRMAN ZANZONICO: I guess what I  
18           was thinking of was the kinetic models specifically  
19           for I-131.

20                           MR. BOLLOCK: Right. So, the modeling  
21           that we use in our paper were specifically for I-  
22           131. That still is kind of the bounding isotope for  
23           patient release, is still I-131. So, the -- I mean,  
24           there is more information on that. So, the focus

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 can seem to be like that, it's not intended. The  
2 guidance itself is for all patients.

3 VICE CHAIRMAN ZANZONICO: Okay. I was  
4 just mis-remembering.

5 MR. BOLLOCK: Yes, no, it's --

6 VICE CHAIRMAN ZANZONICO: It's one of  
7 those -- essentially one of those tables or the four  
8 tables in Appendix U or essentially reproduced in  
9 the Reg. Guide?

10 MR. BOLLOCK: They are, yes, they are  
11 the same. In fact, I think they came from the Reg.  
12 Guide originally in Appendix U.

13 The one thing of note, so the Reg.  
14 Guide, we do understand that the calculations in the  
15 tables are out of date. To do those updates, we  
16 actually have to split the Reg. Guide updates into  
17 two.

18 It will be one that's including -- one  
19 will include the information that was the best  
20 practices and all the information that we gathered,  
21 staff gathered, ACMUI helped us with in reviewing  
22 that.

23 A lot of that was the information notice  
24 that we put out last year. That update, we're going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 to do immediately in the next two years.

2 To do -- to update all those -- the  
3 calculations in the tables, that's a lot of number  
4 crunching that, frankly, the NRC doesn't do a lot of  
5 frequently. So, we contract that out. We need  
6 money to contract that out. So, that goes to our  
7 budget.

8 We're planning on asking for the money  
9 and that budget will be approved later this year.

10 So, I just can't tell for sure.

11 VICE CHAIRMAN ZANZONICO: Just a follow  
12 up question on the updating the data. You mentioned  
13 contracting that out, that generally is something  
14 like Oak Ridge does it?

15 CHAIRMAN ALDERSON: Dr. Palestro?

16 MEMBER PALESTRO: Yes, I'd like to bring  
17 the discussion back to training and experience  
18 again. Because, I really want to try to get this  
19 clarified for the Subcommittee.

20 And, again, I just want to go over the  
21 concept that you have in mind. I don't know how far  
22 along you are in the project, how much of a draft  
23 you have, nor am I asking to discuss that with us at  
24 the moment.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   But, it seems to me that this  
2 Subcommittee can be most useful and most efficient  
3 in two ways. One is when you put either the entire  
4 draft or sections of it together, that would be  
5 distributed among the members of the Subcommittee to  
6 review and comment on.

7                   And the second is, and you alluded to it  
8 before, that if there is information that you may  
9 not have ready access to or not know where to go  
10 look that we could potentially have a better sense  
11 of where to go look, then we could also be contacted  
12 for that.

13                   We're looking at -- we want information  
14 on, for example, a number of authorized users  
15 graduating nuclear medicine programs. We know how  
16 to get that and get it quickly.

17                   MR. BOLLOCK: Right.

18                   MEMBER PALESTRO: So, that sort of  
19 thing.

20                   But, that's different than having the  
21 Subcommittee work in parallel with you.

22                   MR. BOLLOCK: Right. And, we understand  
23 that and I think we have, and staff in the past has  
24 reached out to either individual members or a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Subcommittee when we're working out things. And, we  
2 have found that work relationship very beneficial to  
3 us in helping us in the development.

4 And, yes, we are not --

5 MEMBER PALESTRO: No, I just think  
6 that's fine. And, I think, you know, I'm speaking  
7 on behalf of the Subcommittee that we agree that  
8 we're more than happy if that's the set up that  
9 we're going to be reactive rather than, quote,  
10 unquote, proactive in this endeavor, that's fine as  
11 long as we all are on the same page and clear about  
12 it up front.

13 MR. BOLLOCK: Absolutely. And, we  
14 appreciate that. And, we will -- you will be  
15 hearing from us and the Subcommittee will be hearing  
16 from us.

17 MEMBER PALESTRO: Sure.

18 MR. BOLLOCK: Yes, because we understand  
19 it. You know, we're -- this is a -- the training  
20 and experience, as we heard last week in the public  
21 meeting, there are multiple people with multiple  
22 opinions and they're all -- they all have valid,  
23 scientific, logical basis for everything they say.

24 All right? We heard it from across the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 board all the different sides and ends of the  
2 spectrum. And, they're all from professionals,  
3 other physicians like yourselves, you know, medical  
4 professionals that have a lot of experience in this  
5 field.

6 So, we understand, there is a lot there.  
7 We staff just have to come up with our -- what we  
8 best can do with what we know and what we're, you  
9 know, with our understanding of radiation  
10 protection, radiation safety that we understand and  
11 our experiences and experiences of regulators to  
12 answer the questions and to the Commission what the  
13 Commission's asked us to do.

14 So, we get -- this would -- to make any  
15 real change would take -- we need to engage and  
16 continue to engage our external stakeholders, the  
17 ACMUI to make any final change. Like I said, you  
18 know, hypothetically, just to same changes, we would  
19 -- we understand, we would have to reach out and  
20 there is a lot more information needed.

21 And, we're going to continue to hear  
22 those different perspectives before we land on  
23 whatever we're going to land on. And, this is just  
24 one part of that is what the staff believes right

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 now.

2 CHAIRMAN ALDERSON: So, this is Dr.  
3 Alderson.

4 I would like to make a comment and, at  
5 the risk of oversimplification, it seems like the  
6 two primary issues here, the one that should be  
7 primary to all of us is we work within the NRC is  
8 the safe and effective handling of these radioactive  
9 sources. That's the number one thing that we have  
10 to be worried about.

11 The thing that we have to balance off  
12 against is that problem of patient access.

13 Those are the two sides of the equations  
14 -- of the equation.

15 The pressures, and there are pressures  
16 coming on both the medical side and the regulatory  
17 side. But, could be extremely different, different  
18 pressures for different reasons.

19 Accordingly, I would suggest that the  
20 Committee not be reactive, that the Committee be  
21 proactive, really get to work looking at those  
22 issues, come up with what you think the medical, you  
23 know, best solutions are.

24 And then, when you get access to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 draft from the NRC, you are already -- you've  
2 already got a medical opinion ready.

3 I think what'll happen is that things  
4 will often get rushed toward the end. And then,  
5 suddenly, there will be not enough time or it won't  
6 be easy to think it through and you shouldn't, I  
7 think, just react to what comes out, but actually be  
8 ready with a position of our own that we think is  
9 both safe and effective and provides as much access  
10 as possible, as possible, not universal access, as  
11 much as possible within the context of safety.

12 And, I think it will take some work to  
13 do that, but I would go ahead and do that  
14 proactively, that would just be my suggestion.

15 Yes, yes?

16 MR. GREEN: I understand what you're  
17 saying, Phil. And, I think it makes sense provided  
18 that there is frequent interaction between staff and  
19 the Subcommittee.

20 Rather than the Subcommittee preparing a  
21 document in isolation and the two groups meeting,  
22 say, three to four months down the road then they  
23 could diametrically opposed.

24 CHAIRMAN ALDERSON: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MR. GREEN: Better that there be  
2 frequent communication either between, you know,  
3 several members of the staff or the liaison on a  
4 periodic basis to say, look, this is what we're  
5 thinking, what are you thinking and vice versa.

6                   CHAIRMAN ALDERSON: Good. I think that  
7 would be served mutually proactive. I think that'd  
8 be ideal. Yes.

9                   Yes, Dr. Dilsizian?

10                  MEMBER DILSIZIAN: I guess the time line  
11 of August, end of August is interesting because  
12 we're not going to meet as a group until September.  
13 Which means that a lot of the dialogues happen  
14 between the Subcommittee and the staff, but we who  
15 are not in the Committee are going to be out of this  
16 discussion.

17                  I guess is, the question is, can we have  
18 any conference calls in between so that we all are  
19 informed?

20                  MR. BOLLOCK: Yes, so, typically, we  
21 would, you know, as we did with the patient release  
22 paper earlier this year. The Subcommittee was  
23 provided the paper, the Subcommittee reviewed,  
24 shared -- and then, the entire Committee reviewed it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and discussed it in a public setting on a  
2 teleconference. I believe it was a teleconference  
3 earlier this year.

4 We would do the -- we would have to do  
5 the same thing, you know, late spring or early  
6 summer after you've had a chance to review it and  
7 provide -- the Subcommittee provide the input and  
8 then the rest of the Committee see it and discuss  
9 it.

10 So, there would be another public  
11 teleconference. And, we do recognize that that we  
12 need to do that.

13 CHAIRMAN ALDERSON: Yes? Ms. Weil?

14 MEMBER WEIL: I think it would be a good  
15 idea for this Committee to review the charge to the  
16 Subcommittee and make sure that the role of the  
17 Subcommittee is clear.

18 Because, I think this is quite different  
19 from what we envisioned our charge to be.

20 And, you know, going forward, again,  
21 there's this question of educational memory which  
22 is, since it's an ongoing Subcommittee, it should  
23 just be clarified.

24 CHAIRMAN ALDERSON: I think that's fine.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   MR. BOLLOCK: Yes, and we recognize the  
2                   -- right, and the original charge of the  
3                   Subcommittee was to look at all modalities and go  
4                   through a systematic approach going through every  
5                   one of the -- each section of Part 35.

6                   And, this is a more specific task that  
7                   we would be asking the Subcommittee to review is our  
8                   -- and so we -- yes.

9                   (Off-microphone comment.)

10                  CHAIRMAN ALDERSON: Was that comment --

11                  MR. BOLLOCK: At the time --

12                  CHAIRMAN ALDERSON: I don't think the  
13                  transcriptionist heard that comment, Laura.

14                  MEMBER WEIL: That's probably fine.

15                  (Laughter.)

16                  MR. BOLLOCK: Hindsight's 20/20, at the  
17                  time, I believe that the Subcommittee was formed  
18                  with all the best intentions and we're doing all the  
19                  right things.

20                  CHAIRMAN ALDERSON: Yes, clearly the  
21                  current charge, whatever it is, I don't remember  
22                  exactly the charge, but right now, it's focused on  
23                  one particular set of radionuclide therapies, not  
24                  the broad concept. That's still there and should

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ultimately be addressed.

2 But, this is aimed at a much more  
3 specific target in part because it's come down that  
4 way from the Commission. So, we can only -- we have  
5 to respond.

6 And, we have a comment from the  
7 audience.

8 MS. KUBLER: Hi, Caitlin Kubler with the  
9 Society of Nuclear Medicine and Molecular Imaging  
10 again.

11 I would ask that, if the ACMUI  
12 Subcommittee is to have another conference call, if  
13 the public would have a little more advanced notice  
14 than a week or a few days to review the  
15 Subcommittee's report.

16 Last time, we kind of scrambled to put  
17 together some comments and it would be helpful if we  
18 had a little bit more time to thoroughly review that  
19 before the teleconference occurs.

20 Thank you.

21 MS. HOLIDAY: Hi, Caitlin, this is  
22 Sophie.

23 If I could follow up with your comment,  
24 per the ACMUI bylaws, meeting materials have to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 distributed to the Committee no later than two weeks  
2 in advance of the meeting.

3 Of course, that also means that, if I  
4 was to receive it, you know, on that two week  
5 deadline, I would have to send it out. But, I also  
6 have to enter it into ADAMS and then it has to be  
7 processed by the staff here in order to make it  
8 publically available.

9 Then, once it's publically available, I  
10 have to pass it on to the web contractors for them  
11 to post on the website.

12 So, there is a little bit of lag time,  
13 but I recognize that. So, how I take it, as lessons  
14 learned from the February and the March  
15 teleconference is that I will be proactive and I  
16 will send out the report as soon as it's declared as  
17 publically available in ADAMS.

18 Because, recognizing that there is a lag  
19 time between the time it's declared in ADAMS to be  
20 publically available and posted on the website, I  
21 will distribute that at least to our typical  
22 professional societal organizations that know will  
23 be submitting comments.

24 And, quite possibly sending it out on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the medical listserv announcement in time for the  
2 meeting. But, generally, by the time it gets on the  
3 medical listserv announcement, it's also  
4 concurrently the time it's posted on the website as  
5 well.

6 MS. KUBLER: Thank you, I appreciate  
7 that.

8 MS. HOLIDAY: Thank you.

9 MR. BOLLOCK: Yes, we, unfortunately,  
10 just we, you know, work the paper, we give it to you  
11 for review. You provide us comments. And, it does  
12 take time and we have to set up the meeting.

13 You know, we do our best, we recognize  
14 that and we do try to get the information and share  
15 it and be as transparent and as early in that  
16 transparency as we can. We do our best, but, you  
17 know, we do strive for that.

18 I know the staff strives for that. You  
19 know, we do it all the time and sometimes we --  
20 unfortunately, it doesn't get out until, you know,  
21 right before the meeting or two weeks before the  
22 meeting.

23 But, we do work to get it out as early  
24 as possible.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN ALDERSON: Mr. Ouhib has  
2 another comment.

3                   MR. OUHIB: Yes, on the proactive  
4 proposition, I would say perhaps, if you were to  
5 identify certain items, let's say training and  
6 experience papers, certain items that will probably  
7 need to be looked at or reevaluated or whatever, I  
8 think it would be probably valuable if the  
9 Subcommittee were to know that ahead of time prior  
10 to having a conference call and whatnot.

11                   And, say, here are the things that you  
12 might want to think about. This is what we're  
13 looking at or whatever. So, that way, the  
14 Subcommittee would prepare themselves or get  
15 something to sort of propose or counter or whatnot.

16                   CHAIRMAN ALDERSON: Do we have any other  
17 comments on this subject at this particular time?

18                   Seeing none, I think that we are at a  
19 point where we will be adjourning for a break of --

20                   Yes, Dr. Palestro would like to --

21                   MEMBER PALESTRO: Yes, separate topic,  
22 I'd just like to inform the Committee that I did  
23 hear from the Society of Nuclear Medicine and  
24 Molecular Imaging the ACMUI CE session was, in fact,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 approved and it'll be held on Monday, June 25th from  
2 3:00 to 4:30 p.m.

3 CHAIRMAN ALDERSON: Good, well, that's  
4 excellent news. Thank you very much. Are there any  
5 other items that will need to be brought before us  
6 before we take will amount about a half hour break.  
7 Then, we're supposed to reconvene at 3:00 and it's  
8 now, you know, 2:27 according to that clock. So,  
9 we'll take a 33 minute break and that'll be a closed  
10 session. This terminates the open session for  
11 today. Is that not correct?

12 MR. BOLLOCK: That's correct.

13 CHAIRMAN ALDERSON: That is correct.

14 So, seeing no other comments in the  
15 room, are there any comments from outside? I guess  
16 there are not any at this particular point. So, we  
17 will adjourn and we'll be back for the next closed  
18 session starting at 3:00 p.m. Thank you.

19 (Whereupon, the above-entitled matter  
20 went off the record at 2:25 p.m.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701