

**Official Transcript of Proceedings**  
**NUCLEAR REGULATORY COMMISSION**

Title: Meeting of the Advisory Committee  
on the Medical Uses of Isotopes

Docket Number: (n/a)

Location: Rockville, Maryland

Date: Thursday, September 20, 2018

Work Order No.: NRC-3912 Pages 1-188

**NEAL R. GROSS AND CO., INC.**  
**Court Reporters and Transcribers**  
1323 Rhode Island Avenue, N.W.  
Washington, D.C. 20005  
(202) 234-4433

UNITED STATES OF AMERICA  
NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

+ + + + +

FALL 2018 MEETING

+ + + + +

THURSDAY,

SEPTEMBER 20, 2018

+ + + + +

The meeting was convened in the  
Commissioner's Hearing Room, One White Flint North,  
11545 Rockville Pike, Rockville, Maryland, at 11:00  
a.m., Christopher J. Palestro, M.D., ACMUI Chairman,  
presiding.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

MEMBERS PRESENT:

- CHRISTOPHER J. PALESTRO, M.D., Chairman
- DARLENE F. METTER, M.D., Vice Chairman
- VASKEN DILSIZIAN, M.D., Member
- RONALD D. ENNIS, M.D., Member
- RICHARD L. GREEN, Member
- MELISSA MARTIN, Member
- MICHAEL D. O'HARA, Ph.D., Member
- ZOUBIR OUHIB, Member
- ARTHUR SCHLEIPMAN, Ph.D., Member
- MICHAEL SHEETZ, Member
- MEGAN L. SHOBER, Member
- JOHN H. SUH, M.D., Member
- LAURA M. WEIL, Member

NRC STAFF PRESENT:

- DOUGLAS BOLLOCK, NMSS/MSST/MSEB, Designated  
Federal Official
- SABRINA ATACK, NMSS/MSST/SMPB
- MARYANN AYOADE, NMSS/MSST/MSEB/MRST
- LISA DIMMICK, NMSS/MSST/MSEB/MRST
- SOPHIE HOLIDAY, OE/EB
- KATIE TAPP, NMSS/MSST/MSEB
- DONNA-BETH HOWE, NMSS/MSST/MSEB/MRST

**NEAL R. GROSS**  
 COURT REPORTERS AND TRANSCRIBERS  
 1323 RHODE ISLAND AVE., N.W.  
 WASHINGTON, D.C. 20005-3701

AGENDA

Opening Remarks.....4

Old Business.....13

Open Forum.....34

Medical Events Subcommittee Report.....48

Non-Medical Events.....74

ABS Medical Event Case Study Program.....84

T&E for All Modalities Subcommittee Update .....98

T&E Stakeholder Outreach Plan.....133

ACMUI-SNMMI Session at the 2018 SNMMI Annual  
Meeting .....141

Nursing Mother Guidelines Subcommittee Report....145

ACMUI Comments on the Draft Revision of the  
Leksell Gamma Knife7 PerfexionJ and Leksell Gamma  
Knife7 IconJ Licensing Guidance.....160

Adjourn.....188

1 P-R-O-C-E-E-D-I-N-G-S

2 (11:01 a.m.)

3 CHAIRMAN PALESTRO: Good morning, this is  
4 Dr. Palestro. I'm going to open this ACMUI meeting  
5 and I'm going to turn it over to Mr. Bollock for  
6 opening remarks.

7 MR. BOLLOCK: Thank you, Dr. Palestro.  
8 Good morning everyone. As a designated federal  
9 officer for this meeting I'm pleased to welcome you  
10 to this public meeting of the Advisory Committee on  
11 the Medical Uses of Isotopes.

12 My name is Doug Bollock, I'm the Branch  
13 Chief of the Medical Safety and Events Assessment  
14 Branch and I have been designated as the federal  
15 officer for this Advisory Committee in accordance  
16 with 10 CFR Part 7.11.

17 Present today as the alternate designated  
18 federal officer, is Lisa Dimmick, the team leader of  
19 the Medical Radiation Safety Team. This is an  
20 announced meeting of the Committee, is being held in  
21 accordance with the rules and regulations of the  
22 Federal Advisory Committee Act and the Nuclear  
23 Regulatory Commission (NRC).

24 This meeting is being transcribed by the  
25 NRC, and it will also be transcribed and recorded by

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 others.

2 This meeting was announced in the July  
3 25th, 2018 addition of the Federal Register, Line 83,  
4 Page 35287.

5 The function of the Committee is to advise  
6 the NRC Staff on issues and questions that arise in  
7 the medical use of byproduct material. The Committee  
8 provides counsel to the Staff but does not determine  
9 or direct the actual decisions of the Staff to the  
10 Commission.

11 The NRC solicits the views of the Committee  
12 and values their opinions. I request that whenever  
13 possible we try to reach a consensus on the various  
14 issues that we'll discuss today, but I recognize there  
15 may be minority or dissenting opinions. If you have  
16 such opinions, please allow them to be read into the  
17 record.

18 At this point I'd like to perform roll call  
19 of the ACMUI members participating today. Dr.  
20 Christopher Palestro, our Chairman?

21 CHAIRMAN PALESTRO: Here.

22 MR. BOLLOCK: Thank you. Dr. Darlene  
23 Metter, our Vice Chairman?

24 VICE CHAIRMAN METTER: Here.

25 MR. BOLLOCK: Thank you. Dr. Vasken

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Dilsizian?

2 MEMBER DILSIZIAN: Here.

3 MR. BOLLOCK: Thank you. Dr. Ronald Ennis?

4 MEMBER ENNIS: Here.

5 MR. BOLLOCK: Thank you. Mr. Richard

6 Green?

7 MEMBER GREEN: Here.

8 MR. BOLLOCK: Thank you. Dr. Melissa

9 Martin?

10 MEMBER MARTIN: Here.

11 MR. BOLLOCK: Thank you. Dr. Michael

12 O'Hara?

13 MEMBER O'HARA: Here.

14 MR. BOLLOCK: Thank you. Mr. Zoubir Ouhib?

15 MEMBER OUHIB: Here.

16 MR. BOLLOCK: Thank you. Dr. Robert

17 Schleipman?

18 MEMBER SCHLEIPMAN: Here.

19 MR. BOLLOCK: Thank you. Mr. Michael

20 Sheetz?

21 MEMBER SHEETZ: Here.

22 MR. BOLLOCK: Thank you. Ms. Megan Shober?

23 MEMBER SHOBER: Here.

24 MR. BOLLOCK: Thank you. D. John Suh?

25 MEMBER SUH: Here.

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MR. BOLLOCK: Thank you. And Ms. Laura  
2 Weil?

3 MEMBER WEIL: Here.

4 MR. BOLLOCK: Thank you. I confirm we have  
5 a quorum and for the first time, I think in about two  
6 years, we have a full 13 Member Committee.

7 I'd like to add that this meeting is being  
8 webcast, so other individuals may be watching online.  
9 We have a bridge line available and the phone number  
10 is 888-677-2595. The pass code to access the bridge  
11 line is 95756#.

12 Individuals who would like to ask a  
13 question or make a comment regarding the specific  
14 issue the Committee has discussed, should request  
15 permission to be recognized by the ACMUI Chairperson,  
16 Dr. Christopher Palestro.

17 Dr. Palestro, at his option, may entertain  
18 comments or questions from members of the public who  
19 are participating with us today. Comments and  
20 questions are usually addressed by the Committee near  
21 the end of the presentation, after the Committee has  
22 fully discussed the topic.

23 We ask that one person speak at a time.  
24 And this meeting is also closed captioned. I would  
25 also like to add that handouts and agenda for this

1 meeting are available at the NRC's public website.

2 At this time, I ask that everyone on the  
3 call who is not speaking to place their phones on  
4 mute. If you do not have the capability to mute your  
5 phone, please press \*6 to utilize the conference line  
6 mute and un-mute functions.

7 At this point I'd like to turn the meeting  
8 over to Ms. Sabrina Atack, the Acting Deputy Director  
9 of Division of Material Safety, Security, State and  
10 Tribal Programs, for some opening remarks.

11 MS. ATACK: Thank you, Doug. I'd like to  
12 open the meeting by welcoming everyone to the Fall  
13 2018 meeting and echo Doug's remarks regarding  
14 congratulating the Committee for having a full 13  
15 members at this time. It should be a great meeting.

16 Again, my name is Sabrina Atack, I'm the  
17 Acting Deputy Director of the Division of Material  
18 Safety, Security, State and Tribal Programs. And our  
19 current Deputy Director, Kevin Williams, who you may  
20 know, is on rotation in the Office of the Executive  
21 Director for Operations, so he's unable to join us  
22 today.

23 Our current division director, Dan Collins,  
24 is also on annual leave so I apologize. But you have  
25 me today.

1 I'd like to highlight a few areas that may  
2 be of interest to the Committee and to the meeting  
3 participants in my opening remarks. As you're aware,  
4 the Commission approved rule changes for the medical  
5 use of byproduct material, a little more than a year  
6 ago last August.

7 The final rule, 10 CFR Part 35, was  
8 published on July 16th, 2018 and will be effective  
9 this January. Again, thank you to the Committee for  
10 working with the Staff on this major initiative, this  
11 is a great accomplishment.

12 When the rule was voted on, the Commission  
13 did direct the Staff to evaluate whether it makes  
14 sense to establish tailored training and experience  
15 requirements, for different categories of  
16 radiopharmaceuticals.

17 Staff completed its initial evaluation and  
18 provided the status and next steps to the Commission  
19 in a recent SECY paper. That's SECY 18-0084.

20 We do anticipate further work in this  
21 regard in the next year and we look forward to active  
22 engagement with the Committee on this activity.

23 I'd like to take a couple of moments to  
24 report out on some NRC organizational changes. Most  
25 of them you may be aware of, and there are some that

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 are coming up, so I'd like to take a moment to share  
2 those with the Committee.

3 and foremost, the Commission also is at  
4 full First staffing so we're excited about that. In  
5 May of 2018 we were honored to have both Annie Caputo  
6 and David Wright join the Commission as new  
7 Commissioners.

8 And in July of 2018, Margaret Doane, or  
9 Margie, became the NRCs executive director for  
10 operations. She follows Vic McCree's position in  
11 that regard.

12 At the more programmatic level, we do have  
13 some changes that are coming up with respect to the  
14 materials function in NMSS. First, Dan Collins, who  
15 is not at this meeting today, has accepted a position  
16 in NRC's Region I and will be leaving the division of  
17 material safety, security, state and tribal programs  
18 sometime this winter.

19 We are working to actively backfill for  
20 Dan. Our office director and deputy, conducting  
21 interviews in the next few weeks to identify Dan's  
22 backfill, but we do anticipate having a period of  
23 turnover such that the incoming division director  
24 will be able to get up to speed on the activities of  
25 the division during the November, December time

1 frame.

2 You may be aware that Doug Bollock has  
3 accepted a position in our Office of Nuclear Reactor  
4 Regulation and will be leaving the division as well.

5 Coming behind Doug will be Chris Einberg  
6 who previously served in the medical branch many years  
7 back. So, Chris Einberg will become the chief of the  
8 medical safety and advance assessment branch in the  
9 October time frame.

10 That's all we know of at the moment, and  
11 hopefully we won't have many more organizational  
12 changes to report, but we appreciate your patience as  
13 we conduct transition activities in the organization.

14 With respect to ACMUI membership changes,  
15 we'd like to recognize that this is Dr. Suh's last  
16 meeting. His term on ACMUI ends in October.

17 Many thanks for the tremendous  
18 contributions over the past eight years. And we  
19 anticipate selecting his replacement and being able  
20 to announce that within the next few weeks so that  
21 ACMUI will retain its full membership status.

22 I would also like to recognize that this is  
23 Dr. Schleipman's first meeting, so welcome.

24 With respect to the meeting items of  
25 interest there are several. I know this will be a

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 very engaging and active meeting. I'm excited to be  
2 here with you today and tomorrow.

3 I acknowledge the Committees has been  
4 working hard on a number of subcommittee reports and  
5 the subcommittees will discuss those with the ACMUI  
6 today.

7 First, Dr. Ennis will present the medical  
8 event Subcommittee analysis of medical events for  
9 Fiscal Year 2017.

10 Dr. Metter will provide an update of the  
11 actions of the training and experience for all  
12 modality subcommittee and the plan path forward. Dr.  
13 Metter will also discuss the Subcommittee's final  
14 report on the nursing mother guidelines for exposure  
15 from diagnostic and therapeutic radiopharmaceuticals.

16 In addition, Dr. Suh will discuss the  
17 Subcommittee's comments on the draft revision of the  
18 Leksell Gamma Knife Perfection and Icon licensing  
19 guidance.

20 This afternoon, Mr. Sheetz will discuss  
21 non-medical events reported by medical use facilities  
22 and commercial pharmacies.

23 And Mr. Ouhib will discuss the American  
24 Brachytherapy Society's Medical Event case study  
25 program.

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           We will also hear a presentation on the  
2 Staff's outreach plan for the continued evaluation of  
3 training and experience for administering  
4 radiopharmaceuticals.

5           Tomorrow morning, Marc Dapas, the Office  
6 Director of the Office of Nuclear Material Safety and  
7 Safeguards, will make special presentations to Dr.  
8 Alderson and Dr. Suh to thank them for their service  
9 on the Committee.

10           This will be followed by a staff  
11 presentation on Yttrium-90 revised licensing guidance  
12 and information provided by Mr. Green on the  
13 compounding of radiopharmaceuticals. That concludes  
14 my remarks, and thank you again to everyone for their  
15 participation in the meeting.

16           MR. BOLLOCK: Okay, thanks for that. We'll  
17 turn it back to Dr. Palestro.

18           CHAIRMAN PALESTRO: All right, thank you  
19 for your presentations. Next item on the agenda is  
20 old business, and Ms. Dimmick will review the past  
21 ACMUI recommendations and provide NRC responses. And  
22 also, will explain to us the meaning of open.

23           MS. DIMMICK: Okay. So, I'll work to  
24 enlarge the screen a little bit, but we'll go ahead  
25 and get started because you do have the handouts.

1           So, I'd like to offer that we, in the last  
2 meeting, were able to close pages and pages of open  
3 items from the charts going back to 2007. So this  
4 should be a much shorter presentation of the old  
5 business than maybe some past meetings.

6           But, so our first open items are from 2007.  
7 So, there are two open items that remain, and these  
8 are under our delayed opening.

9           So, an open item is one that the ACMUI, we  
10 made a recommendation or an action item that the Full  
11 Committee agreed on, and/or it could be a  
12 recommendation, it was basically a recommendation  
13 that the Committee agreed upon, so it's an open item.

14           So, there is an expectation that there will  
15 be some action to that open item at some point in the  
16 future. And along the way we will work to close  
17 these open items. So, that's what I know about open  
18 items.

19           So, from 2007 there are two open items that  
20 remain that did not get captured in the expanded  
21 rulemaking for Part 35. So these items would remain  
22 open until a future rule where they could be  
23 reconsidered or if the Committee wanted to discuss  
24 these at another time in the future we could do that  
25 as well.

1 But, so there is still two open items.  
2 Items Number 33 and 34. And both of these do concern  
3 optometric treatments under 35.490 and 35.491. Okay,  
4 so they'll stay on as open.

5 Next chart. So the next chart is from  
6 2008, and we only have two open items remaining from  
7 2008 as the majority of the open items from 2008 did  
8 get closed with the expanded rulemaking.

9 So here are, these are the two ones.  
10 Again, they were not picked up specifically in the  
11 Part 35 expanded rule but could be reconsidered in a  
12 future rule. So they'll stay open.

13 So moving on, our next open item chart is  
14 from 2016. So if we could talk about, we'll take a  
15 look at these.

16 So, for Item 16, this was the, in the last  
17 meeting the ACMUI wanted to leave this open because  
18 this particular Subcommittee is still performing the  
19 reviews. This is the T&E expanded for all modalities  
20 Subcommittee. So this one would continue to stay  
21 open as the work is ongoing and reviews are ongoing.

22 Item Number 24, this is one where the ACMUI  
23 made the recommendation that they would contact their  
24 respective professional organizations to encourage  
25 interactions between NRC and the ACMUI. And this one

1 we have open as, the action item is, it's open  
2 indefinitely. So this would be an ongoing activity.

3 Let's see. So, items, finding my notes,  
4 Items 39, 42, and 43. So 39 through 43 concern  
5 Yttrium-90 microspheres and the licensing guidance.

6 So these are open items, and they'll stay  
7 open. You'll hear tomorrow from Dr. Tapp on the  
8 current status of the Yttrium-90 licensing guidance.  
9 So, basically, these items will stay open as that  
10 licensing guidance is still in process and has not  
11 yet been finalized by the working group.

12 Items 49 through, 49, 50, 51 and 52, these  
13 items concern the Northstar Moly Tech generator.  
14 This guidance was issued back in February, but what  
15 has not yet been provided to the Committee, is the  
16 dispositioning of the ACMUIs recommendations for this  
17 guidance document.

18 So NRC will be providing that documentation  
19 to the Committee. So, until we've provided you that  
20 documentation to show the dispositioning of comments  
21 that you had on that generator guidance document,  
22 these will remain open as well.

23 Okay. And then that will take us to the  
24 2017 chart. There are three open items for the 2017  
25 chart.

1           The first one is where the Committee had  
2 requested that all of the Committee's recommendations  
3 concerning the Part 35 rule, going back from 2007  
4 going forward, including reports that were done in  
5 2013 and 2016, that the Staff present a detailed  
6 description of showing where those recommendations  
7 were correlated to the new rule.

8           So we don't have that presentation at this  
9 point. The rule will become effective in January,  
10 so what I would propose is that the status be changed  
11 from pending to an open item and that the NRC Staff  
12 would provide that information at the next meeting.

13           So, is there a motion to change the status  
14 from pending to open?

15           VICE CHAIRMAN METTER: This is Darlene  
16 Metter. I propose to change the status from pending  
17 to open.

18           MS. DIMMICK: Somebody needs to second it.

19           MEMBER WEIL: Second.

20           MS. DIMMICK: Any discussion? I think I  
21 heard a second from Laura Weil. Any discussion?

22           I will add that to further support this we  
23 will also be doing training on the new rule for NRC  
24 Staff, agreement state staff, NRC licensees,  
25 agreement state licensees and the master material

1 licensees between October and March.

2 So there will be opportunities to see how  
3 the changes of the new rule, which definitely  
4 incorporate recommendations that were made by ACMUI.

5 Okay, the next open item is Number 12, Item  
6 12. And this was, in reviewing the past transcripts,  
7 here it says the NRC Staff will engage discussions  
8 with the OAS Staff to find ways to centralize event  
9 reporting from the agreement states.

10 The basis for this recommendation was, came  
11 from, I believe the 2016 or the 2017 report of medical  
12 events where that Subcommittee identified a lot of  
13 variation and inconsistencies in the type of data  
14 that was retrieved from NMED. So, the recommendation  
15 was made to engage discussions with the agreement  
16 states on improving the quality of that information.

17 So, NRC Staff did engage the agreement  
18 states in a monthly OAS CRCPD call and talked about  
19 what the ACMUI had noted. And also, to remind them  
20 of timeliness and the quality of the type of  
21 information and reviewed the procedural requirements  
22 under the NMED reporting procedure, SA-300.

23 So we did have those discussions with the  
24 agreement states. So, at this point, this is an open  
25 item. Other than engaging the Staff, I mean, the

1 Staff did engage the agreement states, is there a  
2 motion to close this particular recommendation based  
3 on the actions taken so far?

4 MEMBER ENNIS: A Comment.

5 MS. DIMMICK: Sure.

6 MEMBER ENNIS: I think we were looking for  
7 really a communication of setting up common items  
8 that would have to be reported always, like a little  
9 bit more structured substance to what the agreement  
10 states would have to submit to match what we get at  
11 NRC. So I'm not sure what was described is exactly  
12 the way I recall what our Committee was looking for.

13 MR. BOLLOCK: Okay, so we do have  
14 procedures that the agreement states use, SA, I think  
15 it's SA-300 that gives the guidance for the agreement  
16 states for what to report, what to put in NMED and  
17 that information sharing.

18 So it is, there is commonality in what's  
19 required and what's reported, it's just sometimes,  
20 and I think we discussed this about a year and a half  
21 ago in 2017, sometimes you just get disparity and  
22 what information is in there. So we brought this up  
23 at least once, I think actually more than one, OAS  
24 call, we have monthly calls with OAS and CRCPD, we  
25 brought this topic up as a reminder, to put in all

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the information you have. The better the information  
2 is, the better we can all use it.

3 There was also discussion. It was a very  
4 small portion of discussion, but during the last CRCPD  
5 meeting in May, we talked a little bit about this  
6 with some of the states. So, it's been communicated.

7 There are the structures in the SA  
8 procedures, and it's just a matter of keeping the  
9 encouragement, keeping people to, the states in NRC  
10 regions and updating the events as they come in with  
11 the information they have.

12 There are some other mechanisms, informal  
13 mechanisms. Our contractors have run the NMED  
14 program, they will reach out to the states to update  
15 information if they see that it's not, doesn't have  
16 everything that's in the SA or required by NMED. They  
17 will reach out to the states as well.

18 So there are multiple, there's a procedure,  
19 there is us discussing with OAS, just reminders. And  
20 then the NMED Staff also reaching out to the states  
21 to remind them to keep that.

22 So those are kind of the mechanisms in place  
23 to do that. But I think a year and a half ago we're  
24 recognizing that we got to keep on it.

25 And that's what we did with that, to just

1 communicate again, make sure to update it with the  
2 best information. The more information we have the  
3 more helpful it is for us and then for the other  
4 licensees to, if the information is shared, to be  
5 able to learn from the operational experience from  
6 the events.

7 MEMBER ENNIS: So, if we need to stay on  
8 it, does that speak to, we should keep this as an  
9 open item?

10 MS. DIMMICK: Yes. If the Committee wants  
11 to keep it open so that it's, that we're aware of it  
12 and we continue to work towards improving the quality  
13 of information, sure, we can keep it open.

14 MR. BOLLOCK: Yes, we can continue to work  
15 towards it.

16 MEMBER ENNIS: Yes. I think if it's going  
17 to be an ongoing phase it will help just remind us.

18 Now, in terms of the items that are  
19 required, has there been a recent review of those  
20 elements and what would be the mechanism for reviewing  
21 and/or changing those?

22 MR. BOLLOCK: I don't know for sure when  
23 the last SA update was. It was two years ago maybe.  
24 Lisa, do you know --

25 MS. DIMMICK: If I could offer a little bit

1 more background. So, for the agreement states, one  
2 area or one way that they're evaluated for, their  
3 reporting of events into NMED is through the  
4 integrated materials performance evaluation program  
5 (IMPEP) review of the agreement states as well as the  
6 NRC materials programs in each region.

7 So, one of the responsibilities of the  
8 IMPEP team is to evaluate incidents and allegations.  
9 And they review the data from NMED.

10 So, one thing that they're looking for is,  
11 do they have open or closed events in NMED and if  
12 they've been closed timely. So there is another set  
13 of eyes on the NMED data that is reported by each  
14 agreement state in their log and how it's handled.

15 So, that would be if there were things that  
16 were not aligned with the procedure, it would be  
17 identified in the IMPEP reviews with regard to the  
18 NMED reporting.

19 MEMBER BNNIS: I've never seen any of those  
20 reports, is that something that would be valuable to  
21 ACMUI to see, and if we think we're trying to improve,  
22 would we expect to see a decrease in a number of  
23 deficiencies in certain states over the next few  
24 years?

25 MR. BOLLOCK: Well, the states are assessed

1 every three years.

2 MS. DIMMICK: Three years.

3 MR. BOLLOCK: Typically --

4 MS. DIMMICK: Four years.

5 MR. BOLLOCK: -- four, four to five years  
6 in IMPEP reviews. And if there are deficiencies,  
7 it's expected that they work on those, and that's  
8 reviewed in the next IMPEP work as part of their, the  
9 frequency increase or shorten the time frame that  
10 they're reviewed.

11 So, it is expected that there is, in those  
12 areas, that there is a deficiency that they are  
13 improved or rectified. I don't know, I've got some  
14 staff that may have a little bit more information.

15 DR. TAPP: Just a quick backtrack. The  
16 information that's required to be reported is --

17 MS. DIMMICK: Excuse me, Katie, could you  
18 announce your name for the court reporter?

19 DR. TAPP: Oh, this is Dr. Tapp. The  
20 information that is required to be reported to be  
21 reported into NMED goes back to our rule, so it would  
22 take rulemaking to add additional items to the NMED  
23 reporting.

24 MEMBER SHOBER: This is Megan Shober. I  
25 thought that the required elements are specified in

1 SA-300?

2 Are you talking about what the licensees  
3 would be required to report to NRC?

4 There's more, for event evaluation, the  
5 elements that are in SA-300 are what the agreement  
6 states are expected to follow.

7 MR. BOLLOCK: Yes. So the structures, the  
8 minimum requirements are in the NRC regulations and  
9 that's what the states have to report to us. But if  
10 SA-300 expands, as Megan said, SA-300 expands on that,  
11 what more is expected from the states to share with  
12 us. So that's kind of the documentation.

13 And then through the process is IMPEP  
14 process, I failed to mention, thank you for reminding  
15 me, that's the major review, formal process of  
16 reviewing the states programs. But then the other  
17 informal things in the time phase in-between.

18 MEMBER SHOBER: And this is Megan Shober  
19 again. Just to speak to your question about the  
20 records, all of the IMPEP reports are available on  
21 NRC's website.

22 And there's a nice page, you can just click  
23 through any state you want and those are all  
24 available. And there is a section in there that's  
25 about the incident reporting.

1 MR. BOLLOCK: And Dr. Howe from my Staff  
2 has something else to add.

3 DR. HOWE: One of the other points is that  
4 medical event reporting is a health and safety  
5 criteria between the agreement states and NRC, so  
6 it's not at the level of a Compatibility B, where the  
7 agreement states have to provide exactly what's in  
8 our regulations. So that may cause some differences.

9 MS. DIMMICK: So, I guess I would ask at  
10 this time, because of earlier comments about keeping  
11 this open for a period of time, would there be a  
12 motion to change this from open to open indefinitely?

13 MEMBER ENNIS: I guess I don't really know  
14 what the distinction is. I'm not certain we're going  
15 to need to review this forever, but I do have a  
16 feeling right now that we need to review it more. So  
17 you can advise me about whether you think that it  
18 needs open indefinitely or open.

19 MR. BOLLOCK: Yes, I think the distinction  
20 for us is when we look at the chart we say, this is  
21 something we want to continually look at, similar to  
22 some of the previous ones where they were ACMUI and  
23 NRC reaching out to the professional societies to  
24 continue dialogue.

25 I think we have been doing that the past

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 couple of years but we'd like to continue, so we just  
2 put indefinitely to continue on. So that would just,  
3 it's just to help us with recognizing when we look  
4 through the chart that, yes, this is something we  
5 want to keep doing and remind us to interact and  
6 engage OAS and informally along with the formal  
7 processes we have in place.

8 MEMBER SHOBER: And this is Megan Shober  
9 again. From what I'm hearing in this discussion, the  
10 item, what you want isn't really defined a way to  
11 centralize reporting because reporting is already  
12 centralized through the Ops center.

13 What you're looking for is consistent,  
14 information that's consistent between like different  
15 states. So I'm not sure if that bears mention here.

16 MEMBER WEIL: So if I might add, so what  
17 you could consider is looking, based on the outreach  
18 that was done closing the recommendation and then  
19 discussing a new option in the open forum that we'll  
20 be getting to in a moment if you want to consider a  
21 different way to describe what was really, what is  
22 intended or what the current need might be.

23 CHAIRMAN PALESTRO: Any comments on that?

24 MEMBER ENNIS: I'd be okay with closing  
25 this, but then in the open forum having a discussion

1 about, if we, as a committee, want to investigate  
2 this further and how we want to articulate that.

3 CHAIRMAN PALESTRO: Can we have a motion  
4 to that effect please?

5 MEMBER ENNIS: I move that we close this  
6 item.

7 CHAIRMAN PALESTRO: Second?

8 MEMBER SUH: John Suh, second.

9 CHAIRMAN PALESTRO: All in favor?

10 (Chorus of ayes)

11 CHAIRMAN PALESTRO: Any opposed?

12 MS. DIMMICK: Okay, great, thank you.  
13 Okay, the Items 13, 19 and 20 are related to the  
14 recommendations that came out of the medical event  
15 reporting and its impacts on medical licensee patient  
16 safety culture, the NRC did speak about this at the  
17 last ACMUI meeting, and also, this is one of the  
18 topics in the commission brief last spring as well.

19 These items currently are shown as open and  
20 the NRC will need to close them, provide our response  
21 to those recommendations to you in a memo and we need  
22 to do that. So, they should stay open until we  
23 provide that memo to the NRC. I mean, to the ACMUI  
24 members.

25 Okay, we'll go ahead and move on to the

1 2018 recommendations. So Recommendation 1 and 2 are  
2 open items. And we will be hearing from Dr. Metter  
3 on the nursing mother's guideline final report, I  
4 think it's later today.

5 And so that will provide additional  
6 information in these areas. So these would stay open  
7 until after the Committee has approved that final  
8 report.

9 And the first one is an NRC action because  
10 it will be based on the final report, what NRC Staff  
11 might do with that information with regard to  
12 regulatory guide 8.39. Okay, Dr. Metter, you have a  
13 --

14 VICE CHAIRMAN METTER: Yes, this is Darlene  
15 Metter. I do see that some of the recommendations  
16 here are listed, have been revised with different  
17 calculations. So, after my final report if you could  
18 update this action item.

19 MS. DIMMICK: Which number?

20 VICE CHAIRMAN METTER: Number 1.

21 MS. DIMMICK: Okay. Okay, so Items 3 to  
22 5, these concern the physical presence requirements  
23 for the Leksell Gamma Knife Icon updated licensing  
24 guidance.

25 So we'll be hearing from Dr. Suh on that

1 Committee's draft report. Or I'm sorry, that  
2 Committee's report on the licensing guidance so these  
3 could stay open until after the Committee has an  
4 opportunity to deliberate that report.

5 Okay. So, Item 6 was a recommendation from  
6 the Committee for NRC to update the ACMUI, or to post  
7 the recommendations that showed all of the  
8 recommendations and their status on the web page.  
9 And NRC did take action on that and did post a  
10 recommendations on the ACMUI web page.

11 So, instead of it saying open indefinitely,  
12 is there a motion that, to close this recommendation?  
13 Or any discussion.

14 CHAIRMAN PALESTRO: Question?

15 MR. BOLLOCK: This is Doug Bollock. This  
16 is one of those things that we're going to have to  
17 continually update, so we can keep that as open  
18 indefinitely as the, right, so we carry on.

19 After this meeting when we've updated the  
20 recommendations we'll put the new, we can put the new  
21 one with any new recommendations coming out of this  
22 meeting on the website. So I, NRC, I feel we should  
23 leave that on there.

24 MS. DIMMICK: Okay, we can leave it open  
25 indefinitely. And that's how its currently

1 reflected. Unless, right.

2 Okay. So, Item 7. This concerns sending  
3 out a medical Listserv announcement after the ACMUI  
4 has met and then issue and provide in those Listserv  
5 announcements the recommendations or actions of the  
6 ACMUI.

7 We did that after the last meeting. And,  
8 again, this is another one reflected as being open  
9 indefinitely. Since this is something new we're  
10 doing, we would continue to keep it open indefinitely,  
11 till it becomes part of our process.

12 Okay, so I'll move on to Item Number 8.  
13 This one basically indicates the date for the fall  
14 meeting. So I would propose, is there a motion to  
15 close this item since we are in fact convening this  
16 meeting?

17 MEMBER SCHLEIPMAN: Robert Schleipman, I  
18 move that we close.

19 (Laughter)

20 PARTICIPANT: Second.

21 MS. DIMMICK: Second, okay. Okay. And  
22 all those in favor?

23 (Chorus of ayes)

24 MS. DIMMICK: Okay. Just going through  
25 formalities.

1           Okay, so the next item, Number 9, is where  
2           Dr. Palestro had appointed Megan and Zoubir to serve  
3           on the physical presence requirements for the Gamma  
4           Knife Subcommittee meeting.

5           This is currently open and it does link to  
6           two, three other recommendations in 2018.  
7           Recommendations 3, 4 and 5, which are the physical  
8           presences ones.

9           So we could either, this is just showing as  
10          that it's open because it's a current committee. So  
11          we could keep it open until we address the physical  
12          presence report.

13          CHAIRMAN PALESTRO: Any discussion or  
14          comments on that?

15          MS. DIMMICK: Dr. Suh will talk about the  
16          report and so I don't, unless you have discussion,  
17          you want to talk about this.

18          CHAIRMAN PALESTRO: No, I meant about the,  
19          whether or not we should keep it open, that's what  
20          I'm referring to.

21          MS. DIMMICK: Oh, it could be closed  
22          because the Committee is formed and reviewing it or  
23          it could stay open until the Committee has presented  
24          its report. I've seen it done both ways.

25          (Off microphone comments)

1 MR. BOLLOCK: -- there may be other items  
2 to close. So there is no right or wrong there.

3 CHAIRMAN PALESTRO: Okay.

4 MS. DIMMICK: Yes.

5 CHAIRMAN PALESTRO: So, is there a  
6 consensus among the Committee? Leave it open until  
7 such time?

8 MR. BOLLOCK: Yes.

9 CHAIRMAN PALESTRO: All right. Okay,  
10 fine.

11 MS. DIMMICK: Okay. And the last one for  
12 2018 is the ACMUI endorsed T&E SECY Subcommittee  
13 report. The T&E SECY paper, this was the  
14 Subcommittee report.

15 So, the T&E SECY paper, SECY 18-0084, was  
16 sent to the commission. It is currently publicly  
17 available under, in ADAMS, under Session Number  
18 ML18135A276.

19 The ACMUI's final report and comments on  
20 that draft, on the draft SECY report, are appended to  
21 that commission paper. So you're feedback on that  
22 report are part of the record for that SECY paper.

23 And you're also going to hear about NRCs  
24 outreach plan by Maryann Ayoade later in this meeting.

25 So I, so could there be a motion to close this

1 recommendation based on the publication of the SECY  
2 paper and NRCs planned outreach? Continued outreach.

3 CHAIRMAN PALESTRO: Do we have a motion to  
4 that effect?

5 VICE CHAIRMAN METTER: This is Darlene  
6 Metter. I move to close the item.

7 CHAIRMAN PALESTRO: Second?

8 MEMBER SCHLEIPMAN: I'll second that.  
9 Robert Schleipman.

10 CHAIRMAN PALESTRO: Any discussion? All  
11 in favor?

12 (Chorus of ayes)

13 CHAIRMAN PALESTRO: Any opposed?

14 MS. DIMMICK: Okay, so that item is closed.  
15 And that was the last old business item for this  
16 meeting.

17 So now Dr. Palestro, I'll turn it back to  
18 you for any open forum discussion. There are no  
19 handouts for the open forum so this is where the  
20 Committee can bring up any topics.

21 CHAIRMAN PALESTRO: All right, so we'll  
22 move on to the next item which as Lisa said is the  
23 open forum. Any topics for discussion?

24 MEMBER ENNIS: I guess we already had a  
25 lead in to whether or not we want to look in more

1 depth at what gets reported in NMED and the  
2 differences between agreement states and not and  
3 possibly also this report that I guess is available,  
4 but ACMUI has never, as Committee, like looked at it  
5 and see what kind of information is there about  
6 individual states and their performance.

7 So, I guess it's an open question whether  
8 we want to have a Subcommittee to look at that. I  
9 think I would be in favor of it.

10 I feel like it's an open, it's a loose  
11 thread that I don't have a good handle on and I guess  
12 with being the medical events subcommittee chair and  
13 having seen NMED and raised some of the issues, it  
14 feels like this might be part of that loop that we  
15 ought to investigate. But, I only want to do that  
16 if other people do.

17 CHAIRMAN PALESTRO: This is Dr. Palestro.  
18 Dr. Ennis, could you state what exactly would be the  
19 charge of the Subcommittee?

20 MEMBER ENNIS: I'll try. The Subcommittee  
21 will review the requirements, NRC requirements, in,  
22 that are a part of the regulation it's in as well as  
23 in the SA-300 document for, to evaluate the -- well,  
24 I'm having a little trouble articulating it.

25 A consistency in the quality of the data

1 relative to the charge of medical event analysis. It  
2 probably could be articulated better, so feel free to  
3 chime in.

4 CHAIRMAN PALESTRO: Any other comments or  
5 suggestions? Mr. Ouhib.

6 MEMBER OUHIB: Yes, I think this item was  
7 discussed multiple times if I recall correctly. And  
8 the issues were there were some inconsistencies in  
9 reporting medical event.

10 And that means details were not sufficient  
11 to actually improve or provide corrective action and  
12 all that. That sometimes even the event simply does  
13 not make any sense when you read it. And there are  
14 some corrections that come up later and so on and so  
15 forth.

16 I know for a fact that, I think Bruce  
17 Thomadsen, when he was the chair, we discussed this  
18 and there is a task group within the AAPM, actually  
19 was looking at this also at the same time. I can't  
20 remember the task group number, it might have been  
21 188, I can't remember.

22 But any rate, I think there's a great need,  
23 in my opinion, to have some consistency, what should  
24 be reported and what format should be reported sort  
25 of becomes fairly easy to understand for everybody.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 But it also, you force the user to provide you that  
2 information that we think it's really critical in  
3 evaluating the event and perhaps providing some  
4 corrective actions and so on.

5 MEMBER WEIL: This is Laura Weil. If I can  
6 try to perhaps interpret some of the sub-text of  
7 what's going on. We, in the item, agenda item that  
8 we just closed, we closed it because we felt the  
9 language did not reflect the ACMUI's interest in  
10 getting, assuring that more complete information was  
11 being received for all medical events, correct?  
12 Okay.

13 So, perhaps what, because the stuff that  
14 gets collected is determined by rule, it's not  
15 something that we can change easily, it's rulemaking,  
16 maybe what we need to do is simply have a sub-charge  
17 to the Committee that looks at medical events to  
18 monitor whether there is increasing compliance based  
19 on NRC Staff's activities to engage with OAS and other  
20 entities that are reporting.

21 Is that what we're after, just seeing if  
22 things are improving whether we're getting more  
23 complete information?

24 MEMBER ENNIS: I don't know, I think, at  
25 least in my mind we're actually after two things.

1 One is that, but two is, since there is, beyond  
2 rulemaking, a possible mechanism for modifying. At  
3 least what's strongly recommended.

4 I think it would be of value to the ACMUI  
5 to look at that document, see what's required, see if  
6 that makes sense to us, if it ought to be modified  
7 and then make some recommendations about that  
8 document. And maybe some rulemaking recommendations  
9 too, although we understand the challenges in doing  
10 that.

11 So I think it's both we need the elements  
12 that are being asked of people and also how well that  
13 is being done.

14 CHAIRMAN PALESTRO: So, this is Dr.  
15 Palestro again. Dr. Ennis, then would you favor the  
16 creation of a separate and distinct subcommittee to  
17 do that?

18 MEMBER ENNIS: I'm really open to either  
19 way. The NRC Subcommittee Members here could speak  
20 to whether they want to do it as part of our medical  
21 event Subcommittee or if there's interest for people  
22 not on the Committee, maybe we form a separate  
23 Subcommittee. I think either mechanism would be  
24 fine.

25 CHAIRMAN PALESTRO: It is open for

1 discussion. Any comments from the Subcommittee  
2 Members in particular? Mr. Green.

3 MEMBER GREEN: I thought I heard Mr.  
4 Bollock say that there was a subcontractor who  
5 monitors the input of data into this database and can  
6 go back to a state, an agreement state, and say, hey,  
7 you're a little shy on the data here can you fill in  
8 these fields? I'm not sure it's the ACMUI's role to  
9 be the monitor of completeness, I think that  
10 contractor will do that though.

11 I think we could look at the list of data  
12 we request on the form that the agreement states work  
13 with, to see if that has all the data elements that  
14 we would like to see.

15 MEMBER ENNIS: I guess I'm feeling, just  
16 having seen the data for a while, that maybe the  
17 monitor needs a monitor. Or at least notice that  
18 someone else is looking from time-to-time.

19 MEMBER SHEETZ: This is Mike Sheetz. Does  
20 the NRC have a template on what information they are  
21 requesting to report a medical event or some other  
22 event on the other parts in the regulations?

23 MEMBER ENNIS: Yes.

24 MEMBER SHOBER: This is Megan Shober. It's  
25 called a state agreements procedure. It's SA-300.

1 Which, again, it's, I don't know which branch is  
2 responsible for it but that has a list of all the  
3 elements that are required for a complete NMED record.

4 And so if a state submits information to  
5 NMED and it doesn't include all those elements, then  
6 the NMED contractor sends an email to the state and  
7 says, please provide this information within the next  
8 60 days.

9 So, they're pretty on top of that. And the  
10 state doesn't necessarily respond I guess, but NMED  
11 is cross-checking the NMED report against the SA-300  
12 elements and requesting more information when it's  
13 not initially provided.

14 MEMBER SHEETZ: And this is Mike Sheetz  
15 again, is there a mechanism to monitor whether the  
16 state ever responds back with a request of additional  
17 information?

18 MEMBER SHOBER: This is Megan again. NMED  
19 doesn't follow-up after that initial round, but the  
20 place where it would be noticed, again, is through  
21 the integrated materials performance evaluation  
22 program.

23 They do look at records that are open and  
24 closed in making sure that records are getting closed.  
25 I don't know if it would trickle down to that extreme

1 level of detail to look at those elements, but if  
2 there is a problem with reporting of course, more  
3 attention is paid in that area.

4 But as far as a routine basis, probably  
5 not. Not that detailed.

6 MR. BOLLOCK: Right. So the IMPEP process  
7 they may, if they see a programmatic issue, states  
8 are lacking in information on every report they have,  
9 it will probably be noticed.

10 Here, there missing information may not be  
11 just based on, it's all sampling and looking at it  
12 for, you know, a coder could not be identified. So,  
13 I mean, there are, like I said, there are mechanisms  
14 but we recognize the concern the Committee has brought  
15 is that we want to make sure the information is as  
16 best as possible, consistent as possible, can be as  
17 useful as possible.

18 So, yes, the only other way I think it would  
19 be identified is if the event was an AO, and then we  
20 get all the information on it. So that's the only  
21 other way, if the event is significant. And that's,  
22 a structure is looking at what's most significant.

23 So I'm hearing, I just want to clarify, I'm  
24 hearing two things. One, the consistency in the  
25 reporting, that they're reporting what they're

1 supposed to be reporting on their SA-300 and it's  
2 consistent to get the best information.

3 And then also, consideration for a  
4 Subcommittee to review the SAs and seeing what is out  
5 there. So I just want to, I think that's what I'm  
6 hearing, I just want to make sure that we understand  
7 so we can capture that, that's what it is.

8 CHAIRMAN PALESTRO: So I'd like to bring  
9 that back to my original question. As Dr. Ennis  
10 discussed, there are one or two options.

11 One is to incorporate this into the current  
12 Subcommittee on medical events work or to establish  
13 a separate subcommittee to carry out this task. And  
14 so I'd like to get some discussion on that. Dr. Suh.

15 MEMBER SUH: Yes. So, I've had a chance  
16 to review the medical event reporting for, I guess  
17 it's now seven years.

18 And one thing that I have noticed is that  
19 there are, the reports are not consistent and/or  
20 sometimes not fully accurate. So I do believe there  
21 is a need, as Dr. Ennis has pointed out, to have a  
22 subcommittee or to really look at what can be done  
23 differently so that there is greater consistency and  
24 accuracy of these medical events.

25 Because by evaluating these medical events

1 hopefully you'll get more information, which will  
2 help drive changes, which will help patients and  
3 healthcare providers as well.

4 So, whether or not it's part of the current,  
5 what I say for, is because the current medical events  
6 committee, subcommittee is familiar with how to  
7 interoperate these NMED reports, that it should be,  
8 that should be the charge of that particular  
9 subcommittee rather than forming another  
10 subcommittee. I'd still have to replace the  
11 radiation oncology and that's whoever my replacement  
12 will be.

13 CHAIRMAN PALESTRO: Other opinions?

14 MEMBER ENNIS: Well, I guess I have a  
15 little opinion because both Megan and Zoubir have  
16 seem to be interested in this topic and they're not  
17 currently on the Committee, so I'm wondering, for  
18 that reason, maybe, maybe we should have a different  
19 Committee. But maybe they want to speak to that.

20 MEMBER OUHIB: This is Zoubir. Just a  
21 comment. I guess my question would be is, when was  
22 the last time that list, that required list that needs  
23 to be submitted, was actually looked at to revise?

24 And let me just follow-up with one more  
25 thing is that, the reason I'm asking this, is it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 possible that there is information that's lacking in  
2 that list that is really critical or perhaps important  
3 in reporting a medical event.

4 And there might be others that perhaps are  
5 not really needed and is there time to look at that  
6 and see, how can we best make that more efficient and  
7 useful.

8 CHAIRMAN PALESTRO: I understand what  
9 you're saying, this is Dr. Palestro, but I want to  
10 come back to the question that really needs to be  
11 answered, I think. And that's, do we incorporate  
12 this new charge into the responsibilities of the  
13 existing Subcommittee or do we want to create a  
14 separate subcommittee? And that's what I would  
15 really like to focus on at the moment.

16 MEMBER ENNIS: All right, so our current  
17 members of the NRC Medical Event Subcommittee are --  
18 sorry guys, I know you worked hard on our Committee  
19 report that we're about to see, but I don't want to  
20 miss anyone.

21 Richard Green, Dr. Metter, Dr. O'Hara, Dr.  
22 Suh and Mr. Sheetz. Did you guys want to work on  
23 this or should we have a separate Committee?

24 CHAIRMAN PALESTRO: How many members do you  
25 have, six?

1 MEMBER ENNIS: Six.

2 CHAIRMAN PALESTRO: Okay. At this point  
3 you can't add any more members.

4 (Off microphone comment)

5 MEMBER ENNIS: Well, Dr. Suh is leaving but  
6 does he have to be replaced by another --

7 CHAIRMAN PALESTRO: That would be replaced,  
8 but you can have a maximum of six members on the  
9 Committee.

10 MEMBER ENNIS: Okay.

11 CHAIRMAN PALESTRO: So the Committee can  
12 choose --

13 MEMBER ENNIS: So I'd like to suggest we  
14 have a separate Committee because I see some valuable  
15 members around the table that are not able to be on  
16 this Committee.

17 CHAIRMAN PALESTRO: All right. Well, as  
18 Chair I believe I have the prerogative to establish  
19 a separate, or to establish a subcommittee, which I  
20 will now do. But I will rely on Dr. Ennis to create  
21 the specific charge for that subcommittee.

22 MEMBER ENNIS: I'm going to ask Ms. Weil  
23 to articulate it for me.

24 CHAIRMAN PALESTRO: Unless I'm violating  
25 some rule, we don't need to have that specific charge

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 at this minute. I'd like to have it before the close  
2 of business today so that we can formalize it.

3 MEMBER WEIL: Yes, that's fine.

4 MR. BOLLOCK: Yes, that's fine, as long as  
5 you close --

6 CHAIRMAN PALESTRO: Rather than being vague  
7 and trying to knock it out in five minutes before  
8 lunch. So, Dr. Ennis, and again, while the Chair  
9 appoints the Subcommittee Members, I think it makes  
10 sense to ask for your input, who you feel should be  
11 on the Committee. Subcommittee.

12 MEMBER ENNIS: Okay. Dr. Ouhib, would you  
13 be willing to serve?

14 MEMBER OUHIB: I'd be happy to.

15 MEMBER ENNIS: Megan?

16 MEMBER SHOBER: Yes.

17 MEMBER ENNIS: Okay. Laura, are you going  
18 to be around on the Committee for long enough to --

19 MEMBER WEIL: Only about a year though.

20 MEMBER ENNIS: Oh, a year is good enough.

21 Will you be willing to serve?

22 (Laughter)

23 MEMBER WEIL: Yes.

24 MEMBER ENNIS: All right. One more  
25 volunteer. Oh, sorry.

1 DR. HOWE: I just wanted to make a point,  
2 Dr. Suh, this is his last day so he will be coming  
3 off of the medical event committee, so you may want,  
4 if you have more than him, you might keep it in the  
5 same committee or you might come in with a new  
6 subcommittee.

7 MEMBER ENNIS: Great. No, I think we felt  
8 there was an interest in people who could not be,  
9 because of size requirements that subcommittees fit,  
10 so we're going to do a separate committee.

11 We probably would want to ask the new  
12 radiation oncologist to join this Subcommittee but we  
13 don't know who it is or when it's going to be, so for  
14 now I think we've got a good Committee, but maybe  
15 there be one more person to volunteer?

16 MEMBER MARTIN: I'll volunteer.

17 MEMBER ENNIS: Excellent.

18 MEMBER OUHIB: Yes. This is Zoubir. I  
19 think that would be perfect because we definitely  
20 need a variety of therapy, diagnostic and so on and  
21 so forth.

22 MEMBER ENNIS: Do we have enough nuclear  
23 medicine expertise though? There are nuclear  
24 medicine events and I think actually we need some  
25 nuclear medical expertise, sorry, but --

1 MEMBER DILSIZIAN: I'll volunteer.

2 MEMBER ENNIS: Okay. Dr. Dilsizian has  
3 volunteered, thank you.

4 CHAIRMAN PALESTRO: All right, so then just  
5 to review very quickly. Dr. Ennis is the Chair of  
6 the Subcommittee and the Members are Dr. Dilsizian,  
7 Mr. Ouhib, Ms. Shober and Mr. Sheetz, is that correct?  
8 I'm sorry, and Ms. Weil.

9 PARTICIPANT: That would be six.

10 (Off microphone comment)

11 PARTICIPANT: That's six, we don't need,  
12 okay.

13 (Off microphone comment)

14 CHAIRMAN PALESTRO: All right, so that's  
15 the Subcommittee. But again, I would ask that before  
16 the close of business, at some point, you come back  
17 with the specific formal charge.

18 And now that we have this Subcommittee I  
19 would also ask that Staff appoint a liaison.

20 MR. BOLLOCK: Yes, I'll work on that. Lisa  
21 and I have to discuss and we'll absolutely supply a  
22 staff liaison.

23 CHAIRMAN PALESTRO: Any other matters for  
24 the open forum? All right then, we will adjourn and  
25 we resume at 12:45.

1 (Whereupon, the above-entitled matter went  
2 off the record at 11:58 a.m. and resumed at 12:45  
3 p.m.)

4 CHAIRMAN PALESTRO: I call the afternoon  
5 session to order. First presentation this afternoon  
6 is the Medical Events Subcommittee and it will be  
7 presented by Dr. Ennis.

8 MEMBER ENNIS: Thank you Dr. Palestro, good  
9 afternoon, everyone, I'm happy to report the Medical  
10 Events Subcommittee report for this meeting. The  
11 report is the work of all members of the subcommittee,  
12 it is very much a joint effort with each of us pretty  
13 much owning one part of the report and I invite all  
14 the subcommittee members to speak to their point at  
15 the end if I haven't touched on all key elements.  
16 Next slide, please.

17 MR. BOLLOCK: This is Doug Bollock with NRC.  
18 I apologize, we're having some technical difficulties  
19 so it seems like we're getting them resolved quickly.

20 MEMBER ENNIS: Okay, back one slide please.  
21 So our subcommittee members in addition to myself,  
22 Mr. Green, Dr. Metter, Dr. O'Hara, Dr. Suh and Mr.  
23 Sheetz. Thank you.

24 The subcommittee decided to change the way  
25 it had been reporting for the last several years,

1 with encouragement from NRC staff, particularly Dr.  
2 Howe with the support of Dr. Palestro, that rather  
3 than go through NMED ourselves for the last fiscal  
4 year and review all the events and report on them in  
5 a way similar to what Dr. Howe had done in the spring,  
6 instead we decided to review the last three-year  
7 reports of this committee plus Dr. Howe's spring  
8 report, so this is covering three and a half fiscal  
9 years to take a wider angle or high level looking for  
10 themes that might be recurring within Part 35 or  
11 perhaps even across different parts and see if we can  
12 come up with some recommendations for improvements.

13 So in the end as you'll see is the data but  
14 just to give you a summary now, we saw two overarching  
15 themes. One, there's good examples to suggest that a  
16 performance of a "time-out" type procedure  
17 immediately prior to the administration of  
18 radioactive byproduct material has been done in  
19 surgery and other settings in medicine, currently  
20 with great success, could have prevented some others.

21 And there seems to be a second theme, that  
22 lack of a recent or frequent performance of a specific  
23 administration appears to be a contributing factor in  
24 a number of cases. Next slide.

25 So, now going through the data for the three

1 and a half years by section. In Part 200, Unsealed  
2 Byproduct Material for Imaging and Localization not  
3 meeting written directives, these are the types of  
4 events that have occurred over the last four years,  
5 21 events in total, wrong drug, wrong dosage, wrong  
6 patient. Next slide.

7 "Time out" likely would have been able to  
8 deal with several of these, confirm the order compared  
9 to the prescription, wrong patient also a time out  
10 ought to have been an effective mechanism for  
11 minimizing that. A rough estimate is about half of  
12 the cases might have been prevented if a "time out"  
13 had been used.

14 The wrong dosage is a little trickier in  
15 that dose calibrators are not necessarily required  
16 and not everyone has them, so that those errors may  
17 be a little bit more difficult to overcome and  
18 probably not effectively changed by implementing a  
19 "time out." Next slide.

20 In 300, Unsealed Byproduct Material  
21 Requirement Directive, these are the issues and  
22 again, a few every year, pretty consistent, you know,  
23 a handful, half dozen or so different types of things  
24 cover the vast majority of events, and again at least  
25 half to three-quarters may have been able to be

1 prevented by a "time out."

2 Written directive not done or incorrectly  
3 done would be likely, error in the number of capsules  
4 is a common theme, and again something about "time  
5 out," how many capsules as a check, dose, equipment  
6 things obviously are different, unauthorized clinic  
7 is obviously a totally separate issue. Again, wrong  
8 patient ought to be able to be caught by a "time  
9 out". Thank you. Next slide.

10 Manual brachytherapy, both prostate and  
11 non-prostate, these are obviously a little bit more  
12 technical in nature. Applicator issues, the  
13 applicator moved during the implant, wrong site  
14 implanted and activity, being prescribed,  
15 prescription error and air kerma versus millicuries.  
16 Then in the prostate there's dose group, which has  
17 been a large group. Next slide.

18 Looking at these, let's go back actually if  
19 you don't mind, just to be able to talk about it. The  
20 applicator issue sort of had a "time out" issue  
21 potentially for some not familiar, not doing the  
22 procedure often issue, although most of the ones  
23 actually described here did not appear to be that  
24 either, but you could imagine sometimes that might be  
25 a role.

1 Wrong site implanted, in this setting we're  
2 talking penile bulb implantation which is a  
3 significant number of those. It is certainly an  
4 infrequency of the procedure that is playing a  
5 significant role. And activity prescription error is  
6 a "time out", potentially caught by "time out".  
7 The prostate dose, of course, with the new definition  
8 of medical events, this is going to change  
9 dramatically. Many of these events are by the new  
10 definition not events, so it will be very interesting  
11 to see what emerges afterwards.

12 But some of the prostate dose events are  
13 the type that could be caught by a "time out",  
14 because there were errors in prescription for, just  
15 one example, someone getting external beam and a seed  
16 implant ought to get a certain dose and there was at  
17 least one or two events where they prescribed the  
18 wrong dose, confusing that the patient also got  
19 external beam. So a "time out" could have caught  
20 that. Next slide.

21 So in summary, sense is about ten percent  
22 of these types of events might be affected by a "time  
23 out", and another maybe 15 are impacted by a lack of  
24 experience. Next slide. Let's see, I think we've  
25 covered this here. Next slide.

1           In 600, HDR and the gamma knife are  
2 regulated under 600. These are the types of events.  
3 Wrong position, reference Linux plans, software  
4 failure. 37 events over four years and again, if  
5 there's a half a dozen things that pretty much cover  
6 all of them. Next slide.

7           This is just broken down by disease site.  
8 Again, all related to wherever brachytherapy or gamma  
9 knife played a role in the diseases. Next slide.

10           Again, looking in review, "time outs"  
11 likely impact, could have an impact in about 15  
12 percent. Next slide.

13           The infrequent user phenomena may be  
14 playing a role in about 30 percent. So it seems like  
15 so far at least the technical anatomic procedures,  
16 it's a little bit more about frequency of the  
17 procedure and the comfort or experience of the person,  
18 and that plays a lesser role in the radioactive  
19 intravenous administrations for diagnostic or  
20 therapeutic purposes. Next slide.

21           Okay, and radioactive seed localization, we  
22 have a few obviously different parts to 1,000, so  
23 just a few events but potentially could be an impact  
24 of a "time out", at least the wrong site implant.  
25 Next slide.

1 For Perfexion, Gamma Knife Perfexion, these  
2 are the number of events and the causes, the most  
3 common being positioning alignment, but that was a  
4 very specific vendor and site. Other ones pretty  
5 uncommon but a few events, some of them potentially  
6 addressed by "time out", wrong site for example.  
7 Perhaps patients had maybe some experience playing a  
8 role there as well. Next slide.

9 Then Y-90, so as we have seen before, more  
10 events in this category. We have talked about this  
11 before. Complicated procedure, tubings, etc., so  
12 here's a nice summary of events over time, and a lot  
13 of it has to do with activity remaining in the device  
14 or problems with the catheter, the shunting issue,  
15 setting up properly, but some of the more kind of  
16 dose calculation issues as well. Next slide.

17 This is for SirSpheres, the prior slide was  
18 for Theraspheres, not demonstrably different, pretty  
19 similar patterns of what's common and what are the  
20 issues. Next slide.

21 So again, nice way to review this is in a  
22 pie chart. A big one is residual activity, but then  
23 we have the other problems as well. Next slide.

24 Things that might be able to be done within  
25 the Y-90 area to prevent medical events, reviewing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the mechanics of delivery device and setup procedure,  
2 again speaking particularly, I think, to the setting  
3 of infrequent users or not having used it in a while  
4 or just getting started, ''time out'' type thing to  
5 report all the, ~~reveal~~ review the elements that are  
6 in the written directive would be helpful as well, as  
7 we have mentioned. Next slide.

8 Just trying to categorize, ball park if you  
9 will, what kind of impact the ''time out'' might be  
10 able to have on the three different areas within 1000  
11 that we just talked about so for the RSL, maybe one  
12 of them, so maybe 25 percent. Obviously it's a small  
13 number. Within the gamma knife sphere,  
14 Perfexion/Icon, maybe also about 25 percent could  
15 have been prevented if a ''time out'' had been done  
16 and the Microspheres, about 12 percent. So again,  
17 kind of consistent with the idea when doing technical  
18 procedures, anatomic ones, the ''time out'' has a  
19 modest impact, potentially ten to 20 percent. Next  
20 slide.

21 In terms of just infrequent user type  
22 problems, none of the RSLs seem to be that. Maybe 15  
23 to 20 percent of the Perfexion/Icon events that may  
24 have played a role and Microspheres best guess is a  
25 small percentage as well. Next slide.

1                   So if we were to try and distill this idea  
2 of a "time out" across all parts of Part 35, what  
3 could we think about as being something that might be  
4 suggested as elements of a "time out" for all of  
5 them? A pretty basic stuff of what's a "time out"  
6 in surgery and in other settings that it's already  
7 being used, identifying the patient with two  
8 identifiers is a generally accepted element of a  
9 "time out", reviewing the exact procedure that's  
10 going to be performed, the isotope, its activity, the  
11 dosage, then there may be consideration for adding  
12 additional elements depending on the acuity of the  
13 procedure, the whole treatment being done.

14                   For example, an LDR prostate would be wise  
15 to include a recalculating based on air kerma or  
16 millicuries, anatomical location for the anatomic  
17 type procedures would make sense, is the patient's  
18 name on a treatment plan if there is such a thing, so  
19 like in brachytherapy is there a treatment plan, or  
20 in Y-90, is that this patient's plan, independent  
21 second check, has that been performed in a way that's  
22 required in many quality programs but you are  
23 verifying that it's actually been done prior to  
24 proceeding.

25                   And so again, some very specific things

1 about HDR related to catheter lengths, that is a  
2 consistent source of occasional error. Almost every  
3 year there's one of those, and --- like for a cell  
4 implant site location. Next slide.

5 For the issue of the infrequent or user  
6 hasn't used it for a while or program, rather than  
7 not just a specific user, but it could be the  
8 department, the program, things that might be  
9 recommended at this point, just in terms of taking  
10 advantage of what's out there, requiring or  
11 recommending, requiring is probably too strong a  
12 word, recommending a review course be done. There's,  
13 also, all professional societies now have video or  
14 slide review courses that can be taken on line,  
15 there's review articles all over the place for all  
16 these procedures for people to review.

17 There's obviously the opportunity but  
18 encouragement to speak to a colleague with  
19 experience, and I think particularly important might  
20 be a recommendation that a dry run be done if you  
21 haven't done this procedure or you're not feeling  
22 totally comfortable or confident, go through all the  
23 steps with your entire team. I think that could go a  
24 long way.

25 And then when you're dealing with a

1 particular device, particularly a Y-90, and the  
2 tubing, just perform a dry run essentially so you  
3 know exactly how what to push when and when you flip  
4 the catheters or the, you know, to make the flow go  
5 in the right direction. Next slide.

6 So what could NRC do to affect this or  
7 promulgate this? We thought that, and our  
8 subcommittee recommends, that NRC consider issuing an  
9 information notice alerting authorized users to these  
10 things and to the recommendations of the subcommittee  
11 about ways to prevent them in the future. Thank you.

12 Committee members, anyone want to add to, if I left  
13 out any important things or just any other comments?

14 CHAIRMAN PALESTRO: Any comments from  
15 members of the ACMUI? Dr. Martin?

16 MEMBER MARTIN: Learn how to do the buttons.  
17 Just a question. We obviously have an idea that  
18 everything would be perfect and we would never have  
19 any of these medical events. I think it would be  
20 interesting to know how many total procedures were  
21 done that were done correctly. In other words, if  
22 we've got 21 events, it's 21 out of how many thousand  
23 did we do correctly? Just to put it into perspective  
24 that this is not a hazardous, I mean, not that we  
25 like to have 21 events, but it's 21 out of, I don't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 know, 2,000, 20,000, how many procedures were  
2 actually done of those type of procedures? I think it  
3 would just be interesting, maybe put it into  
4 perspective as to, quote, really how big a hazard  
5 this is.

6 MEMBER BNNIS: So yes, in prior reports that  
7 kind of information has been shared, and it's a tiny  
8 fraction of each one of these reports. Certainly one  
9 perspective can be well, there's hardly any events,  
10 but that being the case we don't need a subcommittee  
11 to look at it, frankly. And I think we would all agree  
12 that if there's relatively straightforward easy  
13 things to do to do better, then why not do that.

14 MEMBER MARTIN: I completely agree. I was  
15 just putting it into perspective so it didn't come  
16 across that this was a real hazardous process that  
17 we're doing.

18 CHAIRMAN PALESTRO: Other comments? Dr.  
19 O'Hara?

20 MEMBER O'HARA: Yeah, Mike O'Hara. One  
21 follow up on this is that a few years ago we started  
22 having two review scientists from the FDA be able to  
23 review the NMED data base, and that and Dr. Howe's  
24 report has added a lot of strength to our review of  
25 medical device failures.

1           What I mean, there's a couple of examples  
2 here. Machine malfunction could be a medical device  
3 failure and software failures. Those are, software  
4 failures are definitely something that we're  
5 interested in. And just for everybody's knowledge, in  
6 radiation oncology, right now 74 percent of all of  
7 the recalls that involve radiation therapy devices  
8 are due to software failures. So we actually are  
9 putting a lot of effort into approve, or clearing,  
10 new devices with new procedures, new ways of testing  
11 for the software failures. And NRC has really helped  
12 us to that, with better communication.

13           CHAIRMAN PALESTRO: Dr. Dilsizian?

14           DR. DILSIZIAN: Great presentation, Ron.  
15 When I looked at your summary, you had two main  
16 themes. One was the "time out" and the other one  
17 was the lack of training or experience. Both of those,  
18 unfortunately are not regulations. It's the practice  
19 of medicine.

20           The reason this comes up every time is  
21 because I'm the chairman of radiation safety  
22 committee and our radiation oncology colleagues on  
23 the committee want to have us as a safety committee  
24 to decide what type of a period you would need of  
25 lack of let's say doing Y-90s, where you should not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 either teach or do, which I thought was the order of  
2 medicine, not radiation safety.

3 So what's interesting is that as a  
4 committee we're trying to deal with these, which you  
5 summarized beautifully, but it's really the practice  
6 of medicine. You even mentioned that the Society  
7 should be recommending this, not NRC. So what is then  
8 our role? It's very interesting.

9 MEMBER ENNIS: That's a great comment and  
10 something we struggle with here all the time. It's on  
11 that line and I guess maybe in part we're not really  
12 joined to any kind of regulatory work. This is just  
13 more of informational, hey, as a body that reviews  
14 medical events, so that's kind of working it into the  
15 regulatory space. As regulators, if you will, we want  
16 to help you minimize that and these are some things  
17 we're recommending.

18 I agree I wouldn't want NRC to start to  
19 stipulate the definition of what's frequent, what's  
20 not frequent for this particular procedure. That's  
21 definitely out of their purview, but I think it's  
22 okay for NRC to say, hey, we're regulating you, we  
23 see a pattern here, and we want to highlight that for  
24 you and you guys now think about what's the  
25 appropriate time, what's the appropriate things in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the "time out", I mean we're not really mandating  
2 the specific things, just think about time, I'd like  
3 to hear some suggestions.

4 I think that comes close to the practice of  
5 medicine but is still as a practitioner I think that  
6 would be okay for NRC to kind of let me know, here's  
7 some advice.

8 CHAIRMAN PALESTRO: Other comments or  
9 questions?

10 MEMBER OUHIB: Two minor items, probably.  
11 One regarding the education and training, sort of  
12 like get people -- you know, perhaps medical events  
13 should be part of that training, to be aware of what  
14 actually has happened using that device or doing that  
15 procedure, and they need to know how things can go  
16 wrong. Unless you know, you might very well make the  
17 same mistake. I think that would be valuable.

18 The other one is on the "time out".  
19 Looking at other medical events that took place,  
20 perhaps, is that asking a simple question as, is there  
21 anything that is different that we're doing in this  
22 procedure that we have done before? Modification of  
23 the applicator, anything, a very recent upgrade of  
24 the software was done last night, something regarding  
25 the device itself. Something, there was a repair that

1 was done, the engineer was, I don't think it would  
2 affect you but just look at. Things like that I think  
3 would be valuable to sort of, so anybody can speak up  
4 and talk about it.

5 CHAIRMAN PALESTRO: Mr. Sheetz?

6 MEMBER SHEETZ: I would like to follow up on  
7 Zoubir's comment about licensees understanding what  
8 the occurrence was for other medical events,  
9 especially with the Y-90 microspheres. If you look  
10 at the main cause for the events, it's greater than  
11 20 percent of the residual activity remaining in the  
12 delivery apparatus, not due to stasis. So it was not  
13 all an elective termination of the procedure.

14 These can be caused by trying to infuse too  
15 many microspheres, kinking of a catheter, or  
16 inadequate flushing of the device. If we looked at  
17 the cause of lack of experience or infrequent use for  
18 the Y-90 microspheres, we only attributed those for  
19 the device setup errors, and it was around eight  
20 percent, so it wasn't significant.

21 But if you add the residual activity,  
22 greater than 20 percent, to the infrequent use because  
23 they weren't quite sure how the device worked or they  
24 weren't sure combinations of flushing and infusing  
25 microspheres, that comes up to over 70 percent as the

1 reason for the medical event.

2 So I'm not sure of the answer on how to  
3 correct that but maybe licensees could reach out back  
4 to the manufacturer for a refresher if it's been a  
5 period of time for them using the device. The  
6 manufacturers are very willing to come out and provide  
7 additional instruction after they've already been  
8 approved. Thank you.

9 MEMBER CUHIB: And just to follow up on this,  
10 during that refresher I would love to see the  
11 manufacturers actually creating events during the  
12 training and showing them how the system can actually  
13 go wrong. I'm going to do this, watch this and see  
14 what's going to happen, and go over every single event  
15 that is known, basically with the users so they are  
16 prepared and they can avoid it.

17 MEMBER GREEN: This is Richard Green.  
18 Playing off Dr. Martin's comments that there are very  
19 few events for the millions that occur. Many  
20 practitioners, knock on wood, will go through a career  
21 and not have a medical event. But it's not until we  
22 aggregate this data to the level that we have, this  
23 30,000 foot view that we have of all the data for  
24 multiple years, where we come up with this very  
25 salient, you know, this "time out". This review of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a skillset that you may have had but that may have  
2 gotten rusty because of unuse.

3 I think it's, this level of our viewpoint,  
4 we can suggest, and that's all it is, a suggestion,  
5 that the NRC puts out an informational notice that  
6 says, this is good advice. It's not a regulation,  
7 it's not all things are infringing on the practice of  
8 medicine, but it's something that we can identify  
9 that you may not see, may have gone a whole career  
10 and not had a problem, but this could help prevent a  
11 problem.

12 CHAIRMAN PALESTRO: Other comments or  
13 questions from the committee?

14 MEMBER SCHLEIPMAN: One quick one. The  
15 possible elements of a "time out" or use of a "time  
16 out", is there a possibility that could be put into  
17 model procedures at the NRC post, and/or appendant to  
18 the written directive 35.3 regulations to say, this  
19 is strongly suggested or this is an element of safety  
20 that could be incorporated into the written  
21 directives?

22 MEMBER ENNIS: Well, I think that's a  
23 question for NRC, whether they can and can't. Is there  
24 a way of going into and changing the regulations  
25 themselves, it's not a big enough problem to do that.

1 I think the information notice was the mechanism we  
2 thought, but again, I would open it up to Doug or  
3 anyone else to say --

4 MR. BOLLOCK: Yes, from the NRC. So to answer  
5 a direct question, we could but is it necessary, like  
6 Dr. Ennis said, is it necessary with this low number  
7 of cases, probably not. That would be getting another  
8 step further. We would have to really evaluate if  
9 it's a problem, and right now we don't see it as a  
10 problem.

11 But that doesn't mean there are other  
12 things that can be done, like the stuff in these  
13 recommendations for information for us to share. You  
14 know, here are, here's our personal experience for  
15 seeing here the events, here are some of the causes,  
16 here are some things that could prevent it. You know,  
17 that is absolutely something that we can do very  
18 easily.

19 CHAIRMAN PALESTRO: Mr. Green?

20 MEMBER GREEN: I think the information  
21 notice that that does occur would then go to the  
22 professional medical societies for them to  
23 incorporate into their procedure guidelines and model  
24 procedures for them to work with their peer group to  
25 perfect and improve the processes within each

Formatted: Font: Courier

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 professional society.

2 CHAIRMAN PALESTRO: Mr. Ouhib?

3 MEMBER OUHIB: And I think just to answer  
4 that one, I think that's already part of an accredited  
5 program by ASTRO, for instance. That is a must. The  
6 "time out" is a must. But let me just add one more  
7 thing is, we talk about a "time out" button prior  
8 to the procedure I'd like to suggest that perhaps a  
9 "time out" at the end of the procedure to make sure  
10 that the treatment was actually delivered according  
11 to the written directives and there is nothing out  
12 there that perhaps went incorrectly, and not wait for  
13 the fifth fraction to discover that all these five  
14 previous fractions were treated incorrectly.

15 And I think, and I hate to call it a "time  
16 out", but it probably will fit just fine, to have a  
17 good review at the end of the procedure and say, okay,  
18 let's take a look. Did we do anything incorrect here,  
19 and can we confirm it, and how?

20 CHAIRMAN PALESTRO: Dr. Ennis?

21 MEMBER ENNIS: I'm not sure how I feel about  
22 that. I guess the hesitation is I feel like if  
23 anything did happen, that generally does get  
24 discussed and the need to add a layer to every single  
25 procedure of yet one more, I'm not sure the value

1 added. In theory, I get it but in practice I'm not  
2 really sure.

3 MEMBER OUHIB: Well, there are certain  
4 procedures that you're required to review your case  
5 and make sure that nothing has happened and there  
6 wasn't a medical event. Then you're supposed to  
7 document that. I think what I'm saying is that perhaps  
8 that should be applicable to all procedures to make  
9 sure that there wasn't a medical event and not wait  
10 for the fifth fraction, perhaps.

11 CHAIRMAN PALESTRO: Any other comments or  
12 questions from the committee? Dr. Ennis, I have one  
13 question for you. This indeed is a change in the focus  
14 of the subcommittee, and I think a change for the  
15 better. We've talked about this at the ACMUI meetings  
16 for several years and I think you certainly have  
17 provided useful information. However, you looked at  
18 a time span of three and a half years.

19 My question is, and you and I have talked  
20 about this via email, is this report, should this  
21 report continue to be an annual report or should it  
22 be less frequently, at some specified interval, so  
23 that you look at new accrual of data?

24 MEMBER ENNIS: It doesn't really seem to  
25 make sense to do this every year, very few, relatively

1 few events per year and there's going to be  
2 significant overlap to next year this year. We'd be  
3 looking at the same data.

4 I guess my gut feeling would be maybe every  
5 two years would be appropriate. Whether this  
6 committee should not really report anything except  
7 every two years or it should do something else on the  
8 intervening years, I'm open to thoughts. But in terms  
9 of this task, at least, seems like it probably makes  
10 more sense to do it every two years.

11 CHAIRMAN PALESTRO: Dr. Metter?

12 VICE CHAIRMAN METTER: This is Darlene  
13 Metter, and I think that's a good idea but I think it  
14 still should be monitored in case any event does come  
15 up that I don't want to wait two years or three years  
16 before we realize that two years ago these events  
17 occurred. So perhaps the subcommittee can at least  
18 monitor it and we can maybe report that there was  
19 nothing unusual that occurred this year and not give  
20 such a detailed report, but somebody needs to monitor  
21 it on an annual basis.

22 CHAIRMAN PALESTRO: Any other comments or  
23 questions from the committee? Dr. Suh?

24 MEMBER SUH: I commend the fact that we have  
25 actually started to look at 30,000 foot views for

1 medical events, and think one of the things that this  
2 report really underscores is the importance of very  
3 simple practices to make a difference in the quality  
4 and safety of patient care.

5 So I would really encourage the  
6 subcommittee and committee to continue to promote  
7 things like universal "time out". I mean, it's very  
8 simple to do, it should be part of the universal  
9 practice in terms of how we treat patients, and yet  
10 it's not being done. And if you look at the  
11 percentages, it ranges from the various reports from  
12 between 15 percent to maybe as high as 85 percent,  
13 medical events could have been prevented with a "time  
14 out".

15 Which to me, that's why even though it's a  
16 very small number of patients the fact that the 35.300  
17 upwards of 85 percent may have been prevented at the  
18 time I think is a very powerful statement.

19 CHAIRMAN PALESTRO: I certainly agree with  
20 you, Dr. Suh. Any other comments, questions from the  
21 committee? Ms. Weill?

22 MEMBER WEIL: This is Laura Weill. Just a  
23 question. You cite infrequency of use as a significant  
24 factor. How did you determine that, and is it related  
25 to the kind of facility or the location of the

1 facility?

2 MEMBER ENNIS: So, it's a very soft  
3 judgment. That's why we, part of why we divided it up  
4 by expert. The expert was reviewing what was reported  
5 and it's like, that sounds to me like someone who  
6 probably hasn't had, but we didn't have like a nice  
7 group of criteria and so it's just a rough estimate  
8 based on expertise and we did not delve into type of  
9 institution, so there's no doubt those things play a  
10 role but we don't have enough data to really look at  
11 it in that kind of a way.

12 CHAIRMAN PALESTRO: Any other comments,  
13 questions, from the committee?

14 MEMBER ENNIS: So I just want to hear a  
15 little bit more clearly what the charge for my  
16 subcommittee should be for next year. Should we report  
17 the way we did in the past, and go through all the  
18 events and just say, you know, in that kind of  
19 detailed kind of thing to make sure the numbers are  
20 not high, like our old reporting similar to Dr. Howe,  
21 or in what way do you want us to make sure, I just  
22 kind of want to know what the greater committee would  
23 like our subcommittee to do.

24 MR. BOLLOCK: This is Doug Bollock, NRC. I  
25 don't know if you want some of our perspective eye

1 and say that your current, the report you just did is  
2 more helpful than a rehash of the annual reports, and  
3 then perhaps like Dr. Metter said, each year if  
4 something came up that is noticeable, that is  
5 identified that hey, this could be a problem, to bring  
6 that, to review that.

7 MEMBER BNNIS: Okay, so we will just kind of  
8 review them all and if we think there's a theme we  
9 want to report on or otherwise say, basically, no  
10 change, subcommittee's comfortable without a bit  
11 report or anything.

12 MR. BOLLOCK: Right. I can see that we do  
13 that, you know, if you feed us that. I can see the  
14 value in that but your report looking back at the  
15 last three and a half years, this is very helpful to  
16 us. I mean, I'd like to thank the subcommittee. This  
17 is good information, these are things we find useful.

18 We may not say, in information notes we may  
19 not say exactly what you said but it, this, this is  
20 right along the lines of what we would offer in  
21 information notices. This is extremely helpful to us.  
22 We do appreciate that. So that's our perspective, and  
23 I've got some staff I think may have some other  
24 perspectives. Dr. Howe?

25 DR. HOWE: My perspective is that your

1 presentation this year was exactly what we need to  
2 hear, because we go through in the spring time and  
3 give you the details of each medical event.

4 One of the things I did want to bring in is  
5 the regulatory perspective. We do have NRC  
6 requirements that get to some of your issues. They're  
7 peripheral. One would be that the licensee is required  
8 to provide training for the supervised individuals on  
9 what is a medical event, and on the regulations which  
10 would also be the written directives and in the  
11 program to ensure that administrations are in  
12 accordance with the written directive.

13 Probably one of the things that we are  
14 missing is we don't have that same requirement for  
15 periodic training of the supervising individual,  
16 because as you come into the medical practice in your  
17 30s and then you get into your 60s, things have  
18 changed and so it's probably still important to know  
19 what is a medical event for your specialty this year.

20 Another point is that we have in our new  
21 rule which will be effective in January, there is a  
22 requirement under 35.40 which is your written program  
23 to assure that administrations are given in  
24 accordance with the written directive, that you  
25 determine if there's a medical event.

1           We've assumed that would happen in the  
2 past, but now every time there is an administration,  
3 the licensee is supposed to determine if there was a  
4 medical event. So there should be more focus now on  
5 what is a medical event for each of the modalities  
6 and did this particular treatment meet that standard.

7           So we do have certain parts of the  
8 regulation, one on supervision, one on written  
9 directive, one on your written program to assure  
10 administrations are in accordance with the written  
11 directive, and then the medical event reporting that  
12 get to some of these issues. Not exactly the issues,  
13 but they do get to some of them.

14           So we could probably write an information  
15 notice from that regulatory perspective. Thank you.

16           CHAIRMAN PALESTRO: Any other comments or  
17 questions from attendees in the room? Questions or  
18 comments from anyone on the telephone lines? Hearing  
19 none, I presume it's time for the committee to accept  
20 the report, is that correct?

21           MR. BOLLOCK: Yes, that's correct.

22           CHAIRMAN PALESTRO: All right. And the  
23 motion is the report itself, if I'm not mistaken. We  
24 need a second. Do we have a second, on acceptance of  
25 this report? Seconded by Dr. Schleipman. Any

1 discussion? All in favor? Any opposed? Thank you.

2 All right, the next presentation is  
3 entitled Non-Medical Events, and it will be presented  
4 by Mr. Sheetz.

5 MEMBER SHEETZ: This presentation will cover  
6 the non-medical-related events reported by medical  
7 licensees for fiscal year '17. Next slide, please.

8 This data comes from the nuclear material  
9 events database for non-medical events reported by  
10 licensees in both NRC and agreement states. It does  
11 not include the medical events reported under Section  
12 35.3045 involving patient administration errors,  
13 Section 35.3047 involving unintended exposures to a  
14 embryo fetus or nursing infant or other events  
15 involving patient safety or harm.

16 What is included are the events reported  
17 under various sections of 10 CFR parts 20,30, 35 and  
18 49 CFR 171 involving leaking sealed sources, lost or  
19 stolen radioactive material, personnel overexposures,  
20 contamination incidents and transportation incidents  
21 involving radioactive material. Next slide, please.

22 If we look at the different categories and  
23 number of non-medical events occurring in fiscal year  
24 '17, there were eight leaking sources, seven lost,  
25 abandoned or stolen sources, four personnel

1 overexposures, four incidents with the shipment of  
2 radioactive material and three radioactive  
3 contaminations incidents. There are no equipment  
4 malfunctions. Next slide, please.

5 This chart shows the relative number of  
6 non-medical events reported by medical licensees  
7 compared to the total number of NMED events for all  
8 categories. You can see that they are a relatively  
9 small fraction of approximately five percent. Next  
10 slide, please.

11 If we look a little closer at the  
12 circumstances of the events in the different  
13 categories, for lost sources there were three  
14 involving I-125 seeds used for radioactive seed  
15 localization of non-palpable breast lesions. Two were  
16 lost in the process of trying to remove the seed from  
17 the tissue specimen after it had been explanted from  
18 the patient, and one involved transferring a specimen  
19 not knowing that it contained a radioactive seed to  
20 another hospital.

21 Two involved the loss of 200 microcuries  
22 Cesium-137 sealed sources used for calibration of  
23 dose calibrators in nuclear cardiology. One involved  
24 the loss of a 400 microCurie I-125 calibration seed  
25 that was shipped in a separate lead pig from the other

1 brachytherapy seeds and so was discarded with the  
2 shipping box.

3 Then there was an incident with a return  
4 shipment of a 4 Curie Iridium-192 source where the  
5 common carrier tracking system could not account for  
6 the location of the package but it was ultimately  
7 delivered back to the manufacturer. Next slide,  
8 please.

9 For leaking sources, five involved the  
10 Cesium-137 dose calibrator sealed sources, found to  
11 have removable contamination during the routine six-  
12 monthly test. An Iridium-192 source had removable  
13 contamination discovered during source replacement,  
14 and I-125 seed was cut during removal of the seed  
15 from the tissue specimen, and a P-32 flex film used  
16 for brachytherapy treatment of an eye tumor was found  
17 to have removable contamination at the completion of  
18 the treatment. None of these resulted in the spread  
19 of significant contamination. Next slide, please.

20 For shipments of radioactive materials,  
21 there were three incidents where the outer surface of  
22 the package containing radiopharmaceuticals coming  
23 from a commercial vendor had removable contamination.  
24 Interestingly, the surface contamination was not the  
25 same isotope as that being shipped, so it is assumed

1 that the contamination occurred during packaging at  
2 the vendor facility. There was no noted contamination  
3 of the common carriers.

4 And there was one incident where the  
5 container of an Iridium-192 source was cracked during  
6 transit. However, there was no loss of contents,  
7 contamination or exposure to personnel. Next slide,  
8 please.

9 For radioactive contamination, one incident  
10 involved contamination of a hospital room from a  
11 patient who was admitted and had been administered  
12 200 millicuries of I-131 sodium iodide two days  
13 earlier, and they did not know the patient had been  
14 administered this iodine.

15 There was extensive contamination of  
16 several rooms in a nuclear medicine department from  
17 a child who, after being administered a capsule  
18 containing 30 millicuries of iodine 131 sodium  
19 iodide, removed it and held it in their hand. There  
20 was also extensive contamination on the child. What  
21 a mess.

22 And there was an incident resulting in  
23 contamination of an interventional radiology suite  
24 from the improper setup of the Y-90 microsphere  
25 delivery device. Next slide, please.

1 For personnel overexposures, there were two  
2 overexposures to personnel from PET isotope radio-  
3 pharmaceutical production. These were in commercial  
4 radiopharmacies, one resulting in an extremity dose  
5 of 510 millisieverts and the other with a whole-body  
6 dose of 110 millisieverts.

7 There was an overexposure to an engineer  
8 from cyclotron repair and maintenance activities with  
9 an extremity dose of 941 millisieverts and there was  
10 an exposure to three non-radiation workers from the  
11 release of fluorine-18 from a V vial event at a  
12 commercial radioactive pharmacy cyclotron, resulting  
13 in a calculated whole-body dose of approximately 112  
14 millisieverts. Next slide, please.

15 There are always a number of miscellaneous  
16 events that get reported to NMED which do not fit  
17 into one of their defining categories. One of these  
18 related to medical licensees is the detection of  
19 short-lived medical isotopes at municipal waste  
20 landfills or transfer stations. The radioactivity  
21 gets into the waste from the body fluids of patients  
22 who have been administered radiopharmaceuticals,  
23 treated diagnostic or therapeutic  
24 radiopharmaceuticals procedures.

25 There is no standard reporting requirement

1 for these events. The NRC does not require them to be  
2 reported and so the requirement varies from state to  
3 state. In the past there have been a relatively large  
4 number of events, coming primarily from four  
5 different states. Up until the past year, there have  
6 been averaging around a hundred reported events  
7 annually. I can't explain the reason for the small  
8 number in fiscal year '17.

9 I'm sure many of these events are still  
10 occurring across the country. The response to these  
11 events often results in either the waste being held  
12 in the garbage truck for a day or two until the  
13 radioactivity has decayed away or the contents of the  
14 truck are unloaded and an attempt is made to locate  
15 the hot waste bag.

16 If the bag is located, there may be attempts  
17 to identify the originator of the hot waste, which  
18 can then result in a fine or request to retrieve the  
19 waste.

20 I take the time to point this out as I feel  
21 these reported events are only the tip of the iceberg  
22 and that a significant response effort is being  
23 undertaken for something that does not present a  
24 public safety hazard or risk.

25 I think Pennsylvania has a model landfill

1 monitoring program to address this problem, where it  
2 requires all waste to be monitored for radioactive  
3 sources. It allows waste identified to only contain  
4 short-lived medical isotopes to immediately be  
5 buried. This eliminates the response efforts for  
6 something that does not pose any risk to the public.  
7 Next slide, please.

8 So, in conclusion I think there are a  
9 relatively small number of non-medical events  
10 reported by medical licensees. Types of events  
11 occurring have had minimal health and safety impact,  
12 and standardization of landfill radiation alarm  
13 response to allow for short-lived medical isotopes to  
14 be immediately buried will reduce the burden on both  
15 regulators, licensees and landfill operators. Thank  
16 you.

17 CHAIRMAN PALESTRO: Thank you for a very  
18 interesting presentation, Mr. Sheetz. Comments or  
19 questions from the committee? Mr. Sheetz, I have a  
20 question for you. You may have answered it and I  
21 simply didn't hear it, or you may have already  
22 mentioned it.

23 In terms of the decrease in the large,  
24 relatively large number of events down by more than  
25 a 100 in 2014 to fewer than 20 in 2017, explanation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for that? Have the alarms been readjusted in terms of  
2 sensitivity? Or have individuals received more  
3 detailed instructions about storing radioactive waste  
4 or potentially radioactive waste?

5 MEMBER SHEETZ: I do not know the answer to  
6 that. I just have the data from the NMED.

7 CHAIRMAN PALESTRO: Any other questions or  
8 comments from the committee? Comments or questions  
9 from the attendees in the room? Comments or questions  
10 from anybody on the phone lines? Thank you, Mr.  
11 Sheetz. I do have a question for Mr. Bollock,  
12 procedural. This is not a formal subcommittee report,  
13 does it need to be formally approved by the committee?

14 MR. BOLLOCK: No, there's nothing that was  
15 reviewed, nothing given to us other than the  
16 presentation itself, so no further action.

17 CHAIRMAN PALESTRO: Thank you. All right,  
18 next presentation is the American Brachytherapy  
19 Society's effort to reach out to the brachytherapy  
20 community for creative corrective actions regarding  
21 events that have taken place, and it will be presented  
22 by Mr. Ouhib.

23 MEMBER OUHIB: Thank you, Dr. Palestro. This  
24 idea came about when Dr. Howe, actually we were  
25 talking about medical events, and we said maybe we

1 could do something with all these medical events and  
2 improve patient safety. Next slide, please.

3 So in general, basically it's a lesson  
4 learned from medical events. Understand how to use  
5 medical events in improving patient safety, identify  
6 possible corrective actions for a known medical  
7 event. What I mean by known, known with a lot of good  
8 and accurate details. Look for possible preventive  
9 actions to avoid such medical events, and lastly,  
10 engage the brachytherapy community in improving  
11 patient safety. Next slide, please.

12 I have no disclosure. Next? So, facts on  
13 medical event, they're here to stay and we know that  
14 no one is immune. Similar events are occurring at  
15 different facilities. New events will also eventually  
16 replace the old ones. You have new technologies, some  
17 upgrades and things like that, or even if you  
18 implement something as a corrective action, you might  
19 have just introduced another possibility of a medical  
20 event. So the question is, how can we prevent some,  
21 and hopefully reduce others? Next slide, please.

22 Importance of information when reporting  
23 events. This is addressed to the users. Need of  
24 accurate information and details about the event  
25 define effective solutions. Without those, we can't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 do a whole lot.

2 Details on an event before, that means  
3 preconditions, during the event and certainly after  
4 the event are very, very essential. When reporting  
5 events, provide facts only and not personal  
6 interpretation because that could be misleading  
7 information. Information on the software, which  
8 version's being used, the hardware, the devices,  
9 application, modality, etc.

10 And certainly involve all individuals with  
11 knowledge about the event. You'd be surprised when a  
12 therapist can provide you some valuable information  
13 that nobody thought about. Next slide, please.

14 Again, report event to regulatory agency  
15 but also notify the vendor as soon as possible. And  
16 there's a reason for that as far as the vendor. The  
17 vendor can help you really understand what actually  
18 took place. But more important, if there is a need  
19 for a recall or notifying FDA, so on and so forth,  
20 they are prepared to.

21 Manufacturer to alert as soon as possible  
22 other users. Once a year users confirm and provide  
23 some clear guidance because the user might not be  
24 able to provide that. Manufacturers should resist  
25 user evaluating the possible source of event, try to

1 sort of guide them. Corrective action to be shared  
2 with others. Next slide, please.

3 Regulators. Written and very clear  
4 statements should be provided. Preliminary reports  
5 should perhaps be reviewed by the user before going  
6 public for accuracy. And I should add, perhaps, should  
7 be viewed by the manufacturer, because perhaps the  
8 user did not provide full information. Next slide,  
9 please.

10 So, for both solutions there have to be  
11 reasonable specific, practical, proven and have been  
12 evaluated to avoid new errors. Next slide, please.

13 The medical event project basically was to  
14 simply select the medical event based on its impact  
15 and frequency, share the event with users for input  
16 on corrective and preventive actions, tabulate the  
17 solutions and share them with the brachytherapy  
18 community. Solutions are reviewed by an ABS select  
19 team. Next slide, please.

20 To ensure the final recommendation is  
21 shared among all users so the whole peer is to really  
22 have the ABS and the ABS website and available to  
23 AAPM, ASTRO, IAEA, manufacturers and so on, so forth.  
24 But really, our hope is to bring in all these  
25 organizations into this and then work like a team,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 track these specific errors and evaluate effect on  
2 information sharing. Are we seeing this error again  
3 or not, or was this institution aware of these  
4 corrective actions?

5 Encourage users to share similar near-  
6 misses errors, very similar to what just took place.  
7 Maybe they almost had the same error, but maybe with  
8 the information they had they were able to avoid it.  
9 Next slide, please.

10 So, here's the first case, Incorrect Source  
11 Transfer Tube Length. This was actually selected by  
12 two of our graduate students at Florida Atlantic  
13 University, and you will see their names later on. We  
14 provided them with a case description basically to  
15 the users, specific feedback requested from the users  
16 and provided them with an email where they can send  
17 the feedback. Next slide, please.

18 The intent of the project, the number one  
19 was to improve patient safety. Involve as many users  
20 as possible for best possible solutions, involve the  
21 manufacturer for better solution improvement and  
22 share solution with the community. Next?

23 Here's how the question that was proposed  
24 as far as for case number one. "When you are  
25 considering corrective action, try answering the

1 following questions. What safety barrier failed to  
2 identify the incident? What possible safety barriers  
3 identify the incident? What safety barriers might  
4 have identified the incident? What possible factor  
5 contributed to the incident? Next slide, please.

6 That basically will lead the users to look  
7 at what preventive action could stop reoccurrence of  
8 a similar event Next slide, please.

9 The users' feedback was to be sent at this  
10 email address, which was very creative by these  
11 graduate students, [PreventMedEvent@gmail.com](mailto:PreventMedEvent@gmail.com). And  
12 that was by Sarah Price and Panagiota Galanakou.  
13 Excellent work. Next slide, please.

14 There's always a motivation line in jumping  
15 in on something, and I recall at the most recent ABS  
16 meeting there was a keynote speaker, Tom Kelly, and  
17 his statement was, "Noticing that something is broken  
18 is an essential prerequisite for coming up with a  
19 creative solution to fix." That never left my mind.  
20 It was like, you know what, this is something that  
21 maybe I should embark on and take a look because  
22 there's something broken out there. Next slide,  
23 please.

24 I'm not going to ask you to read all this  
25 but this is the information that was sent to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 users, basically. What the project is all about, and  
2 so on and so forth. Next slide, please.

3 Here's a summary of the case. This was for  
4 11 patients. HDR unit was commissioned with a click-  
5 fit A. The institution received later Miami  
6 applicator with a click-fit B for all three catheters,  
7 tandem and ovoid. The click-fit B is ten centimeter  
8 longer than a click-fit A. One of the click-fit A  
9 broke, so new plan was generated using a click-fit B.  
10 The therapists were instructed to use click-fit B for  
11 tandem only. There was a miscommunication and that  
12 led to the use of click-fit B for all three catheters,  
13 not just the tandem.

14 What was the result of such action? Those  
15 from ovoids were inferior then designed by ten  
16 centimeters, less goes to target and more goes to  
17 normal tissue. You can see a picture down below there.  
18 You can see the click-fit A being shorter than the  
19 click-fit B, which is with the green marker. Next  
20 slide, please.

21 Summary of case number two. This is  
22 additional 57 patients that were involved. While  
23 investigating the previous event, additional was  
24 discovered and it led me back to the "time out" on  
25 the very first case. If that was done, perhaps these

1 77 patients would have not been affected by that.

2 The actual total meant for click-fit B was  
3 133.5. For planning purpose, this is just detail,  
4 length of tandem should be 133.5 minus 1.4, that's  
5 132.1. What is that 1.4 cm? That accounts for the  
6 quick-connect part for the HDR unit.

7 For planning, there's a default value of  
8 130 cm that was used, versus 132 for one that has  
9 been measured. So the result is that the previous, in  
10 addition to the previous 11 patients, actually  
11 received treatment at 2.1 cm further lower than the  
12 previous ten centimeters, which is about 12.1 cm. So  
13 that means the dose is even lower, lower in terms of  
14 anatomy-wise. Next slide, please.

15 This is the case that was sent out to all  
16 users to evaluate. Next slide, please.

17 And the information regarding the error  
18 tube. Next slide, please.

19 So, the same thing here, basically. I'll  
20 just read you the bottom here is that, "We are eager  
21 to receive your reply on how you would have dealt  
22 with this situation if it had occurred in your  
23 institution and what you have in place that would  
24 have prevented similar events." Next slide, please.

25 The summary of feedback is here for the

1 user, oh, this is what was sent to the users. "As  
2 promised in our case 001, posted on brachytherapy  
3 Brachyblast on July 31, 2018, we are eager to present  
4 the feedback corrective and preventive measures that  
5 we have collected from several colleagues, medical  
6 physicians and radiation oncologists. At the same  
7 time, it was a reminder to reader this is a case of  
8 a HDR procedure where the use on an incorrect length  
9 has led to a medical event." Next slide, please.

10 This is the summary, more or less, and it's  
11 unfortunate that we can't see it very clear from here.  
12 The feedback from you said there was corrective action  
13 and there was a preventive. This is for the immediate,  
14 that means short term, independent manual measurement  
15 check to verify treatment length matches planning  
16 length. And you'll have to forgive me, I'll have to  
17 get my hard copy here. I can't read that either.

18 Okay. Policy and procedures that required  
19 the medical physicist to be directly involved with  
20 the treatment setup when there are any alterations to  
21 the plan, HDR equipment or treatment devices. So they  
22 should be directly involved in service for the  
23 brachytherapy team, regarding the use of click-fit  
24 with all applicators and the clinical impact when  
25 using the non-planned one. What could actually

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 happen?

2 The preventive was when a different click-  
3 fit than manufacturer recommended click-fit is to be  
4 used as a substitute with any applicator, a  
5 commissioning should be performed in advance using  
6 manufacturer-recommended length, confirmed of course  
7 with measurement by the users.

8 All members of the brachytherapy team  
9 should be directly informed regarding any  
10 modification to the use of device or treatment plan.  
11 In addition, the verbal instructions, written ones  
12 with photos of selected click-fit set used for  
13 planning should be provided for treatment setup  
14 verification and delivery. That means they will have  
15 some sort of a hard copy to take for the setup.

16 The physicist involved in the modification  
17 of any treatment plan should directly be involved in  
18 the patient setup prior to treatment. The resulting  
19 setup should be independently verified by a treatment  
20 team.

21 When there's any doubt about proper setup  
22 and use of brachytherapy device, time out should be  
23 performed and a manufacturer should be contacted for  
24 clarification and recommendation prior to treatment.

25 And last, when having two different sets of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 click-fits, A and B, consider retiring one set to  
2 eliminate the use of the wrong one. The resulting  
3 total length when connected to the selected click-fit  
4 set remains a variable, and one should consider a  
5 cable of thorough length for all applicators as a  
6 reference for treatment planning so they will have  
7 the values in front of them by the treatment planning  
8 and they will know which total length should actually  
9 be used. Next slide, please.

10 Long terms. Manufacturers should consider  
11 redesigning the afterloader to measure in a dummy  
12 sequence each treatment length and stop treatment if  
13 the measured value is not within one millimeter of  
14 the planning length, and I know manufacturers are  
15 actually currently working on that. It has not been  
16 released yet.

17 Manufacturers should move the legacy magic  
18 number, the 1.4 cm difference between the actual  
19 measurement of the treatment length with a quick-  
20 connect for Varisource IX and the actual treatment  
21 length.

22 Manufacturers should remove the default  
23 treatment length from the BrachyVision TPS system.  
24 The user should be forced to enter the length and  
25 there should be an authorization popup requiring

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 initial or password to proceed. This will ensure  
2 measurements were actually performed.

3 Click-fit should be designed and sold such  
4 that they have the same length for all applicators.  
5 Manufacturers should provide illustration or  
6 demonstration of the possible ramification of  
7 improper use in various click-fit sets. For this  
8 specific example, demonstrate how using an incorrect  
9 click-fit set will result in a medical event.

10 During training. Emphasis should not only  
11 be on how things will work well, but also things can  
12 lead to medical events. Reported event should be part  
13 of the education, with demonstration. Manufacturers  
14 should provide detailed demonstration or  
15 demonstration of the procedure the staff should  
16 follow for proper treatment.

17 And last is treatment summary. Generated by  
18 the plan that will include all critical parameters  
19 for treatment setup and delivery will be very useful.  
20 Parameters such as patient name, applicator model,  
21 click-fit set, planning length, fraction and so on,  
22 and perhaps even a diagram of the setup itself. That  
23 could be taken for setting up the patient and  
24 verifying what's in the treatment console to make  
25 sure that everything is good. I think that would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 very helpful. Next slide, please.

2 This is what was sent to the users. "We  
3 encourage our readers to continue to submit their  
4 ideas to this email address, as there might have been  
5 other preventive and corrective actions that we did  
6 not identify. Be sure to check out our next month's  
7 Brachyblast where we will present case number two."  
8 We already working on case number two as we speak.  
9 Next slide, please.

10 These are the acronym, and last slide,  
11 please. I would like to acknowledge Dr. Howe, IAEA,  
12 Debbie Gilley was very helpful in providing us the  
13 medical events, Sarah Price and Panagiota, the  
14 graduate students, have done a wonderful job. These  
15 are the names of the users that made a huge  
16 contribution to this project. Thank you.

17 CHAIRMAN PALESTRO: Thank you for your  
18 presentation, Mr. Ouhib. Comments or questions from  
19 the committee? I have a question for you. How often  
20 are these cases sent out? Is it on a monthly basis,  
21 one case per month, or ---

22 MEMBER OUHIB: The intent right now is to  
23 send it on a monthly basis and that the, by the second  
24 month will provide the answers. We'd like to sort of  
25 delay it. So we'll provide the solutions that we came

1 up with, and in the meantime we send out the second  
2 case so that way people can start working on case  
3 number two.

4 CHAIRMAN PALESTRO: My second question is,  
5 do you have, I assume this is just starting up, but  
6 do you have a way of determining or will you have a  
7 way of determining how many individuals will be  
8 participating in this?

9 MEMBER OUHIB: Yes. We're tracking that,  
10 actually, because we asked them to write us their  
11 names, their institution, their profession, medical  
12 physicist, radiation oncologist. The institution name  
13 is actually optional, they don't have to, they can  
14 just simply, so we're keeping that information.

15 CHAIRMAN PALESTRO: Thank you. Any other  
16 comments or questions from the committee? Comments or  
17 questions from attendees in the room? Comments or  
18 questions from anyone on the phone lines? Dr. Ennis  
19 has a comment or question.

20 MEMBER ENNIS: I just think it's great. It  
21 will be really interesting to see what the feedback  
22 is. It looks quite valuable. I'd be interested to see  
23 how it plays out and if it really has an impact. I  
24 guess the next thing would be maybe other societies,  
25 particularly ones involved with technical procedures,

1 I'm thinking Y-90 maybe, might want to mimic this in  
2 some way.

3 MEMBER OUHIB: Yes, we actually, I had  
4 personally approached the AAPM and we've been talking  
5 about this, and there is an interest in that. We're  
6 hoping to sort of join forces and hopefully maybe  
7 we'll get ASTRO and ISTRO, who knows? Because keep in  
8 mind, this is not just going to the US, this is going  
9 worldwide because the IAEA will put this on their  
10 website also. So there are people who are going to be  
11 seeing it in, you name it, so this information  
12 hopefully will help a lot of people.

13 CHAIRMAN PALESTRO: Dr. Suh?

14 MEMBER SUH: Excellent presentation. How  
15 receptive do you feel the vendors will be with your  
16 short term and long term action plans? Obviously the  
17 vendors can help out a lot. We had heard from Mike  
18 O'Hara that software failure being reached in therapy  
19 treatments.

20 MEMBER OUHIB: They have been very  
21 receptive, let me just tell you this. They were  
22 willing to assist us and provide us information that  
23 we're looking for. I can tell for case number two,  
24 for instance, the vendor has already done a  
25 presentation for us on the case itself and said,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 here's actually what took place, and we intend to  
2 talk to them some more.

3 I think, at least from my point of view,  
4 this will only help the manufacturer, basically.  
5 Because we're here to say okay, here's what's going  
6 on, here's what we think happened, what do you think  
7 you could do to prevent this or whatever? And they  
8 might have some suggestion, recommendations or  
9 whatnot, but this is helping everybody. Really,  
10 everybody for one and only one cause, improving the  
11 patient safety. Nothing more, nothing less.

12 It is not by making a manufacturer look  
13 bad, it is not by making an institution look bad, or  
14 a physicist or a radiation oncologist because we don't  
15 even touch those names at all. We focus on the process  
16 itself. How did it happen and can we prevent this?  
17 What can we do to avoid such error, and how can we  
18 inform somebody else from not doing it, and what's  
19 the best way to do this?

20 So we're hoping that this information will  
21 go to all website, hopefully, and then people, medical  
22 organizations and people will learn from them. I don't  
23 know how we're going to keep up with this, because  
24 eventually these graduate students will move on with  
25 their lives and we have to figure out a way.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But let me just tell you another thing, is  
2           that we also hoping to capture experts for different  
3           manufacturers and identify them like the expert team,  
4           and then that we we'll go to them and say okay, here  
5           are all the solutions that we have gathered. What are  
6           your thoughts? And they might say, well, yeah, this  
7           is good but guess what? This isn't going to work and  
8           here's why, and so on, so on.

9           So we don't just make a decision and put  
10          that information there. We run it by all these experts  
11          and see what they think, and then we finally tabulate  
12          that and then provide it to users.

13          CHAIRMAN PALESTRO: Any other comments,  
14          questions? Again, thank you, Mr. Ouhib. At this point  
15          we are ready to go into break unless, Mr. Bullock,  
16          are there any loose ends that need to be tied up  
17          before we recess?

18          MR. BULLOCK: No.

19          CHAIRMAN PALESTRO: All right then, we will  
20          reconvene at 2:45. Thank you.

21          (Whereupon the above-entitled matter went  
22          off the record at 2:03 p.m. and resumed at  
23          2:45 p.m.)

24          CHAIRMAN PALESTRO: All right. It's 2:45,  
25          and we're going to resume. The first presentation

1 will be given by Dr. Metter, and it's entitled  
2 "Training and Experience for All Modalities: The  
3 Update of the Subcommittee." Dr. Metter?

4 VICE CHAIRMAN METTER: Thank you, Dr.  
5 Palestro. I'm Darlene Metter, and I'm giving the  
6 report on the Subcommittee on Training and Experience  
7 for All Modalities. Now, I'd like to thank the  
8 members of my subcommittee: Dr. Philip Alderson, Mr.  
9 Michael Sheetz, Megan Shober, Dr. John Suh, and Ms.  
10 Laura Weil.

11 Now, the Training and Experience  
12 Subcommittee, or the T&E Subcommittee, created a  
13 standardized approach and template for T&E review.  
14 They completed the review of 10 CFR 35.100. However,  
15 a concern was raised about patient access, so 10 CFR  
16 35.300 and specifically 10 CFR 35.390 was expedited  
17 for review.

18 During our March 2018 ACMUI conference,  
19 public teleconference, there was a new concern that  
20 was raised by the subcommittee and that was the  
21 potential for future shortages of AU for therapy, and  
22 this resulted in two recent developments at the time,  
23 the first being the FDA approval for 177 Lutetium  
24 dotatate which has a wide broad-spectrum of therapy  
25 indications and, thus, may increase the therapeutic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 procedures; and, number two, there was a concern for  
2 decrease of the number of candidates sitting for the  
3 initial American Board of Nuclear Medicine  
4 certification exam.

5 So the T&E Subcommittee's concern with the  
6 idea of potential increase in procedures with a  
7 concurrent decrease in authorized user had a  
8 potential future AU shortage. So their  
9 recommendation at this time was to reconsider an  
10 alternate authorized user pathway for therapy.

11 So what is the current status? Well, as  
12 you know, in August of 2017, there was a revision of  
13 10 CFR Part 35, and the Commission tasked the NRC  
14 staff to investigate the feasibility of a limited  
15 authorized user pathway specifically for  
16 radiopharmaceutical therapy. The Commission  
17 requested that there be an update every six months  
18 with the first report to be given this past August in  
19 2018.

20 So what were the tasks for a limited AU  
21 pathway? Well, the first one was is it feasible  
22 to have a limited AU pathway for certain  
23 categories of radiopharmaceuticals? And if so,  
24 how were we to develop these categories? And  
25 with these categories, what would be the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 appropriate training and experience  
2 requirements? And lastly and very importantly,  
3 how could you assess competency that the  
4 knowledge and skills obtained would be able to  
5 be used in a competent fashion on patients and  
6 would this be based on the number of training  
7 and experience hours or by an objective measure  
8 such as an examination?

9 So the NRC staff started to assess the  
10 feasibility of a limited AU pathway with tailored  
11 training and experience and documentation of  
12 competency. And at this point in time, they are  
13 continuing their broad stakeholder input.

14 Next slide. So the staff, NRC staff  
15 developed a draft of the potential knowledge topics  
16 needed for an authorized user for therapy, and they  
17 started with 10 CFR 35.390 and there was subcommittee  
18 input.

19 As I mentioned, the proposed curriculum  
20 incorporated, it started off with the knowledge  
21 topics of 10 CFR 35.390 as a starting point, and these  
22 could potentially be tailored to the specific  
23 radiopharmaceutical that was going to be looked at  
24 for therapy with potential need for additional  
25 knowledge topics, depending on what the agent was.

1 Next slide. However, due to time  
2 constraints, there was an initial stakeholder  
3 outreach which was limited.

4 So what were the results? With the initial  
5 stakeholder response, pretty much the majority agreed  
6 that there needs to be a fundamental and specific  
7 radiopharmaceutical knowledge in 10 CFR 390 to safely  
8 administer radiopharmaceuticals. That was pretty  
9 much the majority of stakeholder input.

10 How to obtain this knowledge? There were  
11 many varied responses. How to evaluate the  
12 independent application of this knowledge, and this  
13 was also very varied in the responses obtained.

14 Next slide. There were many stakeholder  
15 concerns, and some of these included how to categorize  
16 a radiopharmaceutical. How were these training and  
17 experience requirements going to be administered, and  
18 how many hours would it take to have adequate training  
19 and experience? And, lastly, how to assess  
20 competency? Were they going to develop an exam,  
21 perhaps by the medical community or medical specialty  
22 boards, or was a preceptor attestation needed, and  
23 perhaps maybe there may be new certification boards  
24 that would need to be created.

25 Next slide. The NRC staff conclusion after

1 this initial stakeholder input came to the conclusion  
2 that it may be feasible to develop a limited AU status  
3 for certain radiopharmaceuticals with tailored  
4 training and experience and a competency-based  
5 assessment of the knowledge and skills obtained. So  
6 the ACMUI subcommittee reviewed this, and we agreed  
7 with the broadest stakeholder outreach was needed for  
8 the potential limited AU status. And with this, they  
9 needed to define the radiopharmaceutical categories.  
10 What were going to be the limited training and  
11 experience requirements? And the competency  
12 assessment for knowledge and skills obtained would  
13 need to be very carefully looked at.

14 The subcommittee review agreed that you  
15 need collaboration with the medical community in  
16 developing competency-based assessment tools and that  
17 the subcommittee also warned that minimizing training  
18 and experience may jeopardize patient, staff, and  
19 public safety.

20 Next slide. The subcommittee also looked  
21 at the issue of an AU shortage, and it looked at it  
22 and said that the initial, there was initial  
23 underestimation of available AUs for 10 CFR 35.390.  
24 According to the ACGME website, there are  
25 approximately 900 potential authorized users in

1 training, and this included radiation oncology,  
2 nuclear medicine, nuclear radiology and the  
3 redesigned American Board of Radiology pathway. The  
4 American Board of Osteopathic, for Osteopathic  
5 Radiology has about 150 to 200 trainees at this point  
6 in time with about 30 to 40 authorized users  
7 graduating every year. So you're looking at over a  
8 thousand authorized users in training.

9 Next slide. So the subcommittee reviewed  
10 that the feasibility of an alternate pathway, despite  
11 the number of authorized users that are currently in  
12 training, should still be explored. There was a  
13 concern about estimating the number of hours of  
14 training and experience required, and the training  
15 and experience requirements should be based on the  
16 necessary knowledge and skills and not on hours and,  
17 therefore, should be based on competency.

18 Next slide. So the subcommittee  
19 recommended that we review the existing authorized  
20 user pathways to maintain safety, maximize patient  
21 access, and clearly define the authorized users'  
22 scope of practice. The training and experience must  
23 be inclusive. It must have a comprehensive coverage  
24 of radiation physics, radiation biology, radiation  
25 instrumentation and mathematics, radiation protection

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and safety, patient release, and applicable  
2 regulations at the federal and state level and  
3 information on medical events. Authorized user  
4 competency must be determined objectively for not  
5 only initial assessment but ongoing maintenance of  
6 competency, and we strongly agreed that a greater  
7 stakeholder input is needed.

8 So what are the subcommittee  
9 recommendations? Recommend the NRC staff should  
10 monitor potential AU shortage for 10 CFR 35.300 to  
11 include geographic data and perhaps practice patterns  
12 as part of the monitoring process.

13 Next slide. So the current plans for the  
14 subcommittee is to work with the NRC staff to expand  
15 the stakeholder outreach and to explore the  
16 feasibility of a limited AU pathway or pathways.

17 Next slide. The subcommittee's future  
18 work. We plan to work with the NRC staff if the NRC  
19 plans to propose changes to the current training and  
20 experience requirements as a result of this broad  
21 reassessment.

22 Next slide. Future work. We need to look  
23 at the training and experience. What are the core  
24 requirements? What is going to be the adequate  
25 acquisition and application of the knowledge and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 skills obtained for the appropriate  
2 radiopharmaceutical use and administration of the  
3 radiopharmaceutical while ensuring patient, staff,  
4 and public safety? The subcommittee plans to  
5 continue to work with the NRC staff to determine how  
6 best to assess competency.

7 And these are the acronyms we used. Thank  
8 you.

9 CHAIRMAN PALESTRO: Thank you, Dr. Metter.  
10 Members of the subcommittee have any comments,  
11 questions? Members of the ACMUI, any comments or  
12 questions? Dr. Dilsizian?

13 MEMBER DILSIZIAN: A very nice  
14 presentation. So I'm thinking about this, and it  
15 seems to me that you've all agreed, the subcommittee,  
16 that an alternate pathway is a reasonable thing to  
17 do. So, therefore, the whole training of whether  
18 there's enough physicians out there, radiologists or  
19 radiation oncologists, nuclear medicine physicians,  
20 is really not the issue. You've kind of accepted the  
21 philosophy in several slides that it's reasonable to  
22 explore an alternate pathway. Is that a fair  
23 beginning? Because then I would like to continue if  
24 that's the --

25 VICE CHAIRMAN METTER: Okay. So first of

1 all, as far as the question of AU shortage, there is  
2 not, I mean, that we'd foresee as a shortage now or  
3 in the future. And as far as the feasibility, we  
4 still thought that perhaps we should still look at  
5 the feasibility of a limited authorized user  
6 pathway, which is looking at the feasibility of it.

7 MEMBER DILSIZIAN: Exactly. So if that's  
8 where we're going to start, the next question is that,  
9 in several places, we're talking about hours and  
10 competency to administer this safely, the words are  
11 safely, and that we shouldn't be limited on hours  
12 alone but plus some type of an examination. I think  
13 the way I'm seeing this is that the oncologist or  
14 whoever it's going to be going through this alternate  
15 pathway, I don't think they've asked us to change the  
16 requirements of the training. The whole radiation  
17 biology, radiation physics, all of those things are  
18 there.

19 I think the question that's always come up  
20 is that do those educational pathways translate to  
21 700 hours or less? And all that they're, I think,  
22 asking us is to say please define is it 600, is it  
23 500 or 400? I don't think they're asking us to kind  
24 of give a crash course so that they be less safe to  
25 patients. I think that we should be respectful that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 these are physicians that have had medical school  
2 degrees, three years for medicine, three years of  
3 oncology. I don't think they're going to be  
4 irresponsible physicians, shall we say. They're  
5 simply saying please define what is a reasonable  
6 number of hours, and they're not even saying that it  
7 should be 80. They're simply saying define the  
8 hours.

9 So from my perspective, I'm simply looking  
10 at this and saying why don't we just take the  
11 curriculum because nobody has defined 700 hours, how  
12 we came to that number, but in a reasonable way come  
13 up what is the number and simply provide that. Am I  
14 missing something?

15 VICE CHAIRMAN METTER: The subcommittee is  
16 looking at that, you know, as far as the core  
17 knowledge, like you said, that has to be obtained.  
18 And then we're looking at that other issue you spoke  
19 about. But it's very difficult. Again, the bottom  
20 line is going to be, with that basic knowledge and  
21 skills that you need for therapy, how are you going  
22 to assess competency? It's going to be based on  
23 hours, which is very difficult, versus another type  
24 of assessment.

25 MEMBER DILSIZIAN: In general, the way we

1 define anybody's competency is the number of years of  
2 training as a cardiologist or as a surgeon or a  
3 radiation oncologist and then you pass board  
4 certification. Why don't we just simply apply what  
5 we always do? Give certain number of minimum hours  
6 for the trainees to be educated and then give them a  
7 competency test like we always do? I don't think it  
8 should be that complicated. I don't think it's one  
9 or the other. I think it should be both.

10 CHAIRMAN PALESTRO: Dr. Dilsizian, just to  
11 comment. In point of fact, the stakeholders who are  
12 looking and seeking the so-called limited alternative  
13 pathway were quite clear about the number of hours  
14 and they suggested that 80 hours was more than  
15 sufficient. So there was an hour issue.

16 Any other comments or questions? Mr.  
17 Green?

18 MEMBER GREEN: I appreciate the very  
19 thorough review of the proposal and it's just the  
20 beginning of the process to, you know, figure it out.  
21 I'm excited by drugs. I'm a drug dealer. I  
22 shouldn't have said that, huh?

23 MEMBER ENNIS: Unusual to acknowledge it  
24 in a federal facility.

25 MEMBER GREEN: I'm licensed. I have a

1 license to sell drugs. But Lutetium 177 was remarked  
2 in your presentation. Since our last meeting in  
3 April, the FDA has approved a new therapeutic I-131,  
4 iobenguane. Azedra is the brand name. So we have  
5 another therapeutic 35.300 drug. I don't know if  
6 it's going to go crazy, but it's another drug in the  
7 armamentarium of physicians to treat patients, and  
8 there will be more following those footsteps.

9 CHAIRMAN PALESTRO: Mr. Ouhib?

10 MEMBER OUHIB: Yes. I think we need to be  
11 careful about, you know, new procedures are coming  
12 along the line to justify or to jeopardize the safety  
13 of treatment, in my opinion. I think we need to  
14 separate them completely.

15 I think when you go to training and  
16 education, it's only getting, I would say, better. I  
17 wouldn't say worse. It's getting better. I mean, I  
18 look back, as a medical physicist looking back, that  
19 I used to spend two years or whatever and I can get  
20 my master's degree and I can jump and -- you can't  
21 get that anymore. You get your degree and you have  
22 to go and do a residency program. That's a two-year  
23 program to actually be qualified and, of course, pass  
24 the board to actually be a qualified medical physicist  
25 out there in the field.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So I think we need to be careful. I'm  
2 almost like, you know, is 700 hours enough really?  
3 Is it enough? You know, I'm thinking the other side.  
4 I'm not looking on the lower side, I'm looking on the  
5 higher side probably. So I think we need to pay  
6 attention to that.

7           CHAIRMAN PALESTRO: Any other comments?  
8 Dr. Ennis?

9           MEMBER ENNIS: Just echoing the things  
10 here. I think thinking about competencies and  
11 defining a curriculum, you know, is a very reasonable  
12 response and way to move forward. I doubt that will  
13 translate into something that's easily achievable,  
14 but potentially a specialist who really wants to  
15 genuinely do this and become their niche? Maybe.  
16 But I do think we're being asked to kind of really  
17 define a real curriculum.

18           But I want to echo what Zoubir said.  
19 There's no doubt it's not enough to have book  
20 knowledge, and any alternative pathway is going to  
21 have to require some significant apprenticeship. You  
22 know, three cases, let's say, which is kind of a  
23 common kind of, like, thing in some of the regulations  
24 to have a specific new authorization, is clearly not  
25 enough. You're never going to see all the mistakes,

1 all the errors, all the problems. So we're going to  
2 have to think about that aspect, but I think that  
3 that's going to be a crucial element to this, some  
4 substantial apprenticeship.

5 CHAIRMAN PALESTRO: Other comments,  
6 questions, from the committee? Dr. Suh?

7 MEMBER SUH: So first of all, thanks, Dr.  
8 Metter, for that excellent presentation. So this is  
9 a question of what's going to be considered safe,  
10 also by quality, protecting the public, protecting  
11 the patients, etcetera. And, obviously, the  
12 stakeholders kind of have different interests in  
13 terms of what qualifies for a sufficient number of  
14 hours.

15 I guess my commentary would be that, in  
16 terms of the minimum amount, I think we all agree  
17 that the minimum amount should include all the  
18 knowledge of radiation biology, physics etcetera.  
19 And then the big question becomes how much experience  
20 does one need?

21 What I would advocate for is the fact that  
22 a radiation oncologist lives and breathes x-ray  
23 treatment day-in and day-out, a nuclear medicine  
24 physician lives and breathes x-ray treatment day-in  
25 and day-out, I think there is a particular value to

1 that when you're delivering therapy. My concern  
2 would be that if you have a urologist or a medical  
3 oncologist whose primary instrument, urology is  
4 surgery, medical oncology is chemotherapy, are they  
5 going to have the same insight, knowledge, that one  
6 would glean from a four or five-year residency program  
7 compared to someone who has a, I'll just use a number,  
8 400 hours' worth of experience? I don't know, and I  
9 think that -- and then I would just ask the committee  
10 to really think about that long and hard because if  
11 we do decide to make a change and quality and safety  
12 become worse, then we're going to kick ourselves, you  
13 know, we did the wrong thing.

14 So I would just ask everyone to think about  
15 that in terms of if we do make the change, and, again,  
16 maybe there's a number that we can come up with, but  
17 is that going to be the right number? Therapy is  
18 very different than diagnostics, and I think that's  
19 very -- and that's been said multiple times in the  
20 eight years I've been on this committee is therapy  
21 and diagnostics is very different, and now we've been  
22 asked to make some comments about can we change the  
23 limited scope? I would just be very careful about  
24 that moving forward.

25 CHAIRMAN PALESTRO: Dr. Suh, question for

1 you. Are you suggesting that perhaps individuals,  
2 assuming there were a limited AU pathway, that  
3 individuals who go down that path would be required  
4 to have more clinical experience in therapeutic  
5 administration than someone who's gone through the  
6 deemed board pathway?

7 MEMBER SUH: That may be one implication.  
8 I'm not saying I'm right or wrong about that, but I  
9 think the fact that someone grows up with radiation  
10 and knows what's involved with it versus someone who  
11 takes it as secondhand -- and I'm not saying anything  
12 negative about what other specialists can do. Again,  
13 that's just a concern I'm bringing up. I'm not saying  
14 I'm completely against an alternative pathway. I'm  
15 just mentioning this is something we need to think  
16 about as a subcommittee and also as a committee, as  
17 well, moving forward.

18 CHAIRMAN PALESTRO: No, I wasn't suggesting  
19 that it was negative. Going through in my mind to  
20 think if there's a parallel or analogous occurrence  
21 in other areas of medicine. And I apologize that I  
22 don't remember the numbers exactly, but when PET-CT  
23 first exploded onto the scene, the various societies,  
24 nuclear medicine societies, the radiological  
25 societies, tried to put together or did, in fact, put

1 together white papers describing the amount of  
2 experience that would be required or recommended for  
3 an individual to be proficient at reading these  
4 studies. And if I remember correctly, and if anyone  
5 knows different please correct me, but, if I remember  
6 correctly, there were a substantially larger number  
7 of studies that should have been read by the nuclear  
8 physician, non-radiologist nuclear physician, in  
9 order to gain proficiency in the cross-sectional  
10 imaging comparable to what the radiologist would  
11 have.

12 So there was a discrepancy or discordance  
13 in a number of cases with a logical explanation. So  
14 if, in fact, that's what you are suggesting or raising  
15 as a possibility, I think there's precedent for that.

16 CHAIRMAN PALESTRO: Other comments or  
17 questions?

18 VICE CHAIRMAN METTER: This is Darlene  
19 Metter again, and thank you for your comments, Dr.  
20 Suh. The committee is looking into the idea of the  
21 clinical aspect because with a list of agents that  
22 are coming up in the pathway, as far as for therapy,  
23 they have multiple complex entities that need to be  
24 involved, a lot of teamwork, a lot of different things  
25 you have to be careful about. And so the committee

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is looking at that and is concerned about the clinical  
2 experience, too.

3 CHAIRMAN PALESTRO: Mr. Sheetz?

4 MEMBER SHEETZ: I just wanted to express  
5 one of the radiation safety concerns with this limited  
6 scope alternative pathway for radiopharmaceutical  
7 administration. Currently, they're being  
8 administered within a nuclear medicine department or  
9 a radiation oncology department, and there's other  
10 support staff, nuclear medicine technologists,  
11 medical physicists, medical health physicists, even  
12 RSOs. And so it's really a team approach on these  
13 administrations. Everybody has their role.

14 With the current medical specialties that  
15 are interested in doing this, they don't normally  
16 practice with these other specialties. And so I'm  
17 not sure how they would accomplish a lot of the duties  
18 that normally are delegated from the AU to the  
19 technologist or to a medical physicist or medical  
20 health physicist.

21 And so there's just an unknown there on how  
22 that would be accomplished. It's not just, you know,  
23 brushing the plunger and administering the  
24 radioactive drug. It's the whole thing from the  
25 receipt, the essay, setting up the administration,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 responding to spills potentially, and so forth. So  
2 it's a much bigger picture than just a quick  
3 administration of a unit dose.

4 CHAIRMAN PALESTRO: Dr. Martin?

5 MEMBER MARTIN: I would just reiterate what  
6 Mr. Sheetz has been saying. We cover, my physics  
7 group covers several hospitals that do these  
8 treatments, and in every one of them it involves the  
9 physicist being there, as well as the nuclear medicine  
10 technologist being there, and all of that staff work  
11 has to be in place.

12 I haven't read them and I don't know all  
13 the details, obviously, being new. But what I have  
14 read is the proposals I don't hear being made for  
15 working with the staff. I hear, like, an independent  
16 physician wanting to provide these services in  
17 smaller community hospitals without that support  
18 staff, and I would just reiterate I think it could  
19 very well be a hazard because that support staff is  
20 not going to be there. And from what I've seen, we  
21 find it absolutely crucial to have the nuclear  
22 medicine's technology staff and the physicist  
23 involved.

24 CHAIRMAN PALESTRO: Mr. Ouhib?

25 MEMBER OUHIB: Yes. And I think the other

1 item that we should not forget is it is not just a  
2 matter of doing an injection or anything like that.  
3 There's a patient management after that for patients  
4 that have had radioactive material. It's another  
5 critical component that require another education and  
6 so on and so forth.

7 CHAIRMAN PALESTRO: Any other comments?

8 MEMBER DILSIZIAN: Just was wondering, you  
9 know, at a site of nuclear medicine, you know, we're  
10 talking about even radiation oncologists, thinking  
11 about that, given that the therapy choices are going  
12 to be increasing, that, even within our training that  
13 we may have, an additional year just dedicated for  
14 therapy.

15 So would the subcommittee consider then --  
16 again, I'm not saying to do 80 hours. Just consider  
17 that if you are coming in as an oncologist with  
18 having, again, three years of internal medicine,  
19 three years of oncology experience of managing sick  
20 patients, what if they would like to have the  
21 alternate pathway to be one year of fellowship in  
22 therapy? Would you, as a committee, consider it?  
23 It's not shortening the pathway. It's actually  
24 spending a year learning and they want to be good  
25 citizens treating their patients.

1           So I'm just trying to understand what the  
2 alternate pathway is, which is why I started saying  
3 if you accepted that then you have to define what is  
4 that alternative pathway.

5           VICE CHAIRMAN METTER: Thank you for your  
6 comments. This is Darlene Metter. There is an  
7 alternate pathway already. It's the 700 hours with  
8 the 200 hours of knowledge in didactics and laboratory  
9 and the 500 of clinical. So that is the alternate  
10 pathway. So as far as what you're saying, I think  
11 this already exists.

12           I think the other thing, too, is, you know,  
13 as far as I know, issues have been brought up in  
14 regards to patient-ready doses and you don't, you  
15 know, that sort of issues. But the thing is that is  
16 what? It's the what if. What if this happened? You  
17 really have to have the ability to handle the what  
18 ifs, and that's why I think it's very important to  
19 have the knowledge and the skills and the experience  
20 to handle these. These therapies are going to get  
21 more complicated, so, at this point in time, you know,  
22 I'd be a little bit concerned in shortening the  
23 clinical experience because of the other entities  
24 that are coming up the pathway, which are a fair  
25 number.

1 CHAIRMAN PALESTRO: Any other comments,  
2 questions, from the committee? Comments or questions  
3 from the attendees?

4 MS. TOMLINSON: Good afternoon. I'm Cindy  
5 Tomlinson with ASTRO. So, Chairman Palestro, ACMUI,  
6 NRC staff, thank you for allowing me to provide this  
7 statement on behalf of ASTRO.

8 I'm responding to the staff paper entitled  
9 "Staff Evaluation of Training and Experience  
10 Requirements for Administering  
11 Radiopharmaceuticals." As we've commented in the  
12 past, in past statements to the ACMUI, we strongly  
13 oppose any reduction in the training and experience  
14 requirements found in 10 CFR 35.390. ASTRO believes  
15 that the requirements found in this section are  
16 appropriate, protect the safety of patients, the  
17 public, and practitioners, and should not be changed.

18 Radiopharmaceuticals are highly effective  
19 in treating cancer with possible harmful effects to  
20 both the patient and the public if not used correctly  
21 and under the supervision of a highly-trained  
22 physician. We are pleased that in its report the NRC  
23 staff determined that the current requirements of 200  
24 hours of classroom and laboratory training hours  
25 prescribed under the alternate pathway is reasonable

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to acquire the fundamental knowledge that an AU would  
2 need to administer any radiopharmaceutical.

3 However, we are concerned that tailoring  
4 the number of hours to work experience, of work  
5 experience required based on categories of  
6 radiopharmaceuticals will lead to confusion and  
7 complexity for both licensees, as well as the NRC and  
8 agreement states. We are concerned, we are also  
9 concerned that if new radiopharmaceuticals are  
10 approved for use that do not fit into one of these  
11 categories the NRC will have to promulgate additional  
12 regulations to include these new agents, a process  
13 that could take time to finalize, delaying patient  
14 access to potentially life-saving  
15 radiopharmaceuticals.

16 The rigorous T&E requirements contribute to  
17 the excellent safety record of radiopharmaceuticals.  
18 We believe that it is important that the person  
19 administering the radiopharmaceutical is  
20 appropriately trained in the safe handling, exposure  
21 risks, and the management of side effects of  
22 radiation.

23 We continue to believe that a thorough and  
24 comprehensive review of current T&E requirements is  
25 reasonable. Additionally, we fully support a

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 thorough examination of geographic distribution and  
2 practice patterns of current AUs under both 35.300  
3 and 35.390, as well as seeking greater stakeholder  
4 input.

5 As we've mentioned in previous statements,  
6 the American Board of Radiology estimates that  
7 between 2007 and 2017 approximately 650 radiation  
8 oncologists were certified by the ABR with an AU  
9 eligibility designation and may become AUs. In  
10 addition, we estimate that there are approximately  
11 2200 radiation oncology facilities in the United  
12 States. Together with current radiation oncology  
13 AUs, the 773 radiation oncology residents currently  
14 in residency programs and nuclear medicine-trained  
15 AUs nationwide, there are likely enough AUs to  
16 administer radiopharmaceutical. We caution that  
17 changing the current requirements without a  
18 comprehensive investigation could result in  
19 unintended harm to patients, personnel, and the  
20 public.

21 We look forward to working with both the  
22 ACMUI and the NRC as you continue your deliberation  
23 and review. And I will submit these written comments  
24 to staff.

25 CHAIRMAN PALESTRO: Thank you. Any other

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 comments from attendees?

2 DR. GHESANI: Yes. This is Munir Ghesani?

3 Can you hear me? Hello?

4 CHAIRMAN PALESTRO: Yes, we're taking  
5 comments at the moment from attendees here in the  
6 room, so we will just hold on for a few minutes.

7 DR. GHESANI: Okay.

8 DR. RAZMARIA: Hi, Mr. Chairman, members  
9 of NRC and ACMUI. My name is Aria Razmaria. I'm a  
10 senior resident in nuclear medicine and in my final  
11 year of training at UCLA Medical Center in California.  
12 I'm also the recipient of the Robert Henkin Fellowship  
13 of Government Relations with Society of Nuclear  
14 Medicine and Molecular Imaging. I speak here on  
15 behalf of myself and also on behalf of trainees in  
16 nuclear medicine and combined programs in nuclear  
17 medicine and radiology as a board member of a nuclear  
18 medicine resident organization and fellows  
19 organization.

20 I am a graduate of a medical school in  
21 Vienna, and I'm trained in family medicine and urology  
22 in addition to nuclear medicine. I came to U.S.  
23 inspired by the cutting-edge science and excellence  
24 in patient care. However, what we are witnessing in  
25 nuclear medicine in U.S. is that U.S. is falling

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 behind at the global level behind many other countries  
2 in Europe and Australia. Many new advancements in  
3 the field of nuclear medicine, for example in  
4 diagnostics, are coming from outside the U.S. The  
5 vast majority of research published in U.S.  
6 scientific journals are from countries other than  
7 U.S.

8 In many instances, patients travel across  
9 the Atlantic to receive life-saving or life-  
10 prolonging therapeutics which are not available in  
11 U.S. This is despite the fact that nuclear medicine  
12 was invented and first developed in U.S.

13 Losing training requirements will not solve  
14 these problems. The reason these countries are ahead  
15 of the game are because of a clearly-defined pathway  
16 to nuclear medicine and the scope of practice. We  
17 in the U.S. are in dear need of dedicated people in  
18 nuclear medicine who are thoroughly trained and are  
19 eager to push the field of nuclear medicine forward,  
20 not people that practice nuclear medicine as a side  
21 trade.

22 We, as nuclear medicine and nuclear  
23 medicine radiology trainees, are ready and determined  
24 to face this challenge and this calling in this  
25 country. We oppose any attempts of minimizing

1 training or pathways to nuclear practice of nuclear  
2 medicine on limited authorized user pathways or  
3 alternate user pathways based off hypothetical  
4 concerns of shortage of workforce. This would be  
5 similar to equating a specialty training of three  
6 years to 700 hours, which is not more than four months  
7 of training or less.

8 We would dare to ask if any of our loved  
9 ones would be need of receiving radiopharmaceuticals.  
10 We rather would consult with an expert who has three  
11 years of training versus four months of training.

12 Even in Code of Federal Regulations, 10 CFR  
13 Part 35 pertaining to administering of sealed  
14 sources, we see as requirement three years and that's  
15 rightfully and appropriately three years of training  
16 in radiation oncology. Why are we applying different  
17 standards in terms of usage of unsealed sources,  
18 whereas these agents are distributed to the whole  
19 body.

20 Regular considerations of this scope  
21 infringe upon autonomy of medical specialties and are  
22 in contradiction to evidence-based practice of  
23 medicine. In an era of increasing sub-  
24 specialization, diminishing sub-specialization  
25 training and experience requirements appears

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 irrational.

2 In this regard, we point to recent  
3 guideline recommendations by International Atomic  
4 Energy Agency as put forward in the most recent  
5 meeting in Vienna in June of 2018 which requires as  
6 a standard international requirement three to four  
7 years of training in nuclear medicine, 3,000 cases of  
8 300 or 100 therapies that have been administered.

9 As nuclear medicine and nuclear medicine  
10 radiology trainees, we see this regulatory  
11 concentration as undermining existence of nuclear  
12 medicine as a viable specialty in the U.S., our future  
13 as a new generation of nuclear medicine physicians  
14 and, above all, endangering highest level of care for  
15 our patients.

16 During my fellowship in government  
17 relations, I have visited institutions like NIH, NCI,  
18 FDA, the Capitol and I met with patient advocates  
19 organizations. I've learned about fascinating new  
20 groundbreaking research pertaining to nuclear  
21 medicine and molecular imaging at a national level  
22 and the readiness of institutions like FDA to provide  
23 guidance to take these new discoveries through the  
24 regulatory process. I've learned about the support  
25 of legislation, representatives, and alliance of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patient advocates.

2 Nuclear medicine and nuclear imaging is not  
3 about shipping one unit dose across the country to be  
4 injected or a pill to be swallowed, rather a new age  
5 of targeted and individualized paradigm in  
6 radionuclide therapies with exact personalized  
7 calculations of radiopharmaceutical therapy with  
8 evaluation of indication, sequence of therapies,  
9 dosimetry calculation, follow-up of treatment which  
10 requires in-depth understanding, and intricacies of  
11 the new novel treatments.

12 Thank you for your attention.

13 CHAIRMAN PALESTRO: Thank you. Any other  
14 comments from attendees in the room?

15 MR. GUASTELLA: Thank you, Dr. Palestro.  
16 I don't have any written comments. I did make some  
17 notes. I thought I'd just offer them for the ACMUI  
18 to consider.

19 I'm Michael Guastella. I'm the Executive  
20 Director of the Council on Radionuclides and  
21 Radiopharmaceuticals. And as many of you may know,  
22 CORAR does support an alternative to the current 700  
23 hours. We were actually one of the stakeholders in  
24 the limited outreach that Dr. Metter had mentioned a  
25 few minutes ago.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And we did, to your point, Dr. Palestro,  
2 did provide an overview and kind of scoped out an 80-  
3 hour program for AU certification. And in doing  
4 that, we kind of had several considerations. One,  
5 the limited role in handling patient-ready doses that  
6 are provided from nuclear pharmacies. Dr. Metter,  
7 you had actually mentioned that as one of the things  
8 that had been considered and have been commented on.  
9 The safety profiles of the radiopharmaceuticals,  
10 mostly that these alpha and beta emitters that are,  
11 if not already approved, certainly in the pipeline  
12 and, importantly we certainly believe is the  
13 physician experience for like a hem-onc, for example,  
14 in handling chemotherapy drugs, toxic chemotherapy  
15 agents.

16           And we've had conversations and have  
17 presented to the ACMUI over the last several years.  
18 I think one thing to consider, and I'm very sensitive  
19 to the safety issues that have been raised by a number  
20 of you here today in this meeting, but prior to 2005  
21 there were some med-oncs, chem-oncs, that actually  
22 were grandfathered in, and we had a couple of  
23 presentations made a few years back. And as part of  
24 the consideration and the evaluation, it might be  
25 helpful to go back and see how those professionals

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 are doing relative to administering to their  
2 patients, if they are depending on local nuclear  
3 medicine departments, for example. I can't answer  
4 that question today, but I think, in trying to be as  
5 comprehensive as possible in your evaluation, these  
6 are the types of things that you may want to consider.

7 So I appreciate your time. Thank you.

8 CHAIRMAN PALESTRO: Thank you. Any other  
9 comments, questions, from anybody here in the room?  
10 Comments, questions, from anyone on the telephone  
11 lines?

12 DR. GHESANI: Hi, Dr. Palestro. This is  
13 Munir Ghesani. Can you hear me?

14 CHAIRMAN PALESTRO: Yes, we can. Thank  
15 you.

16 DR. GHESANI: Okay. So good afternoon.  
17 I'm a physician from NYU and board certified in both  
18 radiology and nuclear medicine. And today I'm  
19 speaking on behalf of the Government Relation  
20 Committee and the SNMMI in general. And we in SNMMI,  
21 along with the American College of Nuclear Medicine  
22 and the American Society of Radiation Oncology --  
23 you've heard already from Cindy Tomlinson -- we have  
24 formed an ad hoc committee to offer the collective  
25 recommendations for the potential updates to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Nuclear Regulatory Commission's requirements.

2 We identified some clinical knowledge and  
3 skills needed by individuals seeking authorized user  
4 status with the alternate pathway, and, as Dr. Metter  
5 already described, there's already one in existence  
6 with the 700 hours.

7 With regards to the training and experience  
8 in the initial determination of competency, it is our  
9 opinion that the mastery of the curriculum listed  
10 below will ensure high-quality practice of  
11 radionuclide therapy. This didactic instruction is  
12 important for safe and effective therapies and should  
13 not be minimized.

14 The use of unsealed sources for the  
15 therapeutic applications is complex and has serious  
16 medical and safety risks associated with it, not only  
17 for the patients but also their family and public at  
18 large. As such, we feel that it is important to  
19 maintain this high quality of training and  
20 experience.

21 We heard a few comments about how stringent  
22 some of these training requirements are, spanning for  
23 several years. So if we know that that has ensured  
24 the safety, why take a risk in minimizing the  
25 requirement and have the aftermath of some of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 complications?

2 Now, my colleague, Michael Razmaria,  
3 mentioned about the possibility of exploring the  
4 experience of the previous grandfathered medical  
5 oncologists, but I would caution that, on that end,  
6 it may be a small sample and it was in a different  
7 scenario at that time. Some of the complex  
8 therapeutic radiopharmaceuticals that are approved  
9 now were not in existence at that time. And I think  
10 that, on one hand, it would be interesting to get the  
11 data, but I would be a little cautious about using  
12 the data in any meaningful way.

13 So we have heard from several speakers  
14 about being cautious in releasing these requirements,  
15 and I would really emphasize that on behalf of the  
16 SNMMI, as well as on behalf of the ad hoc committee  
17 that we have formed amongst various societies of  
18 oncologists to explore this issue. Thank you for  
19 your time.

20 CHAIRMAN PALESTRO: Thank you, Dr. Ghesani.  
21 Any other questions or comments from anyone on the  
22 telephone lines? Comments or questions from anyone  
23 here on the committee or attendees in the room? Dr.  
24 Ennis?

25 MEMBER ENNIS: I just want to thank --

1 where is he? The trainee, the fellow. What was your  
2 name again?

3 DR. RAZMARIA: Aria.

4 MEMBER ENNIS: Dr. Aria. I don't know.  
5 His comments really struck me in two ways. One of  
6 them I thought about before, but I think he really  
7 articulated it and we haven't here. And I guess it's  
8 best, I think, to think of this as an analogy. I  
9 can't imagine, like, going to an urologist who only  
10 has had to do a TURP, a simple urologic procedure,  
11 even if that's all that I needed, because so many  
12 times in medicine things are way more complicated  
13 than that. And if he doesn't have the broad expertise  
14 of all of urology at least, I can't imagine going to  
15 him. I can't imagine going to a cardiologist who  
16 only knows about high blood pressure, doesn't know  
17 about cholesterol, doesn't know about angina. And  
18 it's kind of what we're kind of saying here. Well,  
19 maybe we can do alphas with a certain half-life in a  
20 single-dose vial. Is it really going to be that  
21 simple? I think it's really an apt analogy for us  
22 to think carefully about do we want to go down that  
23 kind of a pathway? It certainly goes against the  
24 current of the entire rest of medicine, how medicine  
25 is done.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And number two, I think his public policy  
2 issues that he raised I had not thought of before but  
3 I think is quite valid. There's a lot that can be  
4 done in all areas of medicine, and the safety to  
5 quality of nuclear medicine going forward is somewhat  
6 in the purview of NRC and can be affected by NRC  
7 policy. And thinking about safety, not case-by-case  
8 safety, and thinking downstream ten years and  
9 thinking of a lot of agents, I couldn't agree more  
10 with his comments that to empower the specialists who  
11 really make this their lives is going to lead to  
12 significantly more safety at that high-level view of  
13 imparting it to people for whom it's just a side show.

14           CHAIRMAN PALESTRO: Any other comments or  
15 questions? Dr. Metter?

16           VICE CHAIRMAN METTER: This is Darlene  
17 Metter. Thank you, Dr. Ennis, for that comment. It  
18 made me think about another analogy that you bring  
19 up. If I go to a driving school and let's say I want  
20 to learn how to drive, so I go to a driving school  
21 and I take the courses and everything, as opposed to  
22 my friend who goes and their father teaches them, we  
23 get the end result. We both get a driver's license.  
24 So that's kind of what I think we're looking at here.

25           Another thing would be let's say I learn

1       how to drive. I know how to go forward, I know how  
2       to go backwards, I know how to turn right, I know how  
3       to turn left, I know how to park, and I know how to  
4       drive on the highway and on other roads. Now, if I  
5       were just going to go ahead and drive forward, because  
6       I know how to drive forward, maybe I could do that.  
7       I could just learn just a limited thing just learning  
8       how to drive forward. But if I have to stop, well,  
9       maybe they can teach me that, too. But if I can't  
10      go backwards, I might have to just go around the  
11      block. So it's a limited pathway. I can go forward,  
12      but that's all I can do.

13               My car has an automatic start, and I'm ready  
14      to go when I hit that button and the car starts. You  
15      know, I kind of see that as an analogy. Let's just  
16      think about that. I think you really have to have a  
17      broad basis because if I want to drive forward, what  
18      happens if a car comes right in front of me or there's  
19      a big detour sign or I have to reverse? I can't do  
20      that.

21               CHAIRMAN PALESTRO: Any other comments or  
22      questions? All right. Thank you all for your  
23      participation and your input. And, Dr. Metter, thank  
24      you and your subcommittee for all your hard work.

25               We're going to continue now with the

1 training and experience. Maryann Ayoade will discuss  
2 stakeholder outreach plan.

3 MS. AYOADE: All right. Good afternoon,  
4 everyone. My name is Maryann Ayoade, and I'm a member  
5 of the Medical Radiation Safety Team at NMSS. And  
6 today I'm going to be presenting to you the Part 35  
7 medical training and experience stakeholder outreach  
8 plan that is going to be coming up, hopefully, right  
9 now.

10 So the purpose is to conduct a more  
11 extensive outreach with the medical community focused  
12 on assessing the options to tailor the training and  
13 experience requirements for medical uses authorized  
14 under 10 CFR Part 35.300, which is for  
15 radiopharmaceuticals that require a written  
16 directive.

17 So just to give you a little bit of  
18 background, and Dr. Metter talked about the  
19 Commission direction. So in August 2017, the  
20 Commission directed the NRC staff to evaluate whether  
21 it made sense to establish tailored training and  
22 experience requirements for different categories of  
23 radiopharmaceuticals, to evaluate how those  
24 categories should be determined, to evaluate what the  
25 appropriate T&E requirements would be for each

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 category, and to evaluate whether those requirements  
2 should be based on hours of training and experience  
3 or competency.

4 Next slide, please. So the evaluation  
5 included a limited outreach in April - May time frame  
6 of 2018, and that outreach we did in the form of a  
7 questionnaire that was sent out to some medical  
8 stakeholders, including some medical licensees, some  
9 medical professional societies, a regulator, an  
10 industry trade organization which we had CORAR  
11 speaking here today. And so we sent the  
12 questionnaire out to them. We also shared and worked  
13 with the T&E Subcommittee on the questionnaire, as  
14 well. And the results of that evaluation were  
15 documented in an information SECY paper which is SECY-  
16 18-0084 that was recently made publicly available.

17 And so the evaluation concluded that it may  
18 be feasible to establish tailored training and  
19 experience requirements for different categories of  
20 radiopharmaceuticals and to create a means of  
21 authorizing the administration of certain categories  
22 of radiopharmaceuticals, which is a limited  
23 authorized user status. It also concluded that there  
24 are viable options for creating a competency-based  
25 approach to demonstrating acceptable training and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 experience requirements for limited authorized users  
2 and that also the staff plans to do a more extensive  
3 outreach, which is what I'm going to talk about today.

4 And the results of that limited outreach  
5 were discussed during a teleconference on July 16th  
6 with the ACMUI, and we also have a summary of the  
7 responses from that limited outreach in the SECY  
8 paper, as well, if you want to look at that.

9 Next slide. Okay. So what is the staff  
10 planning to do for the outreach? This is just a list  
11 of some of the outreach activities that we were  
12 planning to have. We plan to publish in the Federal  
13 Register a notice with questions that are going to be  
14 related to training and experience requirements, and  
15 I will go over an overview of some of the questions  
16 in an upcoming slide.

17 We also plan to conduct public meetings and  
18 webinars that will discuss the Federal Register  
19 notice questions, as well as this initiative. We  
20 plan to have a website dedicated to training and  
21 experience with this information, information on the  
22 initiative, as well as information about the Federal  
23 Register notice questions, as well.

24 We also plan to send out letters and emails  
25 to the stakeholders, which I will go over in the next

1 slide, as well as do poster presentations, posters  
2 and presentations at the upcoming professional  
3 society meetings. We also plan on writing articles  
4 in the newsletters for these professional societies.

5 Next slide, please. So in addition to the  
6 Federal Register notice and the public meetings and  
7 all of the activities that I mentioned in the previous  
8 slide, we plan to do some additional information  
9 gathering. And this was a result of feedback that  
10 we received from the ACMUI, as well as feedback that  
11 we received from the, the comments that we received  
12 from the first outreach. And so we want to look at  
13 the evaluation of the authorized user shortage  
14 regarding patient access, also to include patient  
15 access as it relates to geography as well.

16 We also plan on reviewing medical and  
17 radiation safety events to look to see if there are  
18 any trends in these events and to see if any of the  
19 trends indicate a need for a change in our training  
20 and experience requirements. And we also want to  
21 look at what's being done in the international scene  
22 to see what are they doing for their  
23 radiopharmaceutical training and experience  
24 requirements right now and to see if they have any  
25 kind of tailored training and experience requirements

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that may be similar to what we're trying to look at  
2 now.

3 And so this is a list of the stakeholders  
4 that we plan on reaching out to. We collaborated  
5 with the Training and Experience Subcommittee  
6 recently to make sure that we have a comprehensive  
7 list of stakeholders, so we plan on reaching out to  
8 more medical licensees, more regulators, medical  
9 specialty boards, some patient health organizations  
10 and advocacy groups, some trade organizations and  
11 industry groups, more medical professional societies,  
12 the medical specialty training and fellowship  
13 organizations, and the medical oncology community.

14 Next slide, please. And so this just gives  
15 an overview of the Federal Register notice questions  
16 that we're going to be putting out. We also have  
17 worked with the T&E Subcommittee to make sure that we  
18 have questions that will give us information that  
19 would be useful as we move along with this project.

20 And so we have some questions regarding  
21 establishing tailored training and experience  
22 requirements for the radiopharmaceuticals that  
23 require a written directive. We have questions  
24 regarding competency, so the assessment of knowledge,  
25 skills, and abilities, and questions regarding

1 patient access.

2 Next slide, please. And so one of the next  
3 steps following outreach. So we plan to analyze the  
4 public comments and information that we receive from  
5 the outreach that we conduct, and then we also plan  
6 to continue to engage the ACMUI in our efforts, as  
7 we've been doing. We also plan to keep the Commission  
8 informed of the outreach efforts. And as a result  
9 of everything that we've done, we will determine  
10 whether the changes to the current T&E requirements  
11 are warranted.

12 That's it for my presentation. I will take  
13 any questions you may have.

14 CHAIRMAN PALESTRO: Any questions or  
15 comments from the committee? Attendees here in the  
16 room?

17 MR. BOLLOCK: So the question was about the  
18 time lines. Yes, we are still working to finalize  
19 that through our management chain, roughly it will  
20 end in 12 to 14 months but I can't say for sure. But  
21 the FRN, then those questions, that is being developed  
22 right now, so that would be in the next two months.

23 VICE CHAIRMAN METTER: Yes, that's correct.  
24 We just wanted to give you guys a sense of where we  
25 are in the plan that we have to do outreach to move

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 forward.

2 MR. GUASTELLA: Maybe Mr. Bollock can  
3 repeat my next question. So if there is --

4 CHAIRMAN PALESTRO: Excuse me. Would you  
5 identify yourself for the transcriptionist, please?

6 MR. GUASTELLA: Oh, hi. Michael Guastella  
7 from CORAR.

8 MR. BOLLOCK: Okay. So Mr. Guastella from  
9 CORAR as the second question.

10 MR. GUASTELLA: Assuming an alternate  
11 pathway is recommended, is that, are we talking about  
12 expedited rulemaking or are we talking going through  
13 the general rulemaking process? Just kind of  
14 curious.

15 MR. BOLLOCK: So the question regards if a  
16 change to the training and experience authorized user  
17 requirements is determined to be warranted by the  
18 staff, what would the rulemaking process go? Right  
19 now, I mean, by default, it's the normal rulemaking  
20 process, so it would have to go through, you know, we  
21 would develop a rulemaking plan, present that to the  
22 Commission. The Commission would approve and then  
23 go on with development of a draft rule, public  
24 comment. So, yes, the normal process is the default  
25 there.

1 MR. GUASTELLA: This is Michael Guestella  
2 to say thank you.

3 MR. BOLLOCK: And Mr. Guastella thanked us.

4 CHAIRMAN PALESTRO: Dr. Martin, I believe  
5 you had a question.

6 MS. AYOADE: And just to add to that. If  
7 no changes are needed, we will still be relaying that  
8 to the Commission in another SECY paper, so we'll  
9 share that with you guys, as well.

10 CHAIRMAN PALESTRO: Dr. Martin?

11 MEMBER MARTIN: This is Melissa Martin. I  
12 was just noticing your list of societies that you  
13 were going out to, and I'd try to encourage you to  
14 actually engage the AAPM because we're the medical  
15 physicists that are going to be working with the users  
16 of this material, regardless of what their profession  
17 is.

18 MS. AYOADE: Yes, that's correct. We have  
19 the AAPM on our list, along with some other medical  
20 professional societies. Thank you.

21 CHAIRMAN PALESTRO: Any comments or  
22 questions from anyone on the telephone lines? Any  
23 other comments or questions from anyone? All right.  
24 Thank you, Ms. Ayoad.

25 Now we'll move on to some lighter fare.

1 And I'd just like to briefly review with you the  
2 results of our, really my opinion of the joint ACMUI-  
3 Society of Nuclear Medicine and Molecular Image  
4 session, what's up for you and your patients that we  
5 ran at the annual meeting of the society this past  
6 June.

7 As you may recall, my predecessor as chair,  
8 Dr. Phil Alderson, had sought to establish improved  
9 communications and outreach with various professional  
10 organizations and societies and these are our efforts  
11 with the Society of Nuclear Medicine and Molecular  
12 Imaging. Dr. Metter, myself, and Dr. Daibes-Figueroa  
13 all took part in the session.

14 Dr. Metter gave an introduction and  
15 overview, and she provided information on guidelines  
16 for the nursing mothers. I talked about training and  
17 experience for authorized users and a patient release  
18 project for I-131 and question and answer period all  
19 three of us participated in.

20 So how did we arrive or how did we identify  
21 topics that would be of interest? And they were  
22 really selected based on feedback from the Society of  
23 Nuclear Medicine and Molecular Imaging. And we were  
24 fortunate that we were able to run this not only as  
25 a continuing medical education session but also a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 self-assessment module session. And, interestingly,  
2 there were only two rooms, if I understood correctly,  
3 available at that meeting for SAMs, so we were  
4 delighted that we had the opportunity to present it  
5 as a SAM.

6 Overall, it was well attended and I  
7 personally was particularly impressed with the  
8 audience who were clearly engaged. And there was an  
9 excellent dialogue between the audience and the  
10 speakers, and I want to highlight Dr. Daibes-Figueroa  
11 because I think that the best way to describe it is  
12 he put a face on the name of the NRC. I thought he  
13 did an excellent job interacting with the attendees  
14 coming across as a peer, rather than coming across a  
15 regulator or a person of authority, if you will. So  
16 I think he really did an excellent job, and we would  
17 certainly, I would certainly recommend that these  
18 sessions should be held on an ongoing basis and we  
19 intend to try to repeat it again this coming year.

20 Comments or questions from the committee?

21 Mr. Green?

22 MEMBER GREEN: Just to back up your  
23 comments, you were a presenter. I was in the  
24 audience, and I think your assessment is 100-percent  
25 spot-on. It was very well received, a lot of

1 interaction with the audience beyond the point that  
2 the meeting was over and it continued in the hallway,  
3 it continued in the aisles. Very productive session  
4 and a very good face for the NRC.

5 CHAIRMAN PALESTRO: Any other comments or  
6 questions? Dr. Metter, your impression?

7 VICE CHAIRMAN METTER: Yes, I think it was  
8 a very good session and there were lots of questions  
9 and really the NRC has a very good face. It was a  
10 very interactive session. They had a lot of  
11 questions about the regulators and I actually think  
12 that was a very good outreach, and they invited us  
13 back so we plan on doing that again next year.

14 CHAIRMAN PALESTRO: Dr. Dilsizian.

15 MEMBER DILSIZIAN: I was in the audience,  
16 as well. I have to say, you know, when you're  
17 designing these scientific sessions, this would be  
18 the last one I would think that people would show up  
19 to, never mind making it a SAM session. I was really  
20 surprised. I mean, I have to say, in the past, in  
21 order to make sure there were enough people, we would  
22 combine the FDA with NRC because, you know, the new  
23 things, a food fast. So the combination actually was  
24 even better.

25 But I think there were so many questions.

1 I was surprised and I do encourage to continue this.  
2 This is great. Congratulations.

3 CHAIRMAN PALESTRO: Any other comments or  
4 questions from the committee? Questions or comments  
5 from any of the attendees in the room? Questions or  
6 comments from anyone on the phone lines?

7 All right. Mr. Bollock?

8 MR. BOLLOCK: Doug Bollock, NRC. So Dr.  
9 Palestro and Dr. Metter, you know, worked with us to  
10 try to support these meetings. We, the NRC, continue  
11 to try to support the meetings as best we can. I've  
12 brought this up many times. You know, unfortunately,  
13 sometimes there are budgetary constraints, so we  
14 can't send people to the meetings. We've been very  
15 successful, I think, over the past year or so at least  
16 sending one person, one representative from a medical  
17 team at most of the major society meetings. I believe  
18 we have been able to go to AAPM annual meeting this  
19 year, SNMMI, ASTRO last year. I think right now  
20 we'll be sending staff to ask for this year, and we'll  
21 continue to try to support this as best we can.

22 We believe, as you say, this is important  
23 to keep the lines of communication.

24 CHAIRMAN PALESTRO: Dr. Metter?

25 VICE CHAIRMAN METTER: Yes, and thank you

1 for supporting this. This was our second year that  
2 we had done the session, and the first year was on a  
3 short notice and it was an odd time but we still had  
4 a fair number of people that attended. Clearly, a  
5 lot more this year. It was publicized and it was at  
6 a good time and people are aware of it. And people  
7 have told me they are looking forward to this as a  
8 regular session.

9 CHAIRMAN PALESTRO: Any other comments or  
10 questions? Mr. Ouhib?

11 MEMBER OUHIB: Yes, I'd just add that we  
12 had a representative, actually, to the ABS also that  
13 went very well talking about the approve rules, and  
14 I think that went very well also.

15 CHAIRMAN PALESTRO: All right. Thank you.  
16 All right. The next presentation, Dr. Metter will  
17 discuss the Nursing Mothers Guidelines Subcommittee's  
18 final report for exposure from diagnostic and  
19 therapeutic radiopharmaceuticals. Dr. Metter?

20 VICE CHAIRMAN METTER: Thank you, Dr.  
21 Palestro. So I'll be presenting the report of the  
22 Subcommittee on the Nursing Mother Guidelines for the  
23 Medical Administration of Radioactive Materials.  
24 This is a revised report. It was based on stakeholder  
25 input that has been incorporated into the final

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 document from an ACMUI public conference call earlier  
2 this year in February. And in it, the final document,  
3 it includes acknowledgments of the benefits of  
4 breastfeeding and also additional calculations and  
5 the table modifications regarding changing the units  
6 to the SI units, incorporating gamma constants, and  
7 correcting certain references. And I'll be  
8 presenting one of the tables later on in this  
9 presentation.

10 I'd like to first start by thanking the  
11 members of my subcommittee: Dr. Vaskin Dilzisian, Dr.  
12 Christopher Palestro, and Dr. Pat Zanzonico.

13 Now, breastfeeding is the feeding of an  
14 infant from the female breast. Lactation is a  
15 process of milk production, and lactation will cease  
16 approximately six weeks after the last breastfeeding.  
17 So the nursing mother guidelines charge was to review  
18 the radiation exposure from diagnostic and  
19 therapeutic radiopharmaceuticals, including  
20 brachytherapy, to the nursing mother and child.

21 Now, we know that radiation safety  
22 principles is we rely on the ALARA principle as our  
23 guidance for radiation safety. Fortunately, we know  
24 that many nuclear medicine procedures are elective,  
25 thereby allowing a temporary or, at times, complete

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cessation of nursing or breastfeeding.

2 Patient release. Now, a patient may be  
3 released, and in this particular instance nursing  
4 mother, if the total effective dose to any individual,  
5 and in this case the nursing child, will be less than  
6 5 millisieverts. If, however, the exposure could  
7 exceed 1 millisievert, written instructions and  
8 information regarding adverse consequences to include  
9 the written instructions if nursing is not stopped  
10 and guidance on the discontinuation of breastfeeding.

11 Radiopharmaceuticals. Many drugs and  
12 radiopharmaceuticals we know enter the breast milk.  
13 It is estimated that less than ten percent of any  
14 administered drug or radiopharmaceutical will enter  
15 the breast milk with an average of about 0.3 to 5  
16 percent. We also know by our physics is that after  
17 ten physical half-lives a radionuclide will decay by  
18 99.99 percent.

19 Most radiopharmaceuticals administered  
20 will require a temporary cessation of breastfeeding.  
21 Now, if you have pumped radioactive breast milk, it  
22 can be held for ten physical half-lives before  
23 feeding the milk to the nursing infant.  
24 Alternatively, the mother can breast pump prior to  
25 the administration of the radioactive agent and use

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this non-radioactive milk to feed her infant during  
2 the cessation of breastfeeding.

3 A few radiopharmaceuticals, however, if  
4 administered, may require complete cessation of  
5 breast feeding. This one exception, and this section  
6 really refers to modification of the agent to decrease  
7 the maternal breast dose, and this is I-131. To  
8 decrease the maternal breast dose because it gives a  
9 very, very high dose to the lactating breast, for  
10 example 150 millicuries of sodium iodide, 131,  
11 approximates about 200 rads to the maternal breast.  
12 Therefore, if I-131 is administered, it requires  
13 cessation of breastfeeding six weeks prior to  
14 radiopharmaceutical administration, thereby allowing  
15 for the cessation of lactation, and the cessation of  
16 breastfeeding needs to continue for that child. The  
17 mother, however, may breastfeed future children.

18 Next slide. So let's look at the radiation  
19 exposure during nursing, and you have two  
20 individuals: the mother which is obvious to exposure  
21 from the administration of the radioactive material  
22 and the child comes from two sources, the external  
23 source which is the mother and an internal source  
24 which is the ingested radioactive milk.

25 So let's look at this external exposure.

1 The mother is a very significant source of exposure  
2 to the child. And if we look at the ALARA principle,  
3 which is as low as reasonably achievable, we know  
4 that time and distance is going to be a factor.  
5 During routine childcare, there's an increased time  
6 with the radioactive source, the external source, to  
7 the infant, and the distance is decreased and, hence,  
8 the mother can be a significant radiation source to  
9 exposure to the nursing child.

10 Next slide. Radiation exposure to the  
11 nursing child by internal source is ingestion of  
12 radioactive milk, and what is the dose? Well, it  
13 depends on the radiopharmaceutical, and, as I  
14 mentioned, it approximates about 0.3 to about 5  
15 percent if the initial administered activity enters  
16 the milk, again, except for sodium iodine, where the  
17 mother needs to cease breastfeeding for six weeks  
18 before administration and then for the remainder of  
19 that child. However, she may breastfeed for future  
20 children.

21 Next slide. So the subcommittee came up  
22 with recommendations in regards to if a nursing mother  
23 is administered radioactive material. There must be  
24 an interruption of nursing in the sense of she needs  
25 to stop nursing for the following agents: as we

1 mentioned, I-131, sodium iodide, beginning six weeks  
2 prior to the administration, for I-124 sodium iodide,  
3 any alpha emitters, and any diagnostic or therapeutic  
4 doses of 177 Lutetium octreotate. There's no  
5 cessation required for O-15 or rubidium-82, about one  
6 hour for C-13 and N-13, four hours for fluorine-18,  
7 and, actually, this chart, Ga-68 was in the initial  
8 chart but, after recalculation, you really do not  
9 need to cease breastfeeding for gallium-68.

10 Next slide. So for technetium-99m, one  
11 time frame was used which was the 24 hours, and it's  
12 because there are various different agents that we  
13 use for technetium and there are very different times  
14 of temporal cessation. So the subcommittee chose a  
15 one-time period to simplify the guidance and avoid  
16 error. So we chose 24 hours of nursing cessation.

17 For I-123, sodium iodide, the initial  
18 recommendation was seven days. It currently is three  
19 days. This is a newer chart in the sense of we did  
20 actual recalculations with the initial ones being  
21 placed on extrapolation. Thallium-201 four days,  
22 indium labeled white cell and octreotate six days,  
23 and gallium-67, 89 zirconium 28 days.

24 Now, this is the revised chart from our  
25 teleconference call, and, actually, it does include

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 what was recommended at that time, the 100 and 500  
2 millirem dose limit to the newborn tissue. It is  
3 listed as 0.1 rad, but in the submitted document it  
4 has been revised to the millirem dose limits.

5 I also would like to point out that under  
6 indium labeled white cells, the dose is listed as 5  
7 millicuries. That needs to be corrected to 0.5  
8 millicuries.

9 The other corrections I have made, as I  
10 mentioned, regarding the calculation was the initial  
11 one for fluorine-18 was 12 hours. It is currently  
12 four hours. For gallium-68, it was 12 hours. It's  
13 currently no interruption is needed. And I mentioned  
14 before, I-123, it was initially seven days. It's now  
15 three days.

16 Sealed sources. Y-90 microspheres,  
17 there's no need to interrupt breastfeeding for this.  
18 Breasts and sentinel lymph node sources, no  
19 interruption is needed as long as the source is not  
20 within the mother.

21 And, lastly, it's important to inform the  
22 nursing mother or mothers planning to nurse in the  
23 near future who are scheduled for a nuclear medicine  
24 procedure. And they must be informed that certain  
25 radiopharmaceuticals, if they're received during this

1 procedure, may require radiation safety precautions  
2 and such patients are advised to notify the nuclear  
3 medicine staff or nuclear medicine physician prior to  
4 their procedure.

5 Next slide. So in summary, the  
6 subcommittee presented its draft report during the  
7 February 1st, 2018 public ACMUI teleconference call.  
8 The report at that time was endorsed by the full  
9 committee with some caveats, which I have reviewed on  
10 this presentation. One was a wording addition of the  
11 benefits of breastfeeding which was incorporated into  
12 the final written document and then the revisions on  
13 the calculations and the modifications of the table  
14 which is in the final document.

15 So I'm asking the committee to recommend  
16 that this final report be approved as presented.

17 CHAIRMAN PALESTRO: Any questions or  
18 comments from members of the subcommittee? Questions  
19 or comments from members of the committee? Dr.  
20 Ennis?

21 MEMBER ENNIS: Just for my own personal  
22 clarification, so for sodium iodide we're talking  
23 about six weeks before administration as the  
24 requirement?

25 VICE CHAIRMAN METTER: I-131. That would

1 be for therapeutic or diagnostic, correct.

2 MEMBER ENNIS: But all the others, the  
3 hours we're talking about are hours after  
4 administration until you can breastfeed again?

5 VICE CHAIRMAN METTER: Correct, correct.

6 MEMBER ENNIS: Okay. So for someone who's  
7 not, like, familiar with that, that wasn't clear.

8 VICE CHAIRMAN METTER: Okay. I'm sorry.

9 MEMBER ENNIS: No, it's okay. Maybe you  
10 just make sure everyone else is clear.

11 VICE CHAIRMAN METTER: Right. The  
12 breastfeeding interrupt time frame was the time  
13 that's listed, correct. Thank you for the  
14 clarification.

15 CHAIRMAN PALESTRO: Any  
16 other questions or comments from the committee? Mr.  
17 Green?

18 MEMBER GREEN: Beyond the report, will this  
19 document go and be submitted by the NRC or become  
20 license guidance? I mean, does it stop here? Does  
21 it go beyond this?

22 CHAIRMAN PALESTRO: Mr. Bollock?

23 MR. BOLLOCK: So the committee can  
24 recommend to us how -- I mean, once the report is  
25 given to us, it's going to go on our, the ACMUI public  
website for all to see and then use as they wish. If

1 the committee has a recommendation they'd like to see  
2 us try to make in some other guidance, a regulatory  
3 guide incorporate, we are currently working on  
4 updating Reg Guide 839, which is the patient release.  
5 You know, that could be something where we incorporate  
6 it as an enclosure in that. There are options.

7 If there's an option that, if you'd like to  
8 hear other options, I can share that. If you have  
9 any thoughts that you have, you can share that with  
10 us and the committee can give a recommendation what  
11 they recommend the staff does, and then we will  
12 respond. We will, you know, we may do exactly what  
13 you recommend, we may do something slightly  
14 different. We will respond to you all and tell you  
15 what we do. At the very least, it will be on our  
16 public website.

17 And we have, you know, we've internally  
18 discussed possibilities of what to do. We just  
19 haven't made a final decision yet.

20 CHAIRMAN PALESTRO: Ms. Shober?

21 MEMBER SHOBER: Yes, this is Megan Shober.  
22 I would recommend that the cessation times be included  
23 in NUREG-1556, Volume 9 in Appendix U, which provides  
24 instructions to licensees.

25 MR. BOLLOCK: And NUREG-1556, Volume 9,

1 Appendix U, that now references Reg Guide 839. So  
2 if we put into Reg Guide 839, that will --

3 MEMBER SHOBER: It will take care of that?

4 MR. BOLLOCK: Yes. For clarification.

5 CHAIRMAN PALESTRO: Any other comments or  
6 questions from the committee? Mr. Ouhib?

7 MEMBER OUHIB: Yes, just a minor question  
8 here is that is there a statement in the document  
9 somewhere that state that this is applicable only to  
10 these particular isotopes or any new isotope should  
11 now be considered as being part of -- you know what  
12 I'm saying? Let's just say next year there's another  
13 one that pops up in the market now and then it's sort  
14 of similar use for this same treatment or something  
15 like that. How are you going to deal with that?

16 VICE CHAIRMAN METTER: I'm not  
17 understanding your question. You mean the same  
18 radionuclide?

19 MEMBER OUHIB: Right.

20 VICE CHAIRMAN METTER: These were based on  
21 radionuclides, like, for example, the technetium one  
22 day, gallium-68 really no interruption, and those are  
23 based on that.

24 MEMBER OUHIB: Right. It's only  
25 applicable to the listed nuclide --

1 VICE CHAIRMAN METTER: Correct.

2 MEMBER OUHIB: Is there a statement there  
3 that this is applicable only to these listed nuclides  
4 in here?

5 VICE CHAIRMAN METTER: It's in the final  
6 report. This is just a summary of that.

7 MEMBER OUHIB: Okay.

8 CHAIRMAN PALESTRO: Ms. Weil?

9 MEMBER WEIL: Am I understanding you  
10 correctly -- this is Laura Weil, I'm sorry -- that  
11 you're asking what if tomorrow there's a new approved  
12 radionuclide, is there a statement in this report  
13 that says these are the radionuclides FDA approved in  
14 use as of this date, other -- this does not include  
15 anything that may have come on the market after this  
16 date? I mean, Lutetium is new, right? And the  
17 application is new, so you wouldn't have included it  
18 had you written this report two years ago. So two  
19 years from now there may be another drug that will  
20 not be included in this report but which is relevant.

21 MEMBER OUHIB: Right. That's what I'm  
22 getting at.

23 VICE CHAIRMAN METTER: They're not included  
24 in this report. This is the current one, and these  
25 are listed as -- and there's actually a little

1 explanation as to the rationale of how these interrupt  
2 time frames were obtained.

3 MEMBER WEIL: If I may just respond, I  
4 think what we're getting at here is that there should  
5 be a statement perhaps in the report stating that, as  
6 of this date, this is comprehensive but that if you're  
7 reading it three years from now you should know that  
8 there may be additional information that you need to  
9 access.

10 VICE CHAIRMAN METTER: Okay. I  
11 understand. Yes, we can add that.

12 CHAIRMAN PALESTRO: Any other questions or  
13 comments from the committee? Questions or comments  
14 from attendees in the room? Questions or comments  
15 from anyone on the telephone lines?

16 All right. Then I believe it's time to act  
17 on subcommittee's recommendation to accept the final  
18 report. That's a motion, so can I have a second? Go  
19 ahead.

20 MEMBER ENNIS: Is the subcommittee going  
21 to ask NRC to do something with the report? We left  
22 that hanging.

23 MR. BOLLOCK: So you have a report. The  
24 subcommittee has a report, so you can vote on the  
25 report and then you can separately give us a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 recommendation, vote on a recommendation to staff on  
2 what you want us to do with it, as an option.

3 CHAIRMAN PALESTRO: Mr. Green?

4 MEMBER GREEN: I would move to approve the  
5 report with the addition of a paragraph describing  
6 that this is all the drugs approved at the time of  
7 this authorship, that practitioners should evaluate  
8 other resources for other nuclides and drugs that are  
9 not currently listed. If there's that included, I  
10 would be able to approving.

11 CHAIRMAN PALESTRO: I'm not going to object  
12 to that, but I just find it confusing that if I don't  
13 see something -- why do I need a statement to tell me  
14 that what's in these pages is all that it's applicable  
15 to? I mean, if there's another drug that's out there  
16 and it's not on those pages, how would I presume to  
17 extrapolate something from what's there? Do you  
18 follow what I'm saying? If you got a list of drugs,  
19 list of radiopharmaceuticals, and it gives you the  
20 prescribed times of stopping breastfeeding, so why do  
21 I need a statement to say that this is valid only for  
22 the agents that are listed here?

23 MEMBER GREEN: Yes, it may be confusing.  
24 I think some of the references are nuclide-specific,  
25 but some are drug-specific. I-131 sodium iodide,

1 which is a different animal from I-131 iodohippurate  
2 or I-131 MIBG. So there are references to nuclides  
3 and others are chemical compounds associated with  
4 that isotope, so there may be current isotopes with  
5 new flavors, new drug compounds attached to that.

6 So I think there's some statement, and I'm  
7 not sure what that statement should be, but I agree  
8 with the two comments we've heard previously.

9 CHAIRMAN PALESTRO: Okay. Any other  
10 comments? Well, we have a motion to approve the  
11 report as written, presented I should say. Do we  
12 have a second?

13 MEMBER SHEETZ: Second.

14 CHAIRMAN PALESTRO: Mr. Sheetz. All in  
15 favor? Any opposed? And now Mr. Green or perhaps  
16 it was Dr. Metter, I don't recall, suggested, once  
17 the report was approved, to make a recommendation on  
18 behalf of the committee to add a statement. All  
19 right. So we can proceed with that, if we can develop  
20 a formal statement. I'd like to do that now.

21 MEMBER GREEN: On the spot.

22 MR. BOLLOCK: It's a committee  
23 deliberation, so this is, you know, we're in a public  
24 setting right now. If we don't do it now and then  
25 you'd have to come back and have another vote on it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 later in a public setting.

2 MEMBER GREEN: Just off the top of my head?

3 MR. BOLLOCK: So we can give you time. You  
4 can do it tomorrow.

5 MEMBER GREEN: Thank you.

6 MR. BOLLOCK: We'll give you a little bit  
7 of time.

8 CHAIRMAN PALESTRO: Yes, I just don't want  
9 to leave it hanging after the end of the meeting  
10 because things like that disappear, so we can just  
11 add that to the open forum tomorrow.

12 All right. Last item on today's agenda,  
13 Dr. Suh is going to discuss the ACMUI comments on the  
14 draft revision of the Leksell Gamma Knife Perfexion  
15 and the Leksell Gamma Knife Icon licensing guidance.

16 MEMBER SUH: Thank you, Dr. Palestro. I  
17 want to start out by thanking the subcommittee  
18 members: Dr. Ron Ennis, Mr. Zoubir Ouhib, Ms. Megan  
19 Shober, and Ms. Laura Weil. I also want to thank the  
20 NRC staff resource, Ms. Sophie Holiday.

21 So the original subcommittee charge was to  
22 propose the appropriate physical presence  
23 requirements for the Leksell Gamma Knife Icon  
24 radiosurgery unit. And just as an introduction for  
25 the new committee members in the ACMUI, so there are

1 different types of Leksell gamma knives, so there's  
2 the Leksell Model B, C, and 4C. And for purposes of  
3 this discussion, I'm going to group them altogether.  
4 The gamma knife is a unit that allows high-dose, high-  
5 precision radiation to be delivered to an  
6 intracranial target, mostly used for malignant brain  
7 tumors. It can also be used for benign brain tumors,  
8 as well as vascular conditions and some functional  
9 disorders such as trigeminal neuralgia.

10 The Model B, C, and 4C has 201 cobalt-60  
11 sources which are stationary. There's external  
12 helmets which are attached to the machine. These are  
13 eight 14 to 18 millimeter commandeer helmets. The  
14 Model B unit has manual trunions which are set by a  
15 physician or medical physicist, whereas the Model C  
16 and 4C is an automatic positioning system that does  
17 not require manual manipulation of the X, Y, and Z  
18 coordinates.

19 Next slide, please. The Gamma Knife  
20 Perfexion (2006) uses, rather than 201 cobalt-60  
21 sources, uses 192 cobalt-60 sources which move within  
22 eight permanently-installed independent movable  
23 sectors which are 4, 8, and 16 millimeter beams.  
24 There's one body with different diameter of holes  
25 which correspond to different positions of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 sectors, and there's an automatic movement of the  
2 robotic treatment table. So this is a different  
3 design compared to the Model B, C, and 4C, and the  
4 picture is shown there.

5 Next slide, please. In 2016, the Gamma  
6 Knife Icon was developed. This also has 192 cobalt-  
7 60 sources which move within the eight permanently-  
8 installed independent moveable sectors which have the  
9 4, 8, and 16 millimeter beams. Again, there's one  
10 body with different size holes corresponding to  
11 different positions of the sectors. They also have  
12 an automated movement of the robotic treatment chart  
13 table.

14 What's different about the Icon versus  
15 Perfexion is outlined in blue. It has an integrated  
16 stereotactic home beam CT image, which is shown there  
17 in the lower right-hand picture. It also has an  
18 online adaptive dose control and also allows for a  
19 frameless mass base treatment. So I just wanted to  
20 give you direction in terms of the various gamma  
21 knives.

22 Next slide, please. So in terms of the  
23 background of the current regulation, all Leksell  
24 Gamma Knife procedures follow the physical presence  
25 requirements outlined in 10 CFR Part 35.615(f)(3)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that, "An authorized user," which was AU, " and an  
2 authorized medical physicist," which is referred as  
3 AMP, "are physically present throughout all  
4 treatments involving the unit."

5 The NRC defines "physical presence" as a  
6 distance "such that each can communicate with the  
7 other within hearing distance of normal voice." So  
8 Model B, C, and 4C are licensed under 10 CFR 35.600,  
9 whereas the Perfexion and Icon are licensed under 10  
10 CFR 35.1000.

11 In 2018, the subcommittee was asked to make  
12 recommendations. And looking at the very low number  
13 of reported medical events with the Perfexion which  
14 total 12 from 2006 to 2012 and advances with the Icon  
15 unit the subcommittee recommended that an authorized  
16 user and authorized medical physicist be physically  
17 present during all, during the initiation involving  
18 all treatments involving the units, and this is for  
19 the Icon system; the authorized medical physicist be  
20 physically present throughout all patient treatments  
21 involving the unit.

22 Next slide, please. In addition, one of  
23 the modifications we suggested was that, in terms of  
24 the physical presence requirements, that the current  
25 physical presence for the requirements for the AU be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 modified by allowing the AU to be present within a  
2 two-minute walk to the console area and immediately  
3 available to come to the treatment room. In addition  
4 to AU and AMP, we recommended as good medical practice  
5 that appropriately-trained nursing or auxiliary staff  
6 be present at the end of treatment to respond to any  
7 immediate medical needs. And then, finally, at the  
8 conclusion of treatment, the AU must be present at  
9 the console to discuss any treatment or patient issues  
10 with patient, physicist, and nurse.

11 So those are the recommendations of the  
12 subcommittee report which was endorsed by the ACMUI  
13 committee in February 2018.

14 The working group reviewed the  
15 subcommittee's recommendations and reports and also  
16 reviewed the comments submitted from Elekta, as well  
17 as Michael Sheetz our current ACMUI radiation safety  
18 officer. And the workgroup proposed revisions to the  
19 recommendations that the subcommittee put forward on  
20 February 2018.

21 So the working group and management were  
22 not supportive of the two-minute walk as they felt  
23 that this was very ambiguous. And they proposed that  
24 the physical presence requirements be similar to that  
25 of high-dose rate brachytherapy. In addition, they

1 proposed the requirements include both the Perfexion  
2 and Icon units. Since I outlined earlier, many  
3 components of the Icon and Perfexion unit are similar.

4 Next slide, please. So the working group's  
5 recommendations were the following: Number one, AU  
6 and AMP be physically present during the initiation  
7 of all patient treatments involving the Perfexion or  
8 Icon unit. In addition the AMP and either an  
9 authorized user or a physician under the supervision  
10 of an authorized user who has been trained in the  
11 operation and emergency response for the unit will be  
12 physically present during continuation of all patient  
13 treatment involving the Perfexion or Icon unit and  
14 the authorized user will return to the Perfexion or  
15 Icon unit console if there's an interruption of  
16 treatment to evaluate the patient, to review any  
17 information related to an abnormal situation, and to  
18 ensure that the treatment is being delivered in  
19 accordance with the treatment plan and written  
20 directive prior to the re-initiation of the  
21 treatment.

22 So the subcommittee reviewed the working  
23 group's recommendations and these are our current  
24 recommendations based on the review of the working  
25 group's recommendations. We agree that an AU and AMP

1 will be physically present during the initiation of  
2 all patient treatments involving the Perfexion or  
3 Icon unit.

4 In addition, we believe that the proposed  
5 physical presence requirements is similar to that of  
6 HDR brachytherapy. The subcommittee believes that  
7 this definition is not ambiguous and will be easier  
8 to enforce than the two-minute walk that was  
9 originally proposed by the subcommittee in February  
10 2018.

11 In addition, we agreed that the AU will  
12 return to the Perfexion or Icon unit console if  
13 there's an interruption of treatment. And one of the  
14 changes with the workgroup recommendations versus  
15 what we originally proposed to the subcommittee was  
16 to incorporate both the Perfexion and Icon in this  
17 recommendation. So since the Perfexion and Icon are  
18 licensed under 10 CFR Part 35, Subpart K, 10 CFR  
19 35.1000, and are mechanically similar to each other,  
20 the subcommittee endorses a draft revision to the  
21 Leksell Gamma Perfexion and Leksell Gamma Icon to  
22 include both the physical presence requirements for  
23 both units.

24 So last slide. Although the scope and  
25 recommendations are different than the original ACMUI

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 report from February 2018, we endorse the Leksell  
2 Gamma Perfexion and Leksell Gamma Knife Icon  
3 licensing guidance. We encourage licensees to  
4 continue to audit and monitor their programs, to adopt  
5 best practice including a high-liability system  
6 approach to ensure quality and safety, and, finally,  
7 the ACMUI and NRC review any negative trends that may  
8 occur as a result of change in guidance.

9 I'll take any questions. And the next  
10 slide is just the acronyms that were used as part of  
11 this report. Thank you.

12 CHAIRMAN PALESTRO: Any questions or  
13 comments from the subcommittee? Questions or  
14 comments from the ACMUI? Mr. Sheetz?

15 MEMBER SHEETZ: I would like to thank the  
16 ACMUI subcommittee and the NRC working group for  
17 working together on this effort and arriving at this  
18 final version for the physical presence requirements.  
19 I think it will provide significant relief to  
20 licensees for the authorized user, not having to be  
21 there for very long treatments and also be, at the  
22 same time provide, you know, equivalent patient  
23 safety. Thank you.

24 CHAIRMAN PALESTRO: Any other comments or  
25 questions from the committee? Comments or questions

1 from the attendees here in the room?

2 MS. TOMLINSON: Cindy Tomlinson with ASTRO.  
3 Again, thank you, Chairman Palestro for allowing me  
4 to provide this statement on behalf of ASTRO. We are  
5 responding to the ACMUI's or I guess the  
6 subcommittee's report. Because the NRC's working  
7 group, their draft guidance is not public, our  
8 comments reflect only the ACMUI subcommittee's review  
9 and comments.

10 The safety records for both the Gamma Knife  
11 Perfexion and Icon are excellent. Because of the  
12 required training for physicians, physicists, and  
13 therapists, the safety features embedded within the  
14 machines, and, most importantly, because of  
15 authorized user presence during the procedure.

16 Given that both the Perfexion and Icon use  
17 high doses of radiation to treat cancer, the presence  
18 of the AU is essential to ensure patient safety.  
19 According to the subcommittee report the NRC's,  
20 working group is proposed the following requirements  
21 for both Perfexion and Icon. An authorized user and  
22 an authorized user medical physicist will be  
23 physically present during the initiation of all  
24 patient treatments involving the Perfexion or Icon  
25 unit.

1           An authorized user medical physicist and  
2 either an authorized user or a physician under the  
3 supervision of an authorized user who has been trained  
4 in the operation emergency response for the unit will  
5 physically, will be physically present during  
6 continuation of all patient treatments involving the  
7 Perfexion or Icon and an authorized user will return  
8 to the Perfexion or Icon unit console, and an  
9 authorized user will return to the Perfexion or Icon  
10 unit console if there's an interruption of treatment  
11 to evaluate the patient , to review any information  
12 related to an abnormal situation, and to ensure that  
13 the treatment is being delivered in accordance with  
14 the treatment plan and written directive prior to re-  
15 initiation of the treatment.

16           ASTRO is pleased with the direction of the  
17 working group's proposed requirements. We think that  
18 it has the potential to strike the appropriate balance  
19 between safety and efficient medical practice and is  
20 in line with ASTRO's position on physical presence  
21 requirements for Gamma Knife.

22           We look forward to continuing to with the  
23 ACMUI and the NRC on this issue. And, again, I will  
24 send our written statement to your staff.

25           CHAIRMAN PALESTRO: Thank you. Any other

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 comments or questions from the attendees in the room?

2 MS. LOHMAN: Yes. I'm Susan Lohman,  
3 clinical applications manager for Elekta. And on  
4 behalf of Elekta, we'd like to thank the opportunity  
5 to engage both ACMUI and the NRC on this issue.

6 We believe the revised guidance is a step  
7 in the right direction. However, we are wary about  
8 the fact that HDR and Gamma Knife procedures are being  
9 considered substantially similar for physical  
10 presence requirements.

11 Once the revised guidance is issued, Elekta  
12 would like to continue dialogue with the ACMUI and  
13 NRC for further revision of the guidance. In the  
14 meantime, thank you for your attention to this issue  
15 and we appreciate and look forward to further  
16 collaboration.

17 CHAIRMAN PALESTRO: Thank you. Any other  
18 comments or questions from attendees here in the room?

19 MR. BOLLOCK: Hi, Dr. Palestro. This is  
20 Doug Bollock, NRC. So a few of us in the NRC have  
21 reviewed the workgroup's report and we just have, you  
22 know, we have another final concurrence on the working  
23 group's guidance. So there's not a question but more  
24 of a philosophical thing that we're considering.

25 Icon and Perfexion is 35.1000 guidance.

1 The intent of anything that goes in 35.1000 guidance  
2 will eventually go into the rule. So if these Gamma  
3 Knives go into 35.600, what would that do for the  
4 physical presence part of the rule? I'm not saying  
5 it can't happen. So what are the differences? And  
6 this is, I think there is, there are answers to this.  
7 One of the differences with these units that make  
8 them safer so that the, basically, the equivalent  
9 level of safety is there with, essentially, a lowering  
10 of physical presence requirements. I believe the  
11 answer is there. I believe there is an answer. We  
12 just need to, when we develop the guidance.

13 And I don't know if Sophie is going to  
14 respond to me, but she just heard this from me  
15 recently. And it's a question maybe Sophie can  
16 consider answering. I just want a perspective from  
17 the ACMUI on that because that is, that's going to be  
18 important going forward, right? If this was brought  
19 back into the rule, to keep a consistency amongst the  
20 rule for other, you know, what about these units are  
21 safer than the 35.600 units that you can have that  
22 difference? I believe it's there. I'm just  
23 wondering what your thoughts are, and I'll let Sophie  
24 Holiday from my staff speak to this first.

25 MS. HOLIDAY: Hi, everybody. This is

1 Sophie Holiday. Technically, from Doug Bollock's  
2 branch, we're currently on detail to the Office of  
3 Enforcement, but I was the NRC co-chair for the  
4 working group that developed this draft revised  
5 guidance.

6 So just one thing I want to clarify to start  
7 off with is that, you know, you've heard comments in  
8 here where the subcommittee supported the working  
9 group's recommendations. ASTRO also came to the  
10 microphone and said that they supported it. We heard  
11 from Lohman.

12 Just to clarify, as Doug said, we have not  
13 issued the final guidance yet. I'm actually still  
14 in the process of resolving all of the comments that  
15 I've received from the agreement states and NRC  
16 regions relating to this guidance, so this is not to  
17 say that this will be the final physical presence  
18 requirements that come out from this guidance  
19 document.

20 Second, to address what Doug said related  
21 to possibly how this will affect if it's rolled into  
22 rulemaking. During the spring 2018 meeting, one of  
23 the items that we closed from the agenda was a very  
24 longstanding item where the committee had asked NRC  
25 to move the Perfexion from 1000 into 35.600.

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Comparatively, they also asked to move yttrium-90  
2 microspheres brachytherapy to somewhere in the Part  
3 35 regulations.

4 There was a lot of discussion between the  
5 committee and staff related to the benefits of  
6 pursuing those recommendations from the ACMUI. And  
7 what ultimately came from the committee was that you  
8 would close those items, as you guys were supportive  
9 of keeping both the yttrium-90 microspheres  
10 brachytherapy and the Perfexion and now Perfexion  
11 Icon guidance in 35.1000 space because it allowed us  
12 to be nimble to make these types of changes.

13 As you know, the yttrium-90 is on revision  
14 9 currently, pursuing revision 10. But what Doug is  
15 asking you to do is think about in the future if we  
16 do move this to incorporate Perfexion and Icon unit  
17 into the regulations under 35.600, which, as you know,  
18 include all gamma stereotactic radiosurgery units.  
19 We are aware of other gamma stereotactic radiosurgery  
20 units that are on the horizon or currently approved  
21 by the U.S. Food and Drug Administration. What is  
22 it that we would be able to caveat in our regulations  
23 or what would be the conditions that we could do in  
24 order to allow such physical presence?

25 Ms. Lohman, I just want to address that we

1 are not saying that Gamma Knife treatment or Gamma  
2 stereotactic radiosurgery treatment is similar to HDR  
3 in any sense. We're just trying to draw the parallel  
4 that there are physical presence requirements such as  
5 this for the HDR unit. Currently, all Gamma  
6 stereotactic radiosurgery units have to have both AU  
7 and AMP, so, in order to draw that parallelism, that's  
8 why we say this is what it is for HDR.

9 Okay. So I just wanted to offer those  
10 comments. Thank you.

11 CHAIRMAN PALESTRO: Thank you. Any other  
12 comments from attendees in the room? Comments or  
13 questions from anyone on the telephone lines?

14 DR. TAPP: I guess I'll go back to Mr.  
15 Bollock's question. This is Dr. Tapp with the NRC.  
16 Sophie pointed out there are new emerging Gamma  
17 stereotactic radiosurgery units coming out right now,  
18 and the NRC has formed a working group with the  
19 agreement states to start developing guidance for  
20 these documents. And going back to Mr. Bollock's  
21 comments was if the committee could comment on what  
22 were some things that you see with the Perfexion Icon  
23 that you thought were important that allowed to change  
24 in the physical presence? Was it the imaging? I'd  
25 get some comments on that.

1           So when I'm forming my physical presence or  
2 the working group is forming the physical presence  
3 requirements for these new units, we have some  
4 guidance there.

5           CHAIRMAN PALESTRO: Mr. Sheetz?

6           MEMBER SHEETZ: I'd like to comment on Mr.  
7 Bollock's question about the safety of the Icon and  
8 Perfexion in relation to the other Gamma Knives. In  
9 my experience, and we were the first licensee of a  
10 Gamma Knife, the U unit, 1987. We've had every Gamma  
11 Knife model, and we currently have an Icon and a  
12 Perfexion.

13           The Perfexion and Icon are safer. There's  
14 less intervention in setting up patient treatments.  
15 There's no helmets. There's a lotless of micro-  
16 switches and involvement for hands-on. So the Icon  
17 and the Perfexion are much more automated in the  
18 treatment process once the treatment plan has been  
19 developed and it's imported into the treatment  
20 console.

21           With respect to the Gamma Knife units that  
22 are currently in 35.600, my perspective and my  
23 experience with the Gamma Knife units is the proposed  
24 physical presence requirements similar to the HDR  
25 requirements would be adequate for those units also.

1 I've always been under the impression that the  
2 physical presence requirements for the AU and AMP to  
3 be physically present through the entire Gamma Knife  
4 treatment was excessive, and I'm comparing that to  
5 HDR. HDR is a much more complex procedure. There  
6 are many more things that can go wrong with the device  
7 with applicators.

8 So to allow the AU to leave and another  
9 physician be present, and I'm not against that  
10 physical presence, I'm just saying to be more  
11 stringent on Gamma Knife. It really was not, in my  
12 perspective, appropriate or risk-based. Thank you.

13 CHAIRMAN PALESTRO: Any other comments or  
14 questions? Ms. Shober?

15 MEMBER SHOBER: This is Megan Shober. I  
16 was just wondering how many of the older style Gamma  
17 Knife units are still in the United States? Are  
18 there still a lot, or are there basically no old  
19 school ones left?

20 MS. LOHMAN: This is Susan Lohman from  
21 Elekta. There are approximately 14 of the older  
22 style Gamma Knife units still in use in the U.S.

23 MEMBER SHOBER: Okay. And can you comment  
24 about, like, if you add Perfexion and Icon together,  
25 how many are those?

1 MS. LOHMAN: In total, there are  
2 approximately 135 --

3 MEMBER SHOBER: Okay. So we're down to  
4 like --

5 MS. LOHMAN: -- United States.

6 MEMBER SHOBER: Yes, we're down to, like,  
7 ten percent of the older 35.600.

8 MS. LOHMAN: Approximately, yes.

9 MEMBER SHOBER: Okay. That's helpful.  
10 Thank you.

11 CHAIRMAN PALESTRO: Dr. Martin?

12 MEMBER MARTIN: There's one -- I'm  
13 following up on the question before about the other  
14 brands that are coming in, but maybe this is going to  
15 be confusion because I have one of the other brands  
16 c coming in down the street from our office and I had  
17 a question of what they were going to do and how they  
18 were going to apply the on-site rules because it is  
19 definitely going to be operated by a very economical  
20 radiation oncologist who will not be there most of  
21 the time is my understanding, and that's why I was  
22 like what kind of rules are we applying to these other  
23 brands?

24 CHAIRMAN PALESTRO: Mr. Bollock?

25 MR. BOLLOCK: I can't speak for California.

1 We just don't know that. I don't know that answer.  
2 But as far as, you know, the NRC's, we call it the  
3 35.1000 licensing guidance. What it really is is we  
4 are developing specific license conditions necessary  
5 for the safe use of emerging medical technology that  
6 doesn't fall under the other subsections of Part 35.  
7 And those are specific to NRC licensees, and the  
8 agreement states, for the NRC to agreement states,  
9 there are certain levels of regulations that states  
10 have to follow based on the compatibility and the  
11 sections of the regulations. 35.1000 is a  
12 compatibility D, which means that the agreement  
13 states do not have to follow what we say in the  
14 regulations. They can create their own licensing  
15 guidance, licensing conditions, and license --

16 MEMBER MARTIN: I apologize. I forgot that  
17 it wasn't yours.

18 MR. BOLLOCK: It's quite all right.

19 CHAIRMAN PALESTRO: Any other comments or  
20 questions?

21 MEMBER SUH: So I just want to just  
22 emphasize what Mr. Michael Sheetz said. So there are  
23 a fundamental difference between the Model B, C, and  
24 4C versus the Perfexion and the Icon system. I've  
25 had 21 years experience with the Model B, C, 4C

1 Perfexion and Icon. I fully agree with his  
2 assessment. The Icon and Perfexion is safer than the  
3 Model B, C, or 4C, so I feel very comfortable in  
4 lumping those two units together in terms of any  
5 changes we make in terms of physical presence  
6 requirements.

7 CHAIRMAN PALESTRO: Mr. Ouhib?

8 MEMBER OUHIB: Yes. I just have a  
9 question. If you could recall the fact that you have  
10 used all these basically, looking back, how often did  
11 you have to actually intervene in these different  
12 ones and somebody else couldn't do what needed to be  
13 done?

14 MEMBER SUH: So I've had two patients seize  
15 on the table at 11:00 at night. So, yes, it was  
16 important that I was there. One of the changes that  
17 we have made with our practice is that we put a pulse  
18 oximeter on every single Gamma Knife patient because  
19 when you have a long treatment you monitor them  
20 through cameras, you hope the patient is doing okay.  
21 The last thing I want to do is have a patient come  
22 out of the machine and they were not okay.

23 So we have -- and it goes back to, I think,  
24 when you talk about training and experience, the more  
25 these that you do, and I've had the opportunity to do

1 thousands of these cases, you just get an inherent  
2 sense of what you should and shouldn't do. So that's  
3 why I'm just a big believer that when it comes to  
4 training and experience -- one of the things you said,  
5 to Elekta's credit, when it comes to the Gamma Knife,  
6 it's very regimented in terms of the training and  
7 experience that's required. So as these units, you  
8 went from a Model C to a 4C to a Perfexion to an Icon,  
9 you go to centers to learn how to use that device,  
10 which I think is a very good model of how do you  
11 understand it.

12 And, again, the machines themselves, are  
13 there big differences? You could argue there's not  
14 big differences, but that extra training is very  
15 helpful.

16 CHAIRMAN PALESTRO: Any other questions or  
17 comments? Mr. Bollock, does the committee needs to  
18 approve the report, endorse the report?

19 MR. BOLLOCK: Yes.

20 MS. HOLIDAY: Dr. Palestro, this is Sophie  
21 again. Before the committee makes a motion to vote  
22 on the report, if I can kind of respond to what Mr.  
23 Ouhib and Dr. Suh just discussed about how often he's  
24 had to go back to respond for an emergency. If you'll  
25 look up on the slide, number two, while it doesn't

1 say the AU necessarily, it says an AU or a physician  
2 under the supervision of an AU who has been trained  
3 in the operation and emergency response for the unit.  
4 So this is a physician who should be able to handle  
5 a medical emergency, but they have the necessary  
6 training to know how to operate and perform in an  
7 emergency response capacity for the unit.

8 So just to remind the committee about that.  
9 Thank you.

10 CHAIRMAN PALESTRO: Mr. Ouhib?

11 MEMBER OUHIB: Yes, the only reason I asked  
12 that question, I just wanted to see some of the  
13 differences between these units and some might be  
14 requiring more attention than others based on where  
15 the technology is basically.

16 CHAIRMAN PALESTRO: Mr. Green?

17 MEMBER GREEN: Is there a way to, this is  
18 trying to move, I think, from a 35.1000 into a 35.600  
19 for all these devices and capture future devices.  
20 Rather than calling them them out by model numbers,  
21 is there a way to describe attributes of these devices  
22 that would allow you to designate certain physical  
23 presence requirements without naming names and model  
24 numbers?

25 MR. BOLLOCK: That's exactly it. And we

1 want to be able to not have it say, if you have an  
2 Icon or Perfexion you get this and if you have  
3 everything else you get 600. We try to be consistent.  
4 We do everything we can to be consistent in our  
5 regulations and consistent with our licensing, and  
6 that consistency is built on the safety of it. That's  
7 why I brought up I believe this is there. I mean,  
8 from early discussions with Sophie and other, you  
9 know, my understanding of these devices, I think they  
10 have these features that help do that. And that's,  
11 you know, we want to make sure, and this will be for  
12 the working group to make sure if I am comfortable  
13 with incurring with it and getting it out, that that  
14 is clear because that's what will make, that's what  
15 will carry that consistency across for any of these  
16 Gamma stereotactic radiotherapy units, right? So we  
17 can be consistent, so Sophie's group is looking at it  
18 the same way as Dr. Tapp's group.

19 That's what, that's all we're trying to  
20 get, so that's exactly the point. I think it is  
21 there. It's just, you know, one of those features,  
22 and I believe it's there but we just need to be  
23 consistent with what those are. If you have these  
24 types of, if you have this feature, this feature,  
25 this feature, this feature, you can do, if we're going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to have separate physical presence requirements these  
2 are the things that make you do that.

3 And I understand Mr. Sheetz's point, as I  
4 take it -- I don't want to put words in your mouth -  
5 - is all the Gamma stereotactic units should have  
6 something -- you don't agree or you think it's overly  
7 burdensome with the current 600 requirements for  
8 physical presence; is that correct?

9 MEMBER SHEETZ: That is correct.

10 MR. BOLLOCK: Okay. And that's a fair  
11 enough point. For us, if we are going to make it  
12 different, it can't, that's essentially what we've  
13 been doing is changing all the rules just for these  
14 two because they're new. It's not because they're  
15 new, it's because they have other features or we'd  
16 have to go back through a rulemaking process. We  
17 have to be consistent in what we do.

18 CHAIRMAN PALESTRO: Any other comments or  
19 questions? Mr. Sheetz?

20 MEMBER SHEETZ: Yes. I guess I would like  
21 to make sure I understand correctly. If you're going  
22 to move the Perfexion and Icon back into 35.600, that  
23 would require --

24 MR. BOLLOCK: It would require a  
25 rulemaking, and then that would be the opportunity to

1 --

2 MEMBER SHEETZ: And so you would have to  
3 eliminate a lot of the prescriptive safety procedures  
4 and spot checks and so forth that are currently in  
5 there.

6 MR. BOLLOCK: That's exactly what we --

7 MEMBER SHEETZ: And then you would have to  
8 account for all the new types of Gamma Knives coming  
9 down the line and what they will do.

10 MR. BOLLOCK: Right. We can't say --

11 MEMBER SHEETZ: I guess my recommendation

12 --

13 MR. BOLLOCK: -- and different things like  
14 that and then have it cover all the units that are  
15 out there.

16 MEMBER SHEETZ: I guess I'm a fan of  
17 35.1000, and I think that would be very challenging  
18 to come up with a useful set of regulations in 35.600  
19 to cover all current and future Gamma Knife  
20 stereotactic units.

21 MR. BOLLOCK: And that would be the goal.

22 That would be --

23 MEMBER SHEETZ: Please don't put me on the  
24 subcommittee.

25 MR. BOLLOCK: That would be the goal of any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 changes to 35.600 is to make it not so specific to  
2 make it be able to account for all the Gamma  
3 stereotactic radiotherapies, not any specifics. And  
4 there are specifics. There are differences, and it's  
5 clear and there's a basis for that. That is all.

6 CHAIRMAN PALESTRO: Any other comments or  
7 questions? Ms. Holiday?

8 MS. HOLIDAY: So because Mr. Sheetz just  
9 mentioned my favorite word, subcommittee, might it be  
10 a suggestion, since this is a question that Mr.  
11 Bollock has posed to the committee about what exactly  
12 would it be that you believe our physical presence  
13 requirements should be so that it can apply to all  
14 Gamma Stereotactic radiosurgery units? Should the  
15 ACMUI consider forming a subcommittee to review this  
16 question? Obviously, we don't know the answer.  
17 Similar to a tailored T&E approach, should there be  
18 a subcommittee to look at this as well? So that when  
19 staff is ready to pursue this in future rulemaking  
20 that we already have the committee's position noted  
21 on the record.

22 CHAIRMAN PALESTRO: Okay. Comments or  
23 questions on that?

24 MR. BOLLOCK: That would be at the  
25 discretion of the -- this is Doug Bollock. That

1 would be at the discretion of the committee. If you  
2 feel it's important enough to review now and form a  
3 subcommittee, that is well within your rights and  
4 purviews.

5 CHAIRMAN PALESTRO: Ms. Holiday, let me ask  
6 you, is this something that would start now or is  
7 this established in a subcommittee for the future?

8 MS. HOLIDAY: As Mr. Bollock said, it's up  
9 to your discretion. For the purposes of what Dr.  
10 Suh's subcommittee did, that is going to affect the  
11 existing 35.1000 guidance. That's staying in 35.1000  
12 for now because we're very far away from rulemaking.

13 So as the chair of the committee, it's your  
14 prerogative when you would like to start that  
15 subcommittee, if you start it at all. I just wanted  
16 to throw that out as an item for consideration.

17 CHAIRMAN PALESTRO: Okay. I think I'm  
18 going to defer on that for a moment until I've had  
19 time to think about it a little bit and maybe discuss  
20 it more.

21 MS. HOLIDAY: Absolutely.

22 CHAIRMAN PALESTRO: Thank you.

23 MS. DIMMICK: So if I could add as you  
24 think about it, the other value that it could have is  
25 not just for a rulemaking but for future 35.1000

1 guidance documents for different GSR devices. The  
2 current working group is actually working on two  
3 different, very different GSR devices and the working  
4 group is going to have to address physical presence  
5 in those, and they'll need to have an idea of will  
6 they need, can they apply a criteria similar to what  
7 the Perfexion/Icon working group is proposing for  
8 physical presence or will they need to follow the  
9 rule?

10 So I guess, going forward, in terms of  
11 thinking of what safety barriers do the devices  
12 provide where there could be a different physical  
13 presence requirement than what is in the rule. So  
14 it's not just for rulemaking. It could be for future  
15 guidance documents, as well, for GSR devices.

16 CHAIRMAN PALESTRO: All right. Thank you.  
17 As I said, I want to think about it a little bit and  
18 I want to go over the number of subcommittees that we  
19 have and do my best to avoid overloading the members  
20 of the committee, the ACMUI, with responsibilities on  
21 multiple subcommittees. I just can't think of it off  
22 the top of my head.

23 Any other comments or questions? All  
24 right. there's a motion to approve Dr. Suh's report,  
25 the subcommittee's report. Is there a second?

1 MEMBER SHEETZ: Second.

2 CHAIRMAN PALESTRO: Sheetz. Any further  
3 discussion? All in favor? Any opposed? Approved.

4 Mr. Bollock any other business that we need  
5 to address today?

6 MR. BOLLOCK: No, that is it.

7 CHAIRMAN PALESTRO: Ms. Dimmick?

8 MS. DIMMICK: At some point, we wanted to  
9 come back to the charge for the Medical Event  
10 Subcommittee, so if we could try to phrase that charge  
11 that would be great. Thank you.

12 CHAIRMAN PALESTRO: Dr. Ennis?

13 MEMBER ENNIS: I'll give it a try. The  
14 subcommittee will review the appropriateness of the  
15 required elements of medical event reporting, the  
16 adherence to these requirements, and recommend  
17 actions to improve reporting.

18 CHAIRMAN PALESTRO: That's certainly  
19 acceptable to me, and we already have the members of  
20 the subcommittee.

21 MEMBER ENNIS: We do.

22 CHAIRMAN PALESTRO: And you will chair.

23 MEMBER ENNIS: I will.

24 CHAIRMAN PALESTRO: All right. Staff  
25 liaison?

1 MR. BOLLOCK: I got a volunteer. Ms.  
2 Dimmick, the medical team leader, will be the staff  
3 resource for that.

4 CHAIRMAN PALESTRO: Okay. Ms. Dimmick  
5 will be staff resource. Thank you very much. All  
6 right. Any other business? All right. Then we're  
7 adjourned until 8:30 tomorrow morning. Thank you  
8 all.

9 (Whereupon, the foregoing matter went off  
10 the record at 4:48 p.m.)

11  
12  
13  
14  
15  
16  
17