

Official Transcript of Proceedings
NUCLEAR REGULATORY COMMISSION

Title: Draft Report of the ACMUI Training
and Experience Requirements for All
Modalities Subcommittee

Docket Number: N/A

Location: Teleconference

Date: February 26, 2019

Work Order No.: NRC-0148

Pages 1-73

NEAL R. GROSS AND CO., INC.
Court Reporters and Transcribers
1323 Rhode Island Avenue, N.W.
Washington, D.C. 20005
(202) 234-4433

UNITED STATES OF AMERICA
NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

+ + + + +

TELECONFERENCE

+ + + + +

TUESDAY

FEBRUARY 26, 2019

+ + + + +

The meeting convened by teleconference at
10:00 a.m., Christopher J. Palestro, M.D., ACMUI
Chairman, presiding.

MEMBERS PRESENT:

CHRISTOPHER J. PALESTRO, M.D., ACMUI Chairman;
Nuclear Medicine Physician

DARLENE F. METTER, M.D., ACMUI Vice Chairman;
Diagnostic Radiologist

VASKEN DILSIZIAN, M.D., Nuclear Cardiologist

RONALD D. ENNIS, M.D., Radiation Oncologist
(Brachytherapy)

RICHARD L. GREEN, Nuclear Pharmacist

MELISSA C. MARTIN, Nuclear Medicine Physicist

MICHAEL D. O'HARA, Ph.D., FDA Representative

ZOUBIR OUHIB, Therapy Medical Physicist

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

A. ROBERT SCHLEIPMAN, Ph.D., Health Care
Administrator

MICHAEL SHEETZ, Radiation Safety Officer

MEGAN L. SHOBER, Agreement State Representative

LAURA M. WEIL, Patients' Rights Advocate

NON-VOTING MEMBER PRESENT:

HARVEY B. WOLKOV, M.D., Radiation Oncologist (GSR)

STAFF PRESENT:

ANDREA KOCK, Director, Division of Materials Safety,
Security, States, and Tribal Programs (MSST)

CHRISTIAN EINBERG, ACMUI Designated Federal Officer
(DFO), Chief, NMSS/MSST/MSEB

SOPHIE HOLIDAY, ACMUI DFO

KELLEE JAMERSON, ACMUI DFO; ACMUI Coordinator

MARYANN AYOADE, NMSS/MSST/MSEB

SAID DAIBES, Ph.D., NMSS/MSST/MSEB

LISA DIMMICK, Medical Radiation Safety Team Leader,
NMSS/MSST/MSEB

SARA FORSTER, R-III/DNMS/MLB

ROBERT GALLAGHAR, R-I/DNMS/MLAB

EDWARD HARVEY, R-III/DNMS/MIB

ESTHER HOUSEMAN, OGC/GCLR/RMR

DONNA-BETH HOWE, Ph.D., NMSS/MSST/MSEB

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

IAN IRVIN, OGC/GCLR/RMR

DONNA JANDA, R-I/DNMS/MLAB

SARAH LOPAS, NMSS/MSST/MSEB

KATHY MODES, NMSS/MSST/ASPB

JANICE NGUYEN, R-I/DNMS/MLAB

PATTY PELKE, R-III/DNMS/MLB

ZAHID SULAIMAN, R-III/DNMS/MIB

KATHERINE TAPP, Ph.D., NMSS/MSST/MSEB

MEMBERS OF THE PUBLIC PRESENT:

MICHAEL BAXTER, American Pharmacists Association

KENDALL BERRY, Fox Chase Cancer Center

JANET BUKOVCAN, British Technology Group (BTG)

MARY BURKHART, Illinois Emergency Management Agency
(IEMA)

WILLIAM CHEN, *unaffiliated*

JOHN CHIPPO, Pennsylvania Department of
Environmental Protection (PDEP)

THOMAS CONLEY, University of Kansas Medical Center

WHITNEY COX, IEMA

DAVID CROWLEY, North Carolina Department of Health
and Human Services, Radiation Protection
Section

ARIEL DOUCET, Virtua Health

BRIAN ERASMUS, BTG

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

LYNNE A. FAIROBENT, *unaffiliated*

SHERRIE FLAHERTY, Minnesota Radioactive Materials
Unit

MIKE FULLER, Virginia Department of Health (VDH)

SANDY GABRIEL, *unaffiliated*

WENDY GALBRAITH, University of Oklahoma Health
Sciences Center

BENNETT GREENSPAN, M.D., Medical College of Georgia,
Augusta University

MIGUEL de la GUARDIA, Cook Children's Medical Center

MICHAEL GUASTELLA, Council on Radionuclides and
Radiopharmaceuticals, Inc. (CORAR)

STANLEY HAMPTON, Eli Lilly

DAN HILL, Cardinal Health

DANIEL JANUSESKI, Virtua Health

TRACY JUE, California Department of Public Health

SUE LANGHORST, Ph.D., *unaffiliated*

RALPH LIETO, St. Joseph Mercy Health System

CINDI LUCKETT-GILBERT, Shertech Pharmacy

CAROL MARCUS, Ph.D, M.D., University of California
at Los Angeles (UCLA)

RICHARD MARTIN, American Association of Physicists
in Medicine (AAPM)

SAMUEL MEHR, M.D., Nebraska Cancer Specialists

ASHLEY MISHOE, University of California, San

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

Francisco

MARY MOORE, VDH

JOSHUA MYERS, PDEP

CHRISTOPHER OTT, PDEP

BRANDON PATERSON, *unaffiliated*

RICHARD PEROS, New Jersey Department of

Environmental Protection (NJDEP)

MICHAEL PETERS, American College of Radiology (ACR)

CARMINE PLOTT, Novant Health

ARIA RAZMARIA, M.D., UCLA Medical Center

GLORIA ROMANELLI, ACR

GEORGE SEGALL, M.D., American Board of Nuclear

Medicine (ABNM)

BEN SEIBER, PDEP

BETH SHELTON, Tennessee Department of Environment

and Conservation

JEFFRY SIEGEL, Ph.D., Nuclear Physics Enterprises

DANIEL STROHMEYER, *unaffiliated*

CINDY TOMLINSON, American Society of Radiation

Oncology (ASTRO)

MICHAEL UJHELYI, BTG

PAUL WALLNER, M.D., 21st Century Oncology, Inc.

MATTHEW WILLIAMSON, Memorial Sloan Kettering Cancer

Center

MELONIE WISSING, VDH

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

JOHN WITKOWSKI, United Pharmacy Partners (UPPI)

1

1 P-R-O-C-E-E-D-I-N-G-S

2 (10:15 a.m.)

3 MR. EINBERG: Thank you. This is Chris
4 Einberg. I am the Branch Chief of the Medical Safety
5 and Events Assessment Branch, and I wanted to
6 apologize for the technical difficulties that we have
7 been having right now getting the webinar running.

8 As Sophie mentioned, the slides will be
9 available on the ACMUI public website. So we're going
10 to try to get the webinar running, but if you cannot
11 access the -- or if we can't get the webinar running,
12 then please access the slides from the -- from the
13 public SharePoint -- or from the public website.

14 So I am going to start with the opening
15 remarks here. As the Designated Federal Officer for
16 this meeting, I am pleased to welcome you to the
17 public meeting of the Advisory Committee on the
18 Medical Uses of Isotopes.

19 Once again, my name is Chris Einberg. I'm
20 the Branch Chief of the Medical Safety and Events
21 Assessment Branch, and I have been designated as the
22 Federal Officer for this Advisory Committee in
23 accordance with 10 CFR Part 7.11.

24 Present today as the Designated Officer
25 is Sophie Holiday. And, likewise, as a Designated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Federal Officer, I would like to introduce Kellee
2 Jamerson, who was assigned to the role of the ACMUI
3 Coordinator in December.

4 This is an announced meeting of the
5 committee. It has been held in accordance with the
6 rules and the regulations of the Federal Advisory
7 Committee Act and the Nuclear Regulatory Commission.
8 This meeting is being transcribed by the NRC and may
9 also be transcribed or recorded by others.

10 The meeting was announced on -- or in the
11 February 4, 2019, edition of the Federal Register,
12 Volume 84, page 1521.

13 The function of the committee is to
14 advise the staff on issues and questions that arise
15 on the medical use of byproduct material. The
16 Committee provides counsel to the staff, but does not
17 determine or direct the actual decisions of the staff
18 or the Commission. The NRC solicits the views of the
19 Committee and values their opinions.

20 I request that whenever possible we try
21 to reach a consensus on the various issues that we
22 will discuss today, but I also recognize that there
23 may be minority or dissenting opinions. If you have
24 such opinions, please allow them to be read into the
25 record.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 At this point, I would like to perform a
2 roll call of the ACMUI members participating today.
3 First is Dr. Christopher Palestro, Chairman, Nuclear
4 Medicine Physician.

5 CHAIR PALESTRO: Present.

6 MR. EINBERG: Dr. Darlene Metter, Vice
7 Chairman, Diagnostic Radiologist.

8 VICE CHAIR METTER: Present.

9 MR. EINBERG: Dr. Vasken Dilsizian,
10 Nuclear Cardiologist.

11 MEMBER DILSIZIAN: Present.

12 MR. EINBERG: Dr. Ronald Ennis, Radiation
13 Oncologist.

14 MEMBER ENNIS: Here.

15 MR. EINBERG: Mr. Richard Green, Nuclear
16 Pharmacist.

17 MEMBER GREEN: Present.

18 MR. EINBERG: Ms. Melissa Martin, Nuclear
19 Medicine Physicist.

20 MEMBER MARTIN: Present.

21 MR. EINBERG: Dr. Michael O'Hara, FDA
22 Representative.

23 MEMBER O'HARA: Present.

24 MR. EINBERG: Mr. Zoubir Ouhib, Radiation
25 Therapy Physicist.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER OUHIB: Present.

2 MR. EINBERG: Dr. A. Robert Schleipman,
3 Health Care Administrator.

4 MEMBER SCHLEIPMAN: Present.

5 MR. EINBERG: Mr. Michael Sheetz,
6 Radiation Safety Officer.

7 MEMBER SHEETZ: Present.

8 MR. EINBERG: Ms. Meghan Shober, State
9 Government Representative.

10 MEMBER SHOBER: Present.

11 MR. EINBERG: Ms. Laura Weil, Patients'
12 Rights Advocate.

13 MEMBER WEIL: Present.

14 MR. EINBERG: Okay. We have a quorum.

15 On the phone we also have Dr. Wolkov.
16 Dr. Wolkov has been selected as the ACMUI Radiation
17 Oncologist. He is pending a security clearance, but
18 may participate in the meeting. However, he does not
19 have voting rights at this time.

20 And I now ask that NRC staff members who
21 are present to identify themselves. I'll start with
22 individuals in the room here. And, Sophie, if you
23 want to go first.

24 MS. HOLIDAY: Hi. Sophie Holiday,
25 Medical Radiation Safety Team.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. JAMERSON: Kellee Jamerson, Medical
2 Radiation Safety Team.

3 MS. HOUSEMAN: Esther Houseman, OGC.

4 MS. LOPAS: Sarah Lopas, Medical
5 Radiation Safety Team.

6 MR. IRVIN: Ian Irvin, OGC.

7 DR. TAPP: Katie Tapp, Medical Radiation
8 Safety Team.

9 MR. EINBERG: Donna-Beth Howe is here as
10 well. And now I'll go to the NRC staff members on
11 the phone. Can you please identify yourselves?

12 MS. AYOADE: Maryann Ayoade, Medical
13 Radiation Safety Team.

14 MR. EINBERG: Okay. Thank you. Members
15 of the public who notified Ms. Jamerson that they
16 would be participating on the teleconference will be
17 captured in the transcripts. Those of you who did
18 not provide prior notification, please contact Ms.
19 Jamerson at kellee.jamerson@nrc.gov, and that's K-E-
20 L-L-E-E dot J-A-M-E-R-S-O-N at nrc.gov, or at (301)
21 415-7408.

22 We have a bridge line available, and that
23 phone number is (888) 790-6447. The passcode to
24 access the bridge line is 3279476#. Once again,
25 3279476#.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This meeting is also using the
2 GoToWebinar application to view the presentation
3 handouts real time. Hopefully, we are getting that
4 up.

5 Maryann, have you been able to get that
6 going?

7 MS. AYOADE: Yes. It's up and running.

8 MR. EINBERG: Okay. Thank you so much.

9 MS. AYOADE: If anyone on the line can't
10 see it, let me know.

11 MR. EINBERG: And you can access the
12 webinar by going to www.gotowebinar.com and searching
13 for meeting ID 657537587. Once again, that's
14 657537587.

15 The purpose of this meeting is to discuss
16 the draft report of the ACMUI Training and Experience
17 Requirements for All Modalities Subcommittee. In its
18 report, the subcommittee provides recommendations
19 with respect to the T&E requirements for all
20 modalities under 10 CFR Part 35, Medical Use of
21 Byproduct Material, with specific focus on Part
22 35.300 uses.

23 Individuals who would like to ask a
24 question or make a comment regarding a specific issue
25 the Committee has discussed should dial star one to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 signal the operator that you wish to speak. Please
2 clearly state your first and last name for the record.
3 Comments and questions are usually addressed by the
4 Committee near the end of the presentation after the
5 Committee has fully discussed the topic. We will
6 notify the operator when we are ready for the public
7 comment period of the meeting.

8 I would also like to add that the handouts
9 and agenda for this meeting are available on the NRC's
10 public website.

11 At this time, I ask that everyone on the
12 call who is not speaking to place their phones on
13 mute. If you do not have the capability to mute your
14 phone, please press star six to utilize the conference
15 line mute and unmute functions.

16 I would also like to ask everyone to
17 exercise extreme care to ensure that background noise
18 is kept at a minimum as any stray background sounds
19 can be very disruptive on a conference call this
20 large.

21 At this point, I would like to turn the
22 meeting back to Dr. Palestro.

23 CHAIR PALESTRO: Thank you, Mr. Einberg.
24 This is Dr. Christopher Palestro, Chair of the ACMUI,
25 and I will now turn the meeting over to Dr. Darlene

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Metter, who chairs the Subcommittee for Training and
2 Experience for All Modalities for presentation of the
3 subcommittee's report. Dr. Metter?

4 VICE CHAIR METTER: Thank you, Dr.
5 Palestro, and thank you for letting us use this
6 conference call to present our Subcommittee report.
7 And before I start, I would like to thank the work by
8 Subcommittee members Dr. Ronald Ennis, Dr. Robert
9 Schleipman, Mr. Michael Sheetz, Ms. Megan Shober, and
10 Ms. Laura Weil.

11 While I present this presentation for our
12 document that we submitted, you will have slides that
13 will help point out the key points of this document.

14 The Subcommittee charge. In 2016, the
15 U.S. NRC ACMUI's Subcommittee on Training and
16 Experience Requirements for All Modalities was
17 charged to periodically review the training and
18 experience requirements for the medical use of
19 unsealed byproduct material under Title 10, Code of
20 Federal Regulations, Part 35, Subparts D to H, to
21 make recommendations for changes as needed.

22 Subcommittee subcharge. The Subcommittee
23 should reprioritize its work such that the review of
24 the T&E requirements for 10 CFR 35.300, Uses, is
25 conducted prior to the review of the T&E requirements

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for 10 CFR 35.200. As part of the reprioritized work,
2 and in light of the NRC's tasking, the Subcommittee
3 should consider the development of a limited scope AU
4 pathway.

5 Now I will review some of the background.
6 In March 2016, the ACMUI held a public teleconference
7 meeting to discuss the report of the Subcommittee on
8 the Training and Experience Requirements authorized
9 users of alpha, beta, and gamma emitters under 10 CFR
10 35.390.

11 During this teleconference meeting, the
12 Committee unanimously endorsed the subcommittee's
13 report and recommendations, which included
14 maintaining the existing 700-hour training and
15 experience requirements also termed the alternate
16 pathway.

17 Additionally, it was recommended that a
18 separate subcommittee be formed to conduct periodic
19 reviews of the training and experience requirements
20 for all modalities under 10 CFR Part 35.

21 The subcommittee developed a data-driven
22 standardized review template that would provide a
23 comparative format for future review and
24 reassessment. To optimize this review process, the
25 subcommittee intended to begin the review with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 35.100, followed by 35.200, 35.300, and so on. The
2 subcommittee completed its review of 10 CFR 35.100
3 with no suggested revisions.

4 However, because of ongoing concerns
5 about patient access to unsealed byproduct material
6 for which a written directive is required, the
7 subcommittee was directed to review the T&E
8 requirements for 10 CFR 35.300 before reviewing
9 35.200.

10 In August 2017, the Commission voted on
11 the 10 CFR Part 35 rulemaking package and included
12 direction to the NRC staff to review 10 CFR 35 Subpart
13 E and evaluate the possibility of tailored training
14 and experience with different categories of
15 radiopharmaceuticals, delineate how these categories
16 would be created, recommend the appropriate training
17 and experience requirements, and whether these
18 requirements would be satisfied based on hours of
19 training or would require a formal assessment of
20 competency.

21 In January 2018, the U.S. Food and Drug
22 Administration approved a therapeutic
23 radiopharmaceutical, lutetium-177 dotatate, with the
24 potential for greater use than previously approved
25 therapeutic radiopharmaceuticals.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In addition, there was a decrease in the
2 first-time candidates sitting for the American Board
3 of Nuclear Medicine or the ABNM certification exam.
4 These two observations raised concerns about a
5 potential authorized user or AU shortage in the
6 future. Thus, the ACMUI proposed the reconsideration
7 of an alternate AU pathway for 10 CFR 35.390.

8 Now, what I'll do is give the
9 Subcommittee review, comments, and recommendations,
10 and this was divided into three topics. The first
11 topic is a potential AU shortage. To address concerns
12 about a potential future shortage of AU, the
13 subcommittee reviewed the current pathways for AU
14 certification.

15 Traditionally, nuclear medicine, nuclear
16 radiology, diagnostic radiology, and radiation
17 oncology graduates of Accreditation Council for
18 Graduate Medical Education, or ACGME, approved
19 residencies, seek board certification; and, hence,
20 authorized user status, by the NRC-deemed Status
21 Boards with the American Board of Radiology, ABR;
22 Nuclear Medicine, ABNM; and Osteopathic Radiology.

23 In 2016, the ABR supported a redesigned
24 AU eligibility pathway consisting of 16 months of
25 nuclear radiology or nuclear medicine training

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 incorporated into the 48-month or four-year ACGME-
2 accredited diagnostic radiology residency.

3 This revised program is a redesigned ABR
4 pathway and would satisfy the NRC's training and
5 experience requirements for 10 CFR 35.390 via the
6 alternate pathway.

7 Upon completion of the radiology
8 residency, the graduate trainee is then eligible to
9 sit for the board certification exams for the ABR in
10 nuclear radiology and/or the ABNM.

11 Now, to explore the concern for potential
12 AU shortage, the Subcommittee reviewed the 2018 and
13 2019 ACGME website, which provided the following
14 information on the current number of potential future
15 AUs in training. And the slide you have listed the
16 total number of residents in training and the
17 estimated graduates per year. The first is nuclear
18 medicine, which has 40 programs. The peculiar aspects
19 about that residency is that it has a one-, two-, and
20 three-year pathway, with the one-year pathway taken
21 by radiologists, which are the majority of the total
22 residents.

23 So the number 79 is the total number of
24 residents currently in training with an estimate of
25 approximately 50 graduates, 40 to 50 graduates per

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 year.

2 For nuclear radiology, total residents
3 11, with 11 graduates per year. The redesigned
4 pathway, which has -- I just went over -- currently
5 has 56 residents, and the graduates won't be coming
6 up for a few more years because this just started
7 about a year or so ago. So when it does mature, it
8 will be approximately with this current number of 14
9 per year, but likely it is likely to increase in
10 number.

11 Radiation oncology, 775 total residents
12 with 194 graduates per year. The diagnostic radiology
13 and osteopathic radiology residents are large in
14 number, with certain graduates per year. However,
15 the majority of them do not satisfy 35.390
16 qualifications.

17 So in the current pipeline for AUs in
18 training for 35.390, it's over 900, which includes
19 trainees in nuclear medicine, nuclear radiology,
20 diagnostic radiology, and radiation oncology. As of
21 2018, the ABNM reported 3,591 practicing ABNM
22 diplomates. And along with the current practicing
23 authorized users, the nearly 270 annual 35.390 AU
24 graduates, and counting for the retiring AUs, the
25 Subcommittee concluded that there is no objective

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data to support an authorized user shortage at the
2 present time.

3 And if you think about it, if you have
4 about 250, 270 graduates per year in the next four
5 years, you will add another 1,000 AUs for 35.390.

6 Topic 2, the limited scope AU pathway.
7 Although there is no evidence that there is a current
8 AU shortage, there is the likelihood that the number
9 of available therapeutic radiopharmaceuticals, and
10 the demand for these therapies will increase. The
11 subcommittee explored whether the NRC should consider
12 developing a limited scope AU pathway tailored to
13 specific radiopharmaceuticals.

14 Radionuclide therapy possesses the
15 highest risk and the highest impact of all nuclear
16 medicine procedures. And if dosages are not properly
17 handled or administered, these therapies can cause
18 unintentional, serious organ or tissue injury. The
19 newer therapeutic radionuclides have become
20 increasingly more complex administrations. And with
21 the potential for multi-organ or tissue toxicities,
22 and, hence, this requires a basic competency in
23 radiation therapy and radiation safety.

24 A potential limited scope AU pathway for
25 radionuclide therapy must ensure that the basic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 knowledge topics in 10 CFR 35.390 are obtained thereby
2 obtaining an equivalent level of therapeutic
3 competency and competency in radiation safety.

4 When investigating the feasibility of a
5 limited scope AU pathway for 10 CFR 35.390, the NRC
6 staff, with ACMUI input, proposed a list of required
7 basic knowledge topics for AUs involved in
8 radionuclide therapy. The proposed curriculum began
9 with the knowledge topics of 10 CFR 35.390.

10 But due to the complexity and overlap of
11 these basic knowledge topics, the Subcommittee
12 concluded that it is not feasible to tailor the T&E
13 requirements for a limited scope authorized user for
14 each specific radiopharmaceutical, nor is it feasible
15 to create categories for specific therapeutic
16 individual radionuclides because each such category
17 would encompass nearly all of the knowledge topics in
18 10 CFR 35.390.

19 The NRC staff, with external stakeholder
20 input from the medical community, and the
21 Subcommittee, agreed that the knowledge topics in 10
22 CFR 35.390 are the basic minimum knowledge required
23 for any radionuclide therapy.

24 In considering the above, the
25 subcommittee does not recommend a limited scope AU

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pathway for radionuclide therapy requiring a written
2 directive. Unlike the iodine I-131 sodium iodide,
3 limited scope authorized user, under 10 CFR 35.392
4 and 35.394, which are for endocrinologists, the
5 emerging radionuclide therapies have multiple
6 contraindications and more toxicities versus the I-
7 131 sodium iodide, which is specific to one organ,
8 the thyroid gland for thyroid therapy.

9 It would be too cumbersome to develop and
10 provide oversight for specific training and
11 experience requirements within the regulations to fit
12 each radionuclide therapy. All of the classroom
13 laboratory training areas and work experience topics
14 contained in 35.390 are applicable to any
15 radionuclide therapy and are essential for radiation
16 safety of the patient, personnel, and public.

17 It would be difficult in defining a
18 limited scope authorization and what radionuclides or
19 radiopharmaceuticals are to be included. Each
20 therapeutic radiopharmaceutical has unique radiation
21 safety issues, which require a comprehensive
22 understanding of all of the training and experience
23 topics in 35.390, regardless of the types of radiation
24 emissions, chemical properties, or mode of
25 administration.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 During a public NRC meeting held on
2 December 11, 2018, a novel team approach was proposed
3 where an onsite authorized nuclear pharmacist, or
4 ANP, would prepare the radionuclide for therapy and
5 handled the radiation safety components, while the
6 limited scope authorized user would administer the
7 patient-ready dose and manage patient care.

8 The perceived benefits of an AU
9 partnership should be carefully reviewed. Although
10 well intended, a fragmented approach to a therapeutic
11 procedure can have the unintended consequence of
12 making things worse. Furthermore, if an onsite ANP
13 or authorized nuclear pharmacist is available, a
14 fully trained authorized user is also likely
15 available for the entire radionuclide therapies,
16 which are generally not on an emergent basis.

17 There are also fewer authorized nuclear
18 pharmacists than authorized users, and authorized
19 nuclear pharmacists are generally concentrated, as
20 are authorized users, in urban and not-rural areas.

21 The safe and effective administration of
22 radionuclide therapy is best accomplished by a
23 comprehensively trained physician authorized user who
24 is responsible for the entire therapeutic procedure
25 and who has a thorough knowledge and understanding of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the therapy, to include the various factors and
2 potential toxicities and serious hazards that can
3 occur to the patient, the personnel, and the public.

4 Topic 3, competency assessment for the
5 limited scope authorized user pathway. In the initial
6 limited stakeholder outreach, the majority of
7 respondents favored using an examination to confirm
8 the successful acquisition of 10 CFR 35.390 outreach
9 topics and to confirm the individual's competency to
10 independently function as comprehensive or limited
11 scope authorized user under 10 CFR 35.390. It is
12 also critical to validate that the proposed
13 curriculum was successfully attained.

14 For this confirmation and proficiency,
15 the NRC staff and the subcommittee agree that a
16 competency assessment is necessary. This assessment
17 should not be based on hours or preceptor
18 attestations, but, rather, on an initial and
19 continued competency evaluation over time.

20 The subcommittee supports broader input
21 from the medical community to create an AU competency
22 assessment with final approval by the NRC. The
23 subcommittee further supports the periodic
24 reassessment of authorized user competency,
25 particularly in relation to the frequent or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 infrequent performance of radionuclide therapy. This
2 infrequency concept has raised similar concerns in
3 board certification/ recertification process, and the
4 recertification process is generally seven to ten
5 years between recertification exams.

6 The American Board of Medical
7 Specialties, or the ABMS, is a nonprofit organization
8 of 24 medical specialty boards which serves the public
9 in quality health care through professional and
10 educational standards. And the ABMS has supported a
11 program of continuing professional certifications for
12 physician lifelong learning and self-assessment.

13 The American Boards of Radiology and
14 Nuclear Medicine are ABMS member boards. And to
15 promote continued professional competency for their
16 diplomats, the ABR and ABNM have transitioned to or
17 are transitioning to this continuous longitudinal
18 assessment.

19 In regards to radionuclide therapy, this
20 infrequency concept and procedure performance was
21 also reviewed by the subcommittee. Because of the
22 ability to eliminate and destroy tissue, therapeutic
23 radionuclide procedures pose a much higher risk to
24 the patient, personnel, and public than do diagnostic
25 procedures. The potential for limited scope AUs, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the higher likelihood that the infrequent performance
2 for radionuclide therapy in rural areas would make it
3 difficult for physicians to retain basic AU
4 competency in radionuclide therapy.

5 To attest to the successful acquisition
6 of the authorized user knowledge topics in 10 CFR
7 35.390, the overall limited stakeholder input and the
8 subcommittee support a formal competency
9 certification and a continuous certification process.

10 The goal of certification is to validate
11 that an authorized user candidate has achieved a
12 predetermined level of competence, and the current -
13 - this certification is to confirm the acquisition of
14 a basic knowledge curriculum and the ability to
15 independently function as an authorized user for
16 specific radionuclide therapy or therapies.

17 Although the subcommittee does not
18 recommend adoption of a limited scope AU pathway for
19 therapy, if the NRC pursues such a pathway, the
20 subcommittee strongly recommends an initial formal
21 competency assessment and competency reassessment
22 with ongoing longitudinal reassessment with specific
23 emphasis on radiation safety.

24 The entity or entities that will
25 administer this formal competency assessment and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reassessments must develop a methodology that ensures
2 that passing these examinations is empirically
3 determined. This latter aspect is the Angoff Method,
4 which is a widely used standard in test development
5 and creates a test that will be legally defensible
6 and meet the standards for educational and
7 psychological testing.

8 So, in summary, the ACMUI Subcommittee on
9 Training and Experience Requirements for All
10 Modalities addressed the NRC staff request to assess
11 the feasibility of a limited scope authorized user
12 pathway for 10 CFR 35.390, which was initially
13 predicated on the concern about a potential future
14 shortage of authorized users.

15 At the present time, there are no
16 objective data to support an authorized user
17 shortage. The subcommittee does not recommend the
18 development of a limited scope AU pathway for the
19 administration of unsealed byproduct material where
20 a written directive is required.

21 If the NRC moves forward in pursuing an
22 alternative limited scope authorized user pathway,
23 the subcommittee strongly recommends that the limited
24 scope authorized user must successfully acquire the
25 knowledge topics in 10 CFR 35.390, which would be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 minimum requirement for all authorized users involved
2 in radionuclide therapy.

3 The subcommittee also conclude that due
4 to the complexity and overlap in these basic knowledge
5 topics, it would be difficult to safely and
6 practically create specific categories for
7 therapeutic radiopharmaceuticals.

8 Despite the ACMUI Subcommittee
9 recommendation against this action, if the NRC
10 chooses to pursue the creation of a limited scope
11 authorized user pathway for unsealed byproduct
12 material where a written directive is required, the
13 subcommittee strongly recommends that the authorized
14 user candidate must acquire the basic knowledge
15 topics in 10 CFR 35.390 and satisfactorily complete
16 a formal competency assessment.

17 Furthermore, the individual's continued
18 status as a limited scope authorized user is dependent
19 on successfully maintaining a formal periodic
20 reassessment of competency. This final and most
21 critical component, and the attainment and
22 maintenance of any authorized user status, will
23 optimize patient care while ensuring the protection
24 of the public's health and safety.

25 So the Subcommittee position and four

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recommendations are the following. First, the
2 subcommittee strongly supports and reaffirms the
3 committee's 2016 position on maintaining the current
4 and existing authorized user pathway, which are the
5 board certification and alternate pathways as
6 codified in the regulations, which are adequate for
7 protecting public health and safety. Radionuclide
8 therapy poses the highest risk and the highest impact
9 of all nuclear medicine procedures.

10 Two, the Subcommittee concludes that
11 there is no objective data to confirm an authorized
12 user shortage.

13 Three, the Subcommittee does not
14 recommend a limited scope authorized user pathway for
15 unsealed byproduct material for which a written
16 directive is required.

17 And, four, the Subcommittee unanimously
18 agrees that in order to ensure the safety of patients,
19 personnel, and the public, if the NRC chooses to
20 pursue the creation of a limited scope authorized
21 user pathway for unsealed byproduct material, where
22 a written directive is required, the authorized user
23 candidate must acquire the basic knowledge topics in
24 10 CFR 390 and satisfactorily complete a formal
25 competency assessment.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Furthermore, the individual's continued
2 status as a limited scope authorized user is dependent
3 on successfully maintaining a formal periodic
4 reassessment of competency.

5 And that is the end of my report, and,
6 Dr. Palestro, I turn the meeting over to you.

7 CHAIR PALESTRO: Thank you, Dr. Metter.
8 This is Dr. Palestro again. I now open this report
9 to comments by members of the Subcommittee.

10 MEMBER DILSIZIAN: Vasken here. Great
11 presentation, Dr. Metter. I guess, to me, I'm just
12 going to summarize, if I get this correctly, what we
13 are proposing is to maintain the current AU pathway
14 training for 35.390. And not only maintain it, but
15 add not just training based on hours and attestation
16 alone, but additional competency assessment in this
17 formal test that would be concluding in a certificate,
18 which would be maintained subsequently by some number
19 of years where you have to be recertified. Is that
20 -- would that be the conclusion then?

21 VICE CHAIR METTER: That would be a
22 recommendation right now. The subcommittee was
23 looking at the feasibility of a limited scope
24 authorized user pathway. And these are some of the
25 recommendations we would make, and that would have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be for a future subcommittee investigation.

2 MEMBER DILSIZIAN: Thank you.

3 CHAIR PALESTRO: Any other comments from
4 members of the subcommittee?

5 MEMBER SHEETZ: This is Mike Sheetz. I
6 have a comment.

7 CHAIR PALESTRO: Go ahead, Mr. Sheetz.

8 MEMBER SHEETZ: I want to thank Dr. Metter
9 for pulling all of this together. I have a couple of
10 comments. One, in consideration of the limited scope
11 AU/ANP partnership, while the ANP could help as an
12 RSO, we feel that the AU must have a comprehensive
13 knowledge and understanding of the entire therapeutic
14 procedure.

15 This includes all of the radiation safety
16 issues associated with the procedure from package
17 receipt, dose assay surveys, radioactive waste
18 disposal, instrument QA, radiation safety training,
19 personal monitoring, and others.

20 In the United Pharmacy Partners AU/ANP
21 partnership proposal, there was no delineation of
22 tasks of who would be responsible for the aspects of
23 this therapy. You know, would the ANP be physically
24 present during the administration of the procedure?
25 Would they be onsite for a person to do special

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 surveys and waste disposal?

2 So the details of the shared
3 responsibility have not been addressed, so it's
4 difficult to make a determination of whether it is
5 really feasible. While there was a team approach
6 with other types of radiation therapy and medical
7 uses, such as the Y-90 microsphere therapy, gamma
8 radiosurgery, radioactive seed localization, the AU
9 really is knowledgeable in all of the areas and
10 supervises the other team members.

11 In one of the United Pharmacy Partners'
12 letters, they say that this limited scope AU status
13 is only being requested for alpha and beta therapies
14 and not high-risk materials. I feel this, in itself,
15 is just a limited understanding of the radiation
16 physics and radiation safety associated with all
17 radiopharmaceuticals used for therapy.

18 Most of these radionuclides also emit
19 photons, gamma rays, or X-rays, you know, very strong,
20 and the alpha and/or beta particles also present a
21 radiation risk, you know, if not handled or
22 administered properly.

23 In my personal experience here at the
24 University of Pittsburgh Medical Center, before the
25 FDA approval of Lutathera, we were one of the only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sites in the western PA region who offered this
2 therapy. At that time, we had quite a few referrals
3 that came from distant locations, roughly 40 percent.
4 However, now a year after FDA approval, we don't get
5 those referrals. Almost all of our patients are from
6 the local area.

7 Also, our volumes have plateaued and
8 slowly dipped as many patients have been -- who have
9 been waiting for the therapy have received their
10 treatments. The point is, patients travel to get
11 medical care. Another important factor that needs to
12 be considered is that the lack of Lutathera
13 availability in many places is not because of an end
14 use shortage but the reluctance of many hospitals or
15 clinics to do Lutathera therapy because of its upfront
16 cost, which is upwards of \$200,000 per patient in
17 drug cost for the four treatment, which obviously can
18 easily break the bank for smaller community entities,
19 even with one or two insurance ties.

20 In addition, the manufacturer of
21 Lutathera, AAA, if necessary with their applications,
22 if selective and a good institution, it will help set
23 up a program based somewhat on the expected volume of
24 patients.

25 So I believe the core program on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 availability is really the extremely high cost of
2 these drugs and not the availability of AUs.

3 Thank you.

4 CHAIR PALESTRO: Thank you, Mr. Sheetz.

5 Any other comments from members of the
6 Subcommittee? Ron?

7 MS. HOLIDAY: Dr. Ennis, before you speak
8 -- this is Sophie Holiday -- for everybody else on
9 the phone, I just want to let you know that the
10 information that Mr. Sheetz was referring to was a
11 letter submitted to the NRC on February 20th from
12 UPPI, and that comment letter will be made publicly
13 available when it is appended to the meeting
14 transcript as part of the official record.

15 Thanks. I'll turn it back to you now,
16 Dr. Ennis.

17 MEMBER ENNIS: Thank you. Just kind of
18 a reflection. In these recommendations that people
19 have put forward, I see a very lack of the
20 understanding of the biological issues surrounding
21 radiotherapy and the complications thereof.

22 There seems to be some kind of implicit
23 understanding or -- that these are trivial drugs that
24 anyone can learn how to do, and it's just a technical
25 application and a technical issue of this injection

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rather than understanding the complex biology and how
2 radiation interacts with a variety of tissues.

3 Its interaction with each tissue is
4 unique. The complications that can cause -- that it
5 can cause, both acutely and chronically, are unique.
6 It's a level of subspecialization that [inaudible],
7 the full education, has listed in the listing of
8 topics. To imply otherwise would be somewhat similar
9 to suggesting that a primary care doctor could do
10 chemotherapy. The analogy is really quite similar,
11 but obviously possible.

12 Thank you.

13 CHAIR PALESTRO: Thank you, Dr. Ennis.

14 Any other comments from members of the
15 subcommittee?

16 MEMBER WEIL: Yes, please. This is Laura
17 Weil.

18 CHAIR PALESTRO: Go ahead, Ms. Weil.

19 MEMBER WEIL: The primary role of
20 regulation is to ensure safety. And it's true that
21 the -- that regulation can limit access to some people
22 who can't travel for care, and that is a legitimate
23 barrier. However, it's not a question of whether
24 those barriers exist. It's more a question of whether
25 the regulatory standards that create those barriers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are unnecessarily restrictive or ethically
2 responsible -- or nor is it the role of the regulator
3 to attempt to increase access by compromising
4 necessary safeguards that protect patients, their
5 families, health care providers, and the public.

6 Thank you.

7 CHAIR PALESTRO: Thank you, Ms. Weil.

8 Any other comments from other members of
9 the Subcommittee? Comments from members of the ACMUI?

10 MEMBER MARTIN: This is Melissa Martin.
11 Again, I would like to refer to the letter that was
12 submitted from the UPPI. A couple of comments after
13 reviewing that letter. As presented earlier, one of
14 the requests was that we look at the distribution of
15 authorized -- number of authorized users and the
16 geographic distribution.

17 In the letter from the UPPI, they
18 suggested that there would be a better geographic
19 distribution of nuclear pharmacists than there would
20 be of authorized users. Again, there has been no
21 data submitted to support that statement. I think we
22 would need to see some kind of data that shows where
23 the nuclear pharmacist would be distributed.

24 I know the NRC is working on collecting
25 the data for where the authorized users are located.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But, again, if we have 4,000 authorized users, I think
2 there is a better geographic distribution already. I
3 think -- my impression is most of those authorized
4 users are connected to relatively moderate to large
5 size cities, and the patients -- again, that was
6 stated earlier -- the ones that live in the rural
7 areas are used to traveling and getting
8 accommodations to get their therapy.

9 So I don't see that that has been a big
10 -- I don't think that is the impediment to receiving
11 some of these treatments.

12 The proposal is that it would increase
13 access to the rural access -- rural areas, but there
14 has been no proposed limitation on these procedures
15 being performed by the team approach, limited to the
16 rural areas. So it would assume that a lot of these
17 procedures would be performed in the same geographic
18 mid-to-large sized cities that is currently being
19 performed.

20 So I don't see that that would -- I don't
21 understand how that would be restricted to rural
22 access.

23 One of the other questions I had was,
24 what is meant by minimally trained physician that
25 would be administering the isotope in the proposed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 team approach? Thank you very much.

2 CHAIR PALESTRO: Thank you, Ms. Martin.

3 Any other comments from the ACMUI?

4 MEMBER GREEN: Dr. Palestro?

5 CHAIR PALESTRO: Yes.

6 MEMBER GREEN: This is Richard Green.

7 CHAIR PALESTRO: Yes, Mr. Green. Go
8 ahead.

9 MEMBER GREEN: The Subcommittee's
10 position and recommendations are four bullets on the
11 document. The last bullet says that the Subcommittee
12 unanimously agrees, in that fourth bullet. Were the
13 three prior bullets unanimous decisions?

14 VICE CHAIR METTER: This is Darlene. Yes,
15 the Subcommittee -- actually, every member was given
16 the document and they all agreed and we could actually
17 add that, too, unless there is -- I misunderstood the
18 Subcommittee, and that when they submitted their
19 comments they did not agree, but I -- they did not
20 relate that, but we wanted to make an emphasis on
21 that last point because that is a very important
22 point.

23 I mean, they're all important, but that
24 was a key component in assessing the competency of
25 the individual who is going to be an authorized user.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And can I just ask the Subcommittee, was
2 that correct or was it -- I mean, is that a correct
3 reflection of our decision?

4 PARTICIPANT: Absolutely.

5 MEMBER SCHLEIPMAN: Robert Schleipman. I
6 totally agree. I think we all -- including for
7 myself, I fully agree with the recommendations.

8 PARTICIPANT: Yes, it was unanimous from
9 our discussions.

10 VICE CHAIR METTER: Thank you.

11 MEMBER GREEN: Thank you, Dr. Metter.
12 Another question I have. I agree completely that the
13 requirements for complete knowledge of all aspects of
14 10 CFR 35.390 are required for any physician who
15 administers radionuclide therapy. I don't think
16 there is any ability to skimp on that full, complete
17 knowledge.

18 It is my understanding that during the
19 course of training through one of the approved
20 pathways to become a diplomate in nuclear medicine,
21 nuclear radiology, radiation oncology, or diagnostic
22 radiology, that that training period encompasses more
23 than the 10 CFR 35.390.

24 Can you give an estimate of what time is
25 spent on 35.200 and other nuclear medicine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 techniques, imaging, uptake, dilution, and excretion,
2 other than radionuclide therapy?

3 VICE CHAIR METTER: Well, they have -- as
4 far as it's all incorporated into 48 months of
5 diagnostic radiology. But looking at the authorized
6 user status, we are really mainly just looking at the
7 equivalent training and experience requirements for
8 35.390, which is for the use of unsealed sources for
9 therapy and not for imaging and localization or
10 dilution and excretion.

11 MEMBER GREEN: Yeah. I thought the
12 charter --

13 VICE CHAIR METTER: Yeah. I'm sorry.
14 The other thing, too, we're not looking at hours. As
15 I mentioned in the report, we're not looking at hours.
16 We're looking at level of competency and ability to
17 acquire that knowledge and use that knowledge safely
18 for our patients.

19 MEMBER GREEN: All right. I'm just trying
20 to -- you know, I thought the challenge to the
21 Subcommittee was to discern in the training and
22 experience for all modalities. And so this is what's
23 required to successfully do 35.200, 35.100, 35.300
24 applications for radiopharmaceuticals.

25 And I -- I think I am seeing a resounding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 support of the existing pathway, but I don't really
2 think I see a dissection of really what training and
3 education is required for this 35.300 versus 35.200
4 versus 35, you know 100. And that's what I thought
5 was the charge to the Committee -- to the
6 Subcommittee.

7 VICE CHAIR METTER: Mr. Green, the
8 Subcommittee is supposed to look at 35.300, but this
9 specific report addresses 35.390 and not the entire
10 35.300.

11 MEMBER GREEN: Thank you.

12 VICE CHAIR METTER: And that is
13 throughout the report, by the way.

14 MEMBER DILSIZIAN: Vasken here. Can I
15 have a comment?

16 VICE CHAIR METTER: Yes.

17 MEMBER DILSIZIAN: So, Dr. Metter, you
18 know, I think I know what you're intending to say,
19 but looking at this slide, and then in your statement
20 when you say, We are not looking for our self-
21 competency. I think what you wanted to say is, we're
22 not just looking for hours and attestation alone, but
23 an added competency assessment.

24 I think that it's important to just say
25 -- because the way it comes out both in the slide and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 your verbal statement, that if there is no minimum
2 hours, I think we -- we will understand there has got
3 to be some number of hours of training, and then it
4 falls with the competency test. Is that correct,
5 what I'm hearing, because that's not very clear.

6 VICE CHAIR METTER: No. Exactly what I
7 said, that we are not looking at hours because hours
8 can be - it's different for different individuals.
9 We're looking at the final result.

10 It's sort of like -- I believe I made the
11 same analogy during our meeting last year in the sense
12 of if you -- if you are taking your driver's license
13 -- you wanted to obtain a driver's license, and the
14 way you obtain it is -- the final result is going to
15 be the driver's license.

16 And whether you obtain it by going to a
17 driving school or going to a course with your parents
18 or with a different individual, if you -- if you learn
19 the basic requirements and the basic fundamental
20 knowledge and experience that you need to pass an
21 exam to get your certification, is what this is
22 implying. This is a new pathway in the
23 sense, if it's a limited scope, it's not like a
24 traditional one that we've done before. So I think
25 we need to really look at it very seriously because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hours can be, you know, different for your number of
2 training hours. You can learn quicker than I can,
3 but I want to know the final result, that you are a
4 competent individual for the administration of the
5 radiopharmaceutical therapy for the patient and for
6 those involved with -- and the public.

7 And so, to me, the final result is that
8 you are able to do what is needed for our patients.

9 MEMBER DILSIZIAN: Well, the problem I
10 guess I have is that any ACGME training program, where
11 you're doing internal medicine, you're doing
12 radiology, or radiation oncology, has, first, a
13 minimum number of years of training or hours of
14 training for/by board certification.

15 You can't possibly ignore the 700 hours
16 requirement, plus competency. This is what you -- or
17 what I'm hearing you say is that let's forget about
18 the 700 hours. Some people can do it in 50 hours, as
19 long as they pass the test, and I'm having trouble
20 with that. I think my recommendation would be to
21 maintain a certain number of hours, years, or whatever
22 it takes for an average person to be trained for/by
23 a competency test. Otherwise, I think that 700 --
24 what you are proposing, 700 hours, doesn't mean
25 anything. Maybe someone can do it in 50 hours.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 VICE CHAIR METTER: I understand what
2 you're saying.

3 CHAIR PALESTRO: Dr. - I'm sorry, Dr.
4 Dilsizian?

5 MEMBER DILSIZIAN: Yes.

6 CHAIR PALESTRO: Yeah. Hi. Dr. Palestro
7 speaking now. At this point, your comments are sort
8 of moot because the Subcommittee has recommended
9 against the creation of the limited scope authorized
10 user status.

11 But going back a bit -- and do you
12 remember this -- this committee and these discussions
13 have been evolving over time. The concept was to --
14 if and when a program is -- a limited AU program is
15 in fact developed, to develop and to create a
16 curriculum, if you will, with all of the competencies
17 that need to be met, and then after that to go back
18 and determine hours that would be required to complete
19 it, because as I think we all agree at this point, we
20 have no idea how the 700 hours was arrived at.

21 And so rather than focusing on hours, the
22 concept would have been develop a program, make sure
23 it has all of the elements necessary to educate and
24 to develop a competent AU, and then after that figure
25 out how to -- how to translate that into hours.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER DILSIZIAN: So, again, I guess I
2 just wanted to -- I think Mr. Green said this before
3 me. I guess I would have expected the subcommittee
4 to actually designate some hours to the current
5 curriculum that would sum up to some number that may
6 be 700 or 500 or 550, so that we can be reassured, as
7 would be the NRC staff, that these numbers are in the
8 cost ballpark of what it would take for someone to be
9 adequately trained.

10 CHAIR PALESTRO: Dr. Metter, would you
11 like to respond to that?

12 VICE CHAIR METTER: I would defer to you.

13 CHAIR PALESTRO: Thank you, Mr. Metter.

14 VICE CHAIR METTER: Anytime.

15 CHAIR PALESTRO: The answer is, again, I
16 think right now for the moment it is a moot point,
17 since the subcommittee has recommended against it.
18 Should the NRC decide to go forward with it, as the
19 subcommittee has indicated, they clearly would like
20 the opportunity to actively participate in the
21 development of such a program.

22 MEMBER GREEN: Dr. Palestro?

23 CHAIR PALESTRO: Yes.

24 MEMBER GREEN: This is Mr. Green again.
25 So with the recommendation not at this time to do a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 limited AU status, will the subcommittee, or the
2 committee as a whole, take the challenge, as Dr.
3 Dilsizian has asked, to actually dive into the concept
4 and figure out how many hours -- what does it take to
5 learn this concept, that concept, this fact, this
6 methodology, so that we can actually better
7 substantiate that engraved-in-stone sort of -- we
8 don't know where it came from, but if we are asked by
9 staff or by the Commissioners to develop other
10 pathways or to validate the existing pathway, are we
11 going to be going through the exercise?

12 CHAIR PALESTRO: The answer is, when the
13 subcommittee was originally formed -- and, in fact,
14 if you look at the title of the subcommittee -- it is
15 training and experience for all modalities. And the
16 concept was -- and as Dr. Metter enumerated in her
17 report, we developed a template. We looked at 100,
18 and our plan was to go to 200, and then 300, but we
19 were -- or the subcommittee, I should say, was
20 redirected to focus on 390.

21 So the answer is, the concept for the
22 subcommittee is at some point to return to its
23 original charge and begin to look at the various
24 categories. And I would presume that the subcommittee
25 will take into account hours and necessary, for lack

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of a better term, items that individuals need to be
2 knowledgeable in.

3 MEMBER GREEN: Dr. Metter, you are
4 currently the chair of the subcommittee. Would you
5 agree with that?

6 VICE CHAIR METTER: Yes, Dr. Palestro, I
7 do. And I also think, as far as if we do look back
8 at how these 700 hours were obtained, it may actually,
9 with the increasing complexities of the radionuclides
10 that are coming out for therapy, that the - we're
11 assuming that the hours are going to decrease.

12 The hours may -- we don't know what the
13 hours will do. They may actually increase because
14 you now have to know more about what -- about the
15 newer therapies and their toxicities and adverse
16 effects and effects on the patient and their -- and
17 the long-term consequences.

18 So that would be something we'd have to
19 look at. But at this point in time, I don't think we
20 are looking at that because, as you said, we did not
21 support a limited scope AU pathway.

22 CHAIR PALESTRO: Thank you, Dr. Metter.

23 Any other comments from the ACMUI?

24 MEMBER DILSIZIAN: Vasken here. I just
25 want to go on the record just to kind of let us know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that despite -- I agree with all of what was stated
2 so far. It would have been nice to have an
3 approximation of the hours dedicated to the current
4 required training -- current, not the -- not the
5 shortened pathway.

6 That would be close to 700 hours, and so
7 it would then reassure all of us on this call, as
8 well as the NRC Commissioners and the staff, that we
9 are not that far apart from what is currently
10 required.

11 CHAIR PALESTRO: Thank you, Dr.
12 Dilsizian. But, again, I just want to point out that
13 was not the charge or the subcharge that was given to
14 the subcommittee.

15 Any other comments/questions from the
16 ACMUI?

17 MEMBER OUHIB: Yes. This is Zoubir Ouhib.
18 My comment is really we seem to be focusing a little
19 bit on the numbers, and I'm a little bit concerned
20 about that because, really, going back and seeing
21 what it takes in -- you know, on the big picture, you
22 know, in terms of patient evaluation, pre-treatment,
23 and so on and so forth, and then the treatment itself,
24 it's not just an injection. There is a lot more that
25 goes into it than that, and then the patient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 management after the fact, and so on and so forth.

2 So I think looking at what an authorized
3 user has to go through in terms of education,
4 training, and so on and so forth, and exams and so
5 on, I think that number is -- well, is irrelevant in
6 my opinion because it's very easy to look at that and
7 figure out that it takes a lot more than the 700
8 perhaps.

9 CHAIR PALESTRO: Thank you, Mr. Ouhib.

10 Any other comments or questions from the
11 ACMUI? Dr. Metter, I have a question for you. The
12 subcommittee concluded that there are no objective
13 data to support an AU shortage at the present time.
14 We have a list of data, table of data, in which we
15 have about 270 new graduates per year.

16 How did you come to that conclusion? In
17 other words, what data did you use? Did you attempt
18 to determine the number of AUs throughout the United
19 States and then look at the number per 100,000 people
20 and determine that's sufficient or insufficient?
21 Exactly how did you arrive at that conclusion?

22 VICE CHAIR METTER: Well, there is -- you
23 know, those are the numbers that we have. And as we
24 had previously stated, most of these are concentrated
25 in urban areas and where the facilities and resources

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are available for the safe use of the radionuclides.

2 And there is really no objective data for
3 that, and it was -- it was -- there is no objective
4 for that -- objective data regarding your question.

5 CHAIR PALESTRO: Okay. So this was sort
6 of I guess an intuitive approach or an intuitive
7 conclusion based on discussions among members of the
8 subcommittee?

9 Types of things that I would be
10 interested in that would make me feel more comfortable
11 with this sort of conclusion would be the following.
12 What are the trends over time if we look at the
13 specialties? Have the number of individuals being
14 certified, are they increasing, decreasing? Are they
15 remaining the same?

16 You mentioned briefly individuals
17 retiring, but did you do any -- any sort of
18 calculations, for lack of a better word, to see
19 whether or not the number of new graduates or newly
20 certified individuals are compensating for retirees,
21 that sort of thing?

22 And then the other issue, of course, that comes
23 up, and we have talked about lutetium-177, and there
24 has also been another agent that was approved last
25 July, the I-131 MIBG, what about the numbers of these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 unsealed source radiopharmaceutical therapies?

2 Where are they in terms of total numbers?
3 Are they decreasing? Are they plateauing? Are they
4 increasing? Those are the sorts of data that would
5 make me feel more comfortable about accepting the
6 conclusion that there is nothing to suggest an
7 authorized user shortage at this time.

8 VICE CHAIR METTER: Those are very good
9 points, Dr. Palestro. I think looking at numbers can
10 be for or against an argument. And if you say you
11 have one authorized user for X number of patients,
12 that would be assuming that the distribution of
13 patients is equal and homogeneous throughout that
14 territory, which of course, as I mentioned earlier,
15 it's not because they're generally concentrated in
16 urban areas.

17 So, in itself, the distribution of the
18 population is not equal. And as far as the number of
19 retirees, that is not available, and I don't know how
20 that could be available. But as far as we know, I
21 can give you the number of practicing authorized users
22 by the American Board of Nuclear Medicine that they
23 obtained -- that I obtained from them, and we can
24 look at the number of authorized user graduates from
25 these programs.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We do not know -- and I don't believe the
2 NRC collects data on exactly what authorized users do
3 what therapies. And, you know, that, unfortunately,
4 is not available. It would be my desire to have that
5 available, so we can be -- make a more educated
6 summary of what your questions pose. But at this
7 point in time, the data is not available.

8 CHAIR PALESTRO: Okay. That doesn't
9 surprise me because we -- this has come up on numerous
10 occasions in the past. And that is one of the reasons
11 that I am concerned, because as we all know, there is
12 -- it takes a long time to effect changes in rules
13 and regulations, and so forth.

14 And my concern has been, continues to be,
15 that I would much prefer to be proactive rather than
16 reactive. And by that I mean I would not like to
17 find out two or three or four years from now that, in
18 fact, there is a shortage, and now we need to do
19 something about it, rather than potentially being
20 proactive and working on developing a plan to prevent
21 that, if you will, a preemptive plan.

22 So that's my concern about your
23 conclusion, and it may be that there is no way to get
24 more substantive data, and that's the way it is. But
25 thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 VICE CHAIR METTER: So as far as numbers
2 go, there are an increasing interest for -- I know
3 for at least radiology residents to enter the pathway,
4 the redesigned pathway into nuclear radiology
5 positions, because of the increasing therapy.

6 The other thing is I believe your data,
7 you know, is something that we need to look at. But,
8 again, it's unavailable, and I think that if you look
9 at the number of authorized users that are going to
10 be coming out of the next four years, there will
11 actually be another 1,000 at least. And so that is
12 going to increase it by, you know, another 1,000 for
13 the 3,600 currently available.

14 And is there -- there is no objective
15 data, like you said, that there is a potential --
16 that there is a current authorized user shortage.

17 And we do have to remember our patients.
18 As Ms. Weil mentioned that, you know, there is,
19 unfortunately, a perhaps geographic barrier regarding
20 the accessibility of certain therapies and
21 treatments, but that's not the specifics for nuclear
22 medicine. It's for any medical and health therapy.

23 And, you know, it's our responsibility as
24 regulators to protect the public, and I think
25 protecting the public is to have a confident

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 individual who understands the entire therapy to be
2 the one that is going to be responsible for the
3 patient.

4 CHAIR PALESTRO: Well, I certainly agree
5 with that and your comment that over the next four
6 years there is going to be an increase of 1,000
7 authorized users, give or take. Again, that comment
8 fails to take into account retirees. There are
9 clearly people who are retiring, and I have no idea
10 what that number is. So while it may remain the same,
11 it may increase by a couple hundred, it may decrease
12 by a couple hundred. I'm not sure, but, again,
13 without those data, I would not assume that the number
14 is going to increase by 1,000 over the next four
15 years.

16 VICE CHAIR METTER: Well, all that you
17 know is that the number of authorized users coming
18 out will be another 1,000. We don't know, like you
19 said, how many are going to retire. But at least the
20 number -- you know, there is an increasing number of
21 available authorized users.

22 CHAIR PALESTRO: Okay. Any other
23 comments from the ACMUI?

24 MEMBER ENNIS: Yes. Dr. Palestro, this
25 is Ron.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR PALESTRO: Yes, Dr. Ennis.

2 MEMBER ENNIS: So just -- I have a little
3 more specific information about the radiation
4 oncology piece in terms of distribution, and ASTRO is
5 actually putting together a heat map of distribution
6 across the country.

7 And for the part of our radiation
8 oncology practices that involves the external
9 radiation and treatments, those are, as you might
10 imagine, even more challenging in the sense the
11 patients have to come for daily treatments as opposed
12 to a single or a periodic injection, and yet we are
13 not seeing, you know, any groundswell of concern of,
14 you know, tremendous shortages in the rural areas.

15 Although there are challenges to
16 practices in radiation oncology in rural areas, there
17 is no overwhelming sense of lack of access, so that
18 that would suggest that there are AUs even in the
19 more rural parts available for the nuclear medicine
20 aspects of radiotherapy as well.

21 CHAIR PALESTRO: Thank you, Dr. Ennis.

22 Any other comments from the ACMUI?

23 MEMBER GREEN: Dr. Palestro, this is
24 Richard Green. I just want to, again, repeat your
25 concerns about being proactive versus reactive.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Personally, I am involved in seven radionuclide
2 therapy investigational agents that are across the
3 gambit from AML to two for prostate cancer, non-
4 Hodgkin's lymphoma, and there is -- again, I am
5 dealing with seven.

6 So there is a high likelihood that we're
7 going to see multiple radionuclide therapies coming
8 out for a multitude of different targets, cancers, in
9 the next two or three years.

10 Thank you.

11 CHAIR PALESTRO: Thank you, Mr. Green.

12 Any other comments from the ACMUI?

13 MEMBER OUHIB: Yes. Hi. This is Zoubir
14 Ouhib. Just a general comment looking at, you know,
15 can you imagine having 1,000 users doing one case
16 every six months versus having, you know, 500
17 qualified authorized users that will do multiple
18 cases regularly? Their expertise, their skills, and
19 all of that, will be much, much better, and, sure
20 enough, will most likely have less issues and
21 complications. So that's my take.

22 CHAIR PALESTRO: Thank you, Mr. Ouhib.

23 Any other comments from the ACMUI?

24 MEMBER SHEETZ: This is Mike Sheetz.

25 CHAIR PALESTRO: Yes, Mr. Sheetz.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER SHEETZ: The availability of AUs
2 is one issue. But, really, the issue is the number
3 of institutions or licensees, and so one of the
4 questions would be, is the current number of
5 institutions or licensees, you know, insufficient to
6 provide these therapies?

7 And, again, I'll go back to a comment
8 made earlier. These new targeted therapies are very
9 expensive. They are complex. They require a program
10 to be developed and set up, you know, usually with
11 the manufacturer. And so it's not something that can
12 be set up at every clinic. It's going to be set up
13 at existing hospitals that have nuclear medicine and
14 radiation oncology programs, for which I assume there
15 will be a sufficient number of AUs, you know, who
16 will meet the requirements.

17 Thank you.

18 CHAIR PALESTRO: Thank you, Mr. Sheetz.

19 Any other comments from the ACMUI?
20 Hearing none, at this point, I would like to open up
21 the phone lines to the public.

22 MS. AYOADE: Dr. Palestro?

23 CHAIR PALESTRO: Yes.

24 MS. AYOADE: This is Maryann Ayoade from
25 NRC. I just wanted to clarify, and I would put up a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 slide with regards to the NRC specialty boards that
2 are recognized, as I know you are aware. But I just
3 wanted to clarify that the current certification
4 boards that recognize the NRC for 390 training are
5 the American Board of Nuclear Medicine Certification,
6 the American Board of Radiology for Radiation
7 Oncology, and the American Osteopathic Board of
8 Radiology for Radiation Oncology.

9 With that being said, with regards to
10 this next slide, there is -- there are a number of
11 authorized users in training. We would be looking at
12 -- currently, the ones that recognize the NRC would
13 be the nuclear medicine and the radiation oncology.

14 CHAIR PALESTRO: Correct.

15 MS. AYOADE: For the nuclear radiology,
16 they still have an application with it, so they are
17 not -- they are still not recognized.

18 And then, like Dr. Metter mentioned, the
19 redesigned DR is still in the works. I just wanted
20 to clarify that.

21 CHAIR PALESTRO: Okay. Thank you.

22 OPERATOR: Okay. Thank you. We will now
23 begin the question and answer session. If you would
24 like to ask a question, please press star followed by
25 one, unmute your phone, and record your name clearly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 If you need to withdraw your question, press star
2 followed by two.

3 Again, to ask a question, you would press
4 star followed by one. It will take a moment for the
5 questions to come through. Please stand by.

6 MS. HOLIDAY: Before we turn it over to
7 the public comment -- this is Sophie from the NRC,
8 and I'd like to ask a question for clarification.

9 OPERATOR: Sure.

10 MS. HOLIDAY: Earlier, Dr. Dilsizian, you
11 made a comment regarding if the subcommittee's
12 recommendation was that there would be a number of
13 training and experience hours in addition to
14 competency, and Dr. Palestro stated that the
15 subcommittee's recommendation was that we would
16 maintain the current and existing AU pathways, but
17 that if the NRC decided to move forward with the
18 limited scope AU pathways, then the subcommittee
19 would recommend that there be a competency
20 assessment.

21 However, Dr. Palestro, he went forward in
22 saying that the subcommittee's recommendation was
23 that there would be a curriculum developed, and then
24 based on the topics being covered in the curriculum,
25 a number of hours would be determined as a result of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that curriculum.

2 So my question is, if that is indeed the
3 recommendation from the Subcommittee, that is not
4 reflected in the subcommittee report. What the report
5 does say is that if -- you know, like as I said, NRC
6 decides to move forward with this, there should be
7 competency assessments, which should not be based on
8 hours or separate attestations.

9 So I think maybe this goes to what Dr.
10 Dilsizian was saying, if this is in fact what the
11 subcommittee is recommending, that there be a
12 curriculum, and then from the curriculum a
13 delineation of hours, then the report would need to
14 be revised to reflect that.

15 Is that, in fact, the Subcommittee's
16 position?

17 CHAIR PALESTRO: Sophie, this is Dr.
18 Palestro. Thank you for pointing that out to me.

19 And, Dr. Metter, really this question is
20 for you and the subcommittee.

21 VICE CHAIR METTER: Well, that part of it
22 has not been discussed with the subcommittee. And
23 right now, this report is that right now we don't
24 recommend hours. But we do recommend that the
25 curriculum be established, and right now we do not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recommend the limited scope AU pathway.

2 We would be happy to work with NRC staff
3 if the NRC decides to go on a limited scope pathway,
4 and that will be determined at that time.

5 MS. HOLIDAY: So my understanding is that
6 you are saying, again, that the subcommittee does not
7 recommend the development of the limited AU pathway.
8 However, should the NRC move forward, then the ACMUI
9 would like to work with the NRC to develop such a
10 curriculum?

11 VICE CHAIR METTER: Yes.

12 MS. HOLIDAY: Okay. Thank you.

13 MEMBER DILSIZIAN: Vasken here. Just --
14 can I just add one comment? A curriculum has been
15 forwarded I think to us -- by the Society of Nuclear
16 Medicine and Molecular Imaging of what entails to
17 have the training to be an authorized user, correct,
18 a 700-hour pathway? It's just that the curriculum
19 hasn't been assigned hours; is that correct? We do
20 have a curriculum in hand.

21 VICE CHAIR METTER: The subcommittee will
22 have to look at that curriculum and review it.

23 MEMBER DILSIZIAN: Okay.

24 CHAIR PALESTRO: All right. There being
25 no other comments, if we can move ahead to comments

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 from the public?

2 OPERATOR: Our first question is coming
3 from Carol Marcus. Your line is now open. You may
4 begin.

5 DR. MARCUS: Thank you very much. I am
6 a nuclear medicine physician from UCLA. I have two
7 comments. One is, since the SNMMI, the ACNM, the
8 ACR, ASTRO, and the ACMUI have all recommended against
9 this NRC rulemaking, it would be very strange to me
10 if the NRC, which has no medical competence
11 whatsoever, would go against the unanimous opinion of
12 the medically competent groups involved. That's my
13 first comment.

14 My second comment is that most of these
15 therapies require sophisticated imaging studies ahead
16 of time to ascertain whether the patients are good
17 candidates for the therapies. And this kind of
18 sophisticated imaging is found in urban areas. It is
19 not found in rural areas either. And since the
20 patients are going to have to have sophisticated
21 imaging procedures in urban areas, they certainly
22 might as well get their therapy there.

23 Nobody has talked about how they would
24 get these sophisticated imaging studies in a rural
25 area with some limited-competence doctor giving the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 therapy. And it doesn't make sense. They have to
2 have the diagnostic tests first, in most cases.

3 That's the end of my comment.

4 CHAIR PALESTRO: Thank you, Dr. Marcus.

5 Other comments from the public?

6 OPERATOR: Yes, sir. Our next question
7 is going to come from Dr. Paul Wallner. Your line is
8 now open.

9 DR. WALLNER: Thank you. My name is Dr.
10 Paul Wallner. I'm a practicing radiation oncologist.
11 I'm speaking today on behalf of the American College
12 of Radiology.

13 The ACR represents approximately 38,000
14 members, including diagnostic and interventional
15 radiologists, nuclear medicine physicians, radiation
16 oncologists, and medical physicists, many of whom are
17 authorized users, authorized medical physicists, or
18 radiation safety officers.

19 We commend the ACMUI Subcommittee for its
20 thoughtful review of this controversial topic, and we
21 generally concur with the subcommittee's analysis and
22 recommendations.

23 I would like to make three comments
24 related to some of the discussion earlier today. One
25 is that there is definitely an increase in the number

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of nuclear radiologists, diagnostic radiologists, who
2 are authorized user-eligible, and radiation
3 oncologists. And that number is based on increasing
4 actual real numbers and increasing number of training
5 programs. Those numbers do exceed the number of
6 retirees.

7 Secondly, related to the issue of hours,
8 I would urge the Commission to avoid placing specific
9 hours on any of these core competencies. The ACGME
10 requires that all of its training programs review
11 core competency of all trainees at least twice a year.
12 Residents cannot advance to the next level of training
13 unless they have fulfilled all of those competencies.

14 And a third issue related to the new --
15 potential new agents in the pipeline, I would suggest
16 that history would suggest that many of these agents
17 will never reach the marketplace, number one. And,
18 number two, as we are seeing over the last 30 or 40
19 years, except for iodine-131, many of these agents
20 enter the marketplace and then are quickly changed -
21 - it is quickly eliminated by disruptive technologies
22 or interventions.

23 With regard to topic 3 of the
24 subcommittee report, I would note that the American
25 Board of Radiology and the American Board of Nuclear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Medicine currently employ maintenance and
2 certification programs to facilitate career-long
3 assessments for its diplomates, and their previous
4 issues are evaluated and assessed on an ongoing basis.

5 We strongly agree with the subcommittee
6 recommendation against adoption of a limited scope AU
7 pathway mechanism that we believe would fail to
8 provide reasonable assurance of the adequate
9 protection of health and safety. Moreover, any such
10 regulatory changes would greatly increase risk and
11 introduce unintended consequences, conflicts, and
12 burdens that would far outweigh the theoretical and
13 unrealistic rural access improvements suggested by
14 drug manufacturers.

15 We hope that the NRC staff future
16 recommendations will prioritize health and safety in
17 radiopharmaceutical therapy, and follow the advice of
18 the ACMUI members and patient care experts.

19 Thank you for this opportunity.

20 CHAIR PALESTRO: Thank you. Other
21 comments?

22 OPERATOR: Yes, there is. Our next
23 comment is coming from Bennett Greenspan. Your line
24 is now open.

25 DR. GREENSPAN: Thank you. Can you hear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 me?

2 CHAIR PALESTRO: Yes.

3 DR. GREENSPAN: I just want to make a few
4 comments. First of all, I agree with the assessment
5 from the subcommittee, and I agree with their
6 recommendations. I also agree that a competency
7 assessment is a far better approach than number of
8 hours. I also agree that the NRC is charged with
9 protecting the public, and that's good, and that's
10 why in rural areas with people with limited abilities,
11 that's really not sufficient, and I think patients
12 would not be protected being treated by physicians
13 who don't really understand what they are dealing
14 with.

15 As far as a curriculum, I am not
16 representing the Society here, but I did formulate a
17 curriculum for the Society of Nuclear Medicine and
18 Molecular Imaging, which was submitted last summer,
19 and that is one -- one example of a curriculum. I
20 think the ACMUI may be developed one also.

21 Numerous societies are against having a
22 limited scope pathway. As Carol Marcus suggested,
23 that includes now ACMUI and the board certifications
24 ABNM and ABR, but also SNMMI, ACNM, ACR, ASTRO, which
25 you all mentioned previously, and also AAPM, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 American Association of Physicists in Medicine.

2 I'm not specifically representing them
3 here either, but all of these societies recommend
4 against a limited scope authorized user pathway, and
5 I agree with that as well.

6 Thank you very much.

7 CHAIR PALESTRO: Thank you. Other
8 comments?

9 OPERATOR: We do have another comment.
10 This next participant, we do not have your name
11 recorded, but your line is open.

12 DR. RAZMARIA: Hello? Can you hear me?

13 CHAIR PALESTRO: Yes.

14 DR. RAZMARIA: Hi. Yeah. My name is
15 Aria Razmaria. I am a senior resident training in
16 nuclear medicine. As training physicians, we
17 appreciate the efforts by the ACMUI to provide insight
18 into the concerns of shortage of authorized users and
19 bring data into the discussion rather than just
20 assumption.

21 As to just physicians, our primary
22 obligation is toward the patients. Radionuclide
23 therapies are highly proven therapies with high
24 potential for serious side effects. We appreciate a
25 strong recommendation towards maintaining the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 training and experience requirements, but are puzzled
2 by discussion of the option of a possibility of NRC
3 -- (telephone interference).

4 NRC is charged with radiation safety of
5 the public. As physicians, we are tasked with a
6 responsibility towards each and every patient, and
7 high quality of care. We have undermined the
8 authority and it causes confusion in the
9 recommendation. If there is a clause mentioned in
10 the staff recommendation, it is not solid.

11 As physicians, our primary concern is
12 towards patients, and above all to maintain the
13 patient interest, above all -- despite all economic
14 pressures and insights. We strongly maintain that
15 radionuclide therapies are highly individualized
16 therapeutic modality and require thorough and in-
17 depth trainings that are already established by
18 specialty boards. Creating new competency-assessing
19 tools would be a significant undertaking, going above
20 NRC's purview and undermine existing competency
21 assessment mechanisms in place by specialty boards.

22 In summary, the subcommittee report
23 sufficiently underlines the fact that there is no
24 shortage of authorized users, which are the original
25 arguments of creating alternate pathways.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 If NRC decides to pursue the creation of
2 new pathways with limited training requirements, as
3 physicians we cannot share responsibility for any
4 consequences a physician might have, the health of
5 the patients, and NRC has to be the sole bearer of
6 responsibility to the public for any consequences
7 this rulemaking might have.

8 Thank you for your attention.

9 CHAIR PALESTRO: Thank you. Other
10 comments?

11 OPERATOR: Yes. Our next comment comes
12 from George Segall. Your line is now open.

13 DR. SEGALL: Thank you very much. I am
14 the Executive Director of the American Board of
15 Nuclear Medicine, as well as a practicing physician,
16 and I'd like to state that the Board strongly
17 recommends -- strongly supports the recommendation of
18 the Training and Education Subcommittee.

19 A few interesting questions came up
20 during the conference today. There was one question
21 regarding the distribution of authorized users in the
22 United States. I think the NRC is best positioned to
23 answer this question. However, in the comment letter
24 submitted by the American Board of Nuclear Medicine,
25 we did give you the distribution data of our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 diplomates who are the largest block of diplomates
2 who are authorized users. And the numbers are in the
3 letter, but I can say that we have authorized users
4 in all 50 states and territories.

5 Dr. Palestro, during his public
6 conference call, queried about the number of retiring
7 diplomates and whether the pipeline would more than
8 offset the number of retired diplomates. I think all
9 specialty boards keep track of this information. The
10 ABNM can certainly supply it.

11 I can tell you, just as I was looking up
12 while listening to these proceedings, that in the
13 past four years the ABNM has had an average number of
14 retirees of 48 per year, which is below the average
15 number of new diplomates for this period of time,
16 which is 63.

17 So we do not see any sort of attrition in
18 the total number of diplomates, and has -- and as has
19 been brought up by other participants in this call,
20 there are new training pathways that are encouraging
21 increasing numbers of young professionals to seek the
22 training necessary to become authorized users.

23 During this conference call, there was an
24 interesting proposal that the limited authorized user
25 pathway would be predicated on having an authorized

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 nuclear pharmacist to be responsible for the nuclear
2 safety aspects of treatment. This just wouldn't work.
3 The authorized nuclear pharmacist would not be onsite
4 and could not provide the level of coordination
5 necessary of all of the personnel that is required in
6 handling a spill of radioactive materials that do
7 occur.

8 And the coordination of these personnel
9 involve physicians, technologists, as well as
10 physicists. So the authorized user responsible for
11 the radiation safety must be actually physically
12 present in the department.

13 Then the other question came out about
14 de minimis standards for the duration of training,
15 and let's say all education met de minimis standards
16 in terms of duration, which is a practical necessity.

17 In terms of radiation safety,
18 particularly with skills, these are unexpected events
19 that are relatively uncommon. The best training
20 occurs on -- when an event like this happens and the
21 training is present. The likelihood of acquiring
22 this training is directly proportional to the
23 duration of training.

24 Similar events involving radioactive
25 materials used in -- used for diagnosis occur more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 frequently, and though they are still uncommon. The
2 likelihood of gaining the necessary experience to
3 fully and effectively respond to these situations
4 cannot be gained in a short period of time.

5 To directly answer the question of one of
6 the committee members regarding how much time is
7 devoted to 35.390 training, in the ACGME-accredited
8 residency training programs that lead to
9 certification by boards who have deemed-status from
10 the NRC, the minimum training -- the minimum -- is 16
11 months, and as has been noted earlier, up to three
12 years.

13 And training is gaining the experience to
14 handle spills and other unexpected events throughout
15 their training period. It is a continuous educational
16 process. And as a practical matter, there must be
17 de minimis standards, and my expert opinion is that
18 700 hours is really a minimum requirement to obtain
19 the experience necessary for competency.

20 Thank you.

21 CHAIR PALESTRO: Thank you. Other
22 comments?

23 OPERATOR: We show no other comments at
24 this time. As a reminder, if you would like to make
25 a comment, or if you have a question, please press

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 star followed by one.

2 CHAIR PALESTRO: Ms. Holiday?

3 MS. HOLIDAY: Yes, Dr. Palestro.

4 CHAIR PALESTRO: Yes. Next, the ACMUI
5 needs to vote on the draft report; am I correct?

6 MS. HOLIDAY: That is correct. If there
7 are no additional comments from members of the public,
8 you are free to go ahead and make a motion to vote on
9 the report.

10 CHAIR PALESTRO: The report itself, am I
11 correct, isn't that the motion and we need a second?

12 MS. HOLIDAY: Correct. And that would be
13 the report including all of the recommendations
14 within the report.

15 CHAIR PALESTRO: Yes. All right. So do
16 we have a second for the subcommittee's report?

17 MS. HOLIDAY: Well, is there a motion?

18 CHAIR PALESTRO: Well, the report itself
19 is the motion; is it not?

20 MS. HOLIDAY: No. Generally, a member on
21 the committee --

22 CHAIR PALESTRO: I'm sorry. I
23 misunderstood.

24 MS. HOLIDAY: That's okay.

25 CHAIR PALESTRO: All right. Can we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a motion?

2 MEMBER ENNIS: Motion to approve the
3 report.

4 MEMBER O'HARA: Second.

5 CHAIR PALESTRO: Second?

6 MEMBER O'HARA: Yes. Second.

7 CHAIR PALESTRO: All right. Dr. Ennis
8 made the original motion; am I correct?

9 MEMBER ENNIS: Yes.

10 CHAIR PALESTRO: And who made the second,
11 for the transcriptionist?

12 MEMBER O'HARA: Michael O'Hara.

13 CHAIR PALESTRO: Dr. O'Hara seconded.

14 Any discussion?

15 VICE CHAIR METTER: This is Darlene. I
16 would like to -- Sophie, you had made a comment about
17 developing the curriculum. Would that need to be in
18 this report?

19 MS. HOLIDAY: If that is a recommendation
20 from the Subcommittee, at this time, you would need
21 to make a vote on that before the overall vote on the
22 report. So my suggestion would be that a motion be
23 put forward for the subcommittee report to be amended
24 to include language reflecting that the subcommittee
25 recommends or maintains its recommendation that it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 does not support a limited scope AU pathway. However,
2 should the NRC move forward with developing such a
3 pathway, that the NRC staff and the ACMUI work
4 together to develop the core topics in the curriculum.
5 Is that the recommendation?

6 VICE CHAIR METTER: That is exactly the
7 recommendation. I move that that be introduced as
8 the motion, to make that recommendation.

9 CHAIR PALESTRO: Do we have a second?

10 MEMBER SCHLEIPMAN: Robert Schleipman. I
11 second that.

12 CHAIR PALESTRO: All right. All in favor?

13 (Chorus of ayes.)

14 CHAIR PALESTRO: Any opposed?

15 All right. Now we will go back and vote
16 on the original motion.

17 MS. HOLIDAY: Dr. Palestro --

18 CHAIR PALESTRO: Yes.

19 MS. HOLIDAY: -- if I may, were there any
20 abstentions?

21 CHAIR PALESTRO: I'm sorry. Yes. Any
22 abstentions?

23 MS. HOLIDAY: Thank you.

24 CHAIR PALESTRO: Now we will go back to
25 vote on the original motion. All in favor?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Chorus of ayes.)

2 CHAIR PALESTRO: Any opposed? I am going
3 to oppose it for the reasons that I expressed
4 initially. My concerns -- and I do thank Dr. Segall
5 for his input on retirees. That was certainly very
6 helpful. But before I am comfortable with saying
7 there is no shortage, or there is no potential for a
8 shortage, I would like to see more substantive data.

9 And, again, my biggest concern is the
10 long lag time between initiation and completion of
11 rules changes, and so forth. So I am going to go on
12 the record as being opposed.

13 Any abstentions? All right.

14 MS. HOLIDAY: Okay. Thank you. So I
15 have that the motion passes to approve the report
16 with the amendment regarding the development of the
17 curriculum between the NRC staff and the ACMUI, and
18 that the ACMUI approved the report with one opposing
19 vote.

20 VICE CHAIR METTER: Correct.

21 CHAIR PALESTRO: Correct.

22 MS. HOLIDAY: Okay.

23 CHAIR PALESTRO: We can adjourn the
24 meeting, Ms. Holiday?

25 MS. HOLIDAY: That is correct. So, at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this time, I would like to thank all of the ACMUI
2 members, particularly the ACMUI Subcommittee members,
3 for developing this subcommittee report and for
4 discussing it today.

5 Thank you to the Committee members, NRC
6 staff, and members of the public who have participated
7 and provided thoughtful comment and feedback during
8 this time.

9 As I said earlier in this meeting, the
10 letter that was submitted to the NRC staff from UPPI
11 will be appended to the meeting transcript as part of
12 the official record.

13 If you joined this call after the call
14 started, please make sure that you send an email to
15 Kellee Jamerson, K-E-L-L-E-E dot J-A-M-E-R-S-O-N at
16 nrc.gov, and myself, Sophie Holiday, S-O-P-H-I-E dot
17 H-O-L-I-D-A-Y at nrc.gov, so that we may
18 appropriately capture you as a participant of the
19 meeting.

20 With that being said, thank you for your
21 time, and the meeting is adjourned.

22 CHAIR PALESTRO: Thank you.

23 (Whereupon, the above-entitled matter
24 went off the record at 11:49 a.m.)

25

- 1
- 2
- 3
- 4
- 5
- 6
- 7
- 8
- 9
- 10
- 11
- 12
- 13
- 14
- 15