

OFFICIAL TRANSCRIPT OF PROCEEDINGS

Agency: Nuclear Regulatory Commission

Title: Pilot Program Workshop

Docket No.

LOCATION: Atlanta, Georgia

DATE: Friday, April 6, 1990

PAGES: 1 - 165

ANN RILEY & ASSOCIATES, LTD.

1612 K St. N.W., Suite 300

Washington, D.C. 20006

(202) 293-3950

9012110330 901205

PDR PR
35 MISC

PNU

1 BEFORE THE
2 U. S. NUCLEAR REGULATORY COMMISSION

3

4 In the Matter of:)

5)

6 PILOT PROGRAM WORKSHOP)

7)

8

9 Conference Room
10 Ramada Inn-Airport
11 1419 Virginia Avenue
12 Atlanta, Georgia

13

14 Friday, April 6, 1990

15

16

17 The above-entitled matter convened at 9:03 a.m.

18 ATTENDEES:

19 On behalf of the Nuclear Regulatory Commission:

20 JOHN TELFORD

21 ANTHONY TSE

22 LLOYD BOLLING

23 ED KLINE

24 SHER BAHADUR

25 RICHARD WOODRUFF

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

On behalf of Brookhaven National Laboratory:

EDWARD KAPLAN

KEVIN NELSON

On behalf of Pilot Program Participants:

GARY WAYNE LEE THOMAS A. WHITE TOM CLARK

STANLEY GIPSON SUE GOODWIN JONETTE ROBERTS

HAROLD W. BERK ROY LANDERS JEAN RHODES

A. R. PULCRANO KENNETH FRYMAN TERRY ROY

NEIL CANADA BOBBY BARNETT DAVID GARRISON

P R O C E E D I N G S

1
2 MR. TELFORD: We'll go on the record.

3 Good morning, my name is John Telford. I'm from
4 the ARC, Rockville, Maryland. I'm the Section Leader of the
5 Rulemaking Section that is trying to develop this program.

6 I want to welcome all of you, very happy to see
7 you here. This is our third workshop. The first one was in
8 New York, the second one was this Wednesday in Chicago and
9 it's real nice to be in Atlanta, in the sunny south.

10 Does everybody have a copy of the agenda?

11 First we'd like to go through a little
12 introduction to let each of you introduce yourselves. Then
13 I'm going to rearrange the two topics here for the morning
14 session. I'll reverse the order of those.

15 When you introduce yourselves, let me ask you to
16 state your name, position, facility, hospital that you're
17 employed at and what combination of brachytherapy,
18 teletherapy or nuclear medicine that your hospital does. We
19 assume that you're representing all three of those. If
20 you're not, say so.

21 Let's start with this gentleman over here.

22 MR. LEE: Gary Lee representing Forest General
23 Hospital in Hattiesburg, Mississippi, a roughly 550-bed
24 facility. I'm the Manager of Radiation Oncology and
25 representing brachytherapy, teletherapy.

1 MR. TELFORD: Excuse me, I did forget something.
2 Please tell us if you're an urban location or a rural
3 location.

4 MR. LEE: I guess we're both, small urban, about
5 60-65,000 population.

6 MR. GIPSON: Stanley Gipson from the same
7 institution Gary is from and I'm Manager of the Diagnostic
8 Imaging Section.

9 MR. BERK: Harold Berk, I'm from the University of
10 Virginia Health Sciences Center in Charlottesville,
11 Virginia, about a 750-bed hospital. I was radiation safety
12 officer there for 12 years and now I'm a professor of
13 radiological physics in the Department of Radiology and
14 primarily doing radiotherapy work. I was the one who
15 volunteered to come to represent our institution. Of course
16 we have a large nuclear medicine program and a large
17 brachytherapy program, treat about 100 patients a day with
18 teletherapy, gamanite. We're one of the few institutions
19 that has a gamanite. Also have a linear accelerator that
20 does theriotactic radiosurgery.

21 Charlottesville is a town of about 100,000 people.

22 MR. PULCRANO: Tony Pulcrano, I'm with the Naval
23 Hospital in Portsmouth, Virginia. I am the radiation safety
24 officer. We have teletherapy, brachytherapy and nuclear
25 medicine, I'm representing all three.

1 Naval Hospital, Portsmouth is about a 600-bed
2 hospital and we service a large area from Yorktown, Virginia
3 Beach, Norfolk, Hampton, whole big area.

4 MR. CANADA: My name is Neil Canada, I'm from
5 Hamilton Medical Center at Dalton, Georgia. Our hospital is
6 about 300-bed, I'm the nuclear medicine tech there
7 representing nuclear medicine. Dalton is about 20-30,000.

8 MR. WHITE: Tom White, Baptist Medical Center in
9 Columbia, South Carolina. I'm a radiation physicist and
10 radiation oncology. We have teletherapy and limited
11 brachytherapy and nuclear medicine departments and I
12 represent all three. Columbia is urban, population of about
13 250. The number of beds is about 450.

14 MS. GOODWIN: I'm Sue Goodwin, West Georgia
15 Medical Center in LaGrange, Georgia, which is just south of
16 Atlanta. It's a medium-sized town, I guess you'd call it,
17 about 50,000. The hospital is 250 beds. I represent
18 radiation therapy and nuclear medicine. I'm the Director of
19 Radiation Therapy and Nuclear Medicine. We have linear
20 accelerators and limited brachytherapy.

21 MR. LANDERS: I'm Roy Landers from Sarasota,
22 Florida representing a private physician group called
23 Sarasota Oncology Center, we do teletherapy, brachytherapy
24 in one of the major hospitals in Sarasota. We do a small
25 amount of Group IV radiopharmaceuticals in our office, which

1 is a small urban area on the west coast of Florida.

2 MR. FRYMAN: I'm Skip Fryman, I'm from Hollywood,
3 Florida, Hollywood Memorial Hospital, about 700 beds. I'm
4 the radiation safety officer representing all three
5 departments. The area has around 250,000 people.

6 MR. BARNETT: I'm Bobby Barnett, I'm a
7 radiological physicist, I represent Rockdale-Newton
8 Radiation Therapy Center. It's a free-standing teletherapy
9 radiation oncology center. It's in suburban Atlanta.

10 DR. TSE: My name is Anthony Tse, I work for the
11 NRC in its Rulemaking Section, I'm the task leader of this
12 group.

13 MR. BOLLING: My name is Lloyd Bolling, I'm with
14 the NRC State Agreement Program.

15 MR. KLINE: My name is Ed Kline, I'm with the NRC
16 based out of Region II in Atlanta and my involvement is
17 somewhat of a temporary nature in a rotational assistance
18 assignment with our headquarters group. And I guess the
19 evolution of the pilot program.

20 MR. CLARK: My name is Tom Clark, I'm from
21 Southeast Alabama Medical Center in Dothan, Alabama, we're
22 approximately 400 beds. Dothan is 50-55,000 population and
23 we're kind of a regional referral center for the southeast -
24 - southwest Georgia down, north Florida and southeast
25 Alabama. I'm a nuclear medicine tech. We do teletherapy

1 and brachytherapy at the hospital and I'm here to represent
2 the therapy department but I do not do the therapy.

3 MS. ROBERTS: My name is Jonette Roberts, I'm a
4 nuclear medicine technologist at Riverside Hospital in
5 Jacksonville, Florida, which is approximately 400 beds,
6 urban location. We do I-131 therapy. I'm not sure what the
7 population of the city is, it's urban.

8 MS. RHODES: I'm Jean Rhodes, I'm the quality
9 assurance coordinator at Valdese Hospital and I'm feeling a
10 little bit out of place, I'm a registered nurse. I hope we
11 don't talk about technical things.

12 (Laughter.)

13 MS. RHODES: Our hospital is licensed for 170 beds
14 but we operate about 75 and we're in a rural area. And
15 please don't ask me about brachytherapy and teletherapy.

16 MR. TELFORD: You're representing all three?

17 MS. RHODES: Well I guess I am.

18 MR. TELFORD: In other words, your institution is
19 participating to the extent of all three services?

20 MS. RHODES: That was my understanding.

21 MS. ROY: Terry Roy from Bradenton, Florida. I
22 represent a small out-patient cardiac center, free-
23 standing. We do out-patient only, just nuclear medicine,
24 I'm the chief tech there. We do anywhere between 75 and 100
25 procedures a month. I represent an out-patient facility

1 where we'll be looking for patient identification and the
2 diagnostic type things.

3 MR. GARRISON: I'm Dave Garrison, Arlington
4 Hospital, 350-bed hospital about two miles outside
5 Washington, D.C. I'm representing nuclear medicine and
6 radiation oncology.

7 MR. TELFORD: Let me call your attention to the
8 agenda. The next item on the agenda is review of proposed
9 35.35 -- oh, I'm in too much of a hurry this morning, excuse
10 me. Let's go around to the interested parties in the back
11 here.

12 MR. MERRILL: My name is Neill Merrill and I'm
13 with the Georgia Radiological Health Section.

14 MR. HILL: I'm Tom Hill with the Radiological
15 Health Section, Department of Human Resources.

16 MS. DRINNON: I'm Elizabeth Drinnon, I'm with the
17 same group.

18 MR. COCHRAN: I'm Pat Cochran, I'm with the same
19 group.

20 MR. FURR: I'm Walter Furr, I'm the radiation
21 safety officer at the Medical College of Georgia in Augusta
22 and for the VA Medical Center in Augusta, combined having
23 about 1000 beds.

24 MR. BAHADUR: I'm Sher Bahadur and I'm Branch
25 Chief at U.S. NRC and my branch is responsible for the

1 workshop.

2 MR. NELSON: My name is Kevin Nelson, I'm from the
3 Brookhaven National Laboratory and we're assisting the NRC
4 in the pilot program.

5 MR. KAPLAN: My name is Ed Kaplan. I've spoken to
6 many of you, thanks for coming, I'm from Brookhaven National
7 Laboratory.

8 MR. WOODRUFF: I'm Richard Woodruff, I'm with NRC
9 in the Region II office here in Atlanta.

10 MR. TELFORD: Now I would like to take the second
11 item, review of proposed 35.35 and put it after discussion
12 of the pilot program. There are several things that I need
13 to tell you about; namely, how we got to where we are today,
14 what we're doing and how we're going to go about it. Right
15 now I assume that everybody's a little bit nervous, they
16 don't know quite what to expect, but let me just reassure
17 you it's not going to hurt very much. It will become clear
18 in an hour or two that it won't hurt very much.

19 I've put up a background slide to let you know
20 that we've been working on this rulemaking topic since 1987,
21 in the fall. Originally we had what we would call a
22 prescriptive rule that we took to the Commission and then
23 the medical community came in and said we really don't like
24 this prescriptive rule. One of the things we object to is
25 you telling us exactly what to do and how to do it. We

1 think we know how, so why don't you let us figure out how.

2 So we went back to the Commission and proposed a
3 rule that was performance based. We had various meetings
4 with medical associations and our ACMUI, Quality Assurance
5 Subcommittee of that group, gave this proposed rule to the
6 Commission, they deliberated on it for several months and in
7 December of this year we received what we call a staff
8 requirements memorandum that says what to do in order to
9 make it acceptable to the Commission so it can be published
10 in the Federal Register, and it was published January 16 of
11 this year.

12 A point I wanted to make was that we have a
13 performance-based rule, it says basically what to do in the
14 form of eight objectives. It does not say how to do it.

15 As part of this effort, the Commission said that
16 we should have a pilot program which would in essence try
17 this out and see if we can make it better before we go
18 final.

19 I realize that most of you here are from an
20 agreement state and this rulemaking will be a matter of
21 compatibility. As soon as it becomes final --

22 THE REPORTER: Excuse me, Mr. Telford, I can't
23 hear you back here. Would you speak louder please?

24 MR. TELFORD: Where should I stand?

25 THE REPORTER: Anywhere as long as you speak nice

1 and loud.

2 MR. TELFORD: Okay, the acoustics must be real
3 dead in here.

4 As long as we have a rulemaking, the agreement
5 state will then come to you and say we're going to do the
6 same amount and the agreement state has the option of course
7 of making it more stringent.

8 So most people would assume that when you see a
9 proposed rule, that it will become the final rule with very
10 few changes. That's not the case here. We're running the
11 pilot program to discover how best to write the final rule,
12 what modifications to make to it. That's, I realize,
13 probably a little bit of a credibility gap for you right
14 now, but by the time we get through the next couple of
15 hours, I hope you believe me.

16 The pilot program -- this is a little overview of
17 the whole pilot program. To start off with, we have about
18 2000 NRC licensees and 4000 agreement state licensees across
19 the nation. So since we knew that this rulemaking would
20 affect all 6000 licensees, in the pilot program, we wanted
21 to be able to proportionately represent all NRC regions, all
22 agreement states, all classes of licensees, all types of
23 facilities and locations whether they be urban or rural.
24 You'll notice I think that there's a good representation
25 here this morning from all those various combinations and I

1 would like to congratulate Ed Kaplan for having done a great
2 job.

3 He set out to get 24 NRC volunteers and 48
4 agreement state volunteers. We have 22 NRC and 46 agreement
5 states and it took quite awhile, about two months, to do
6 that. But it was very necessary so that within the pilot
7 program we have the input from all possible types of
8 licensees and all combinations, so when they get their input
9 in, we can represent them in their comments and suggestions.

10 Overall, the way the program works is you have a
11 month to develop your quality assurance program. For some
12 folks, you already have a quality assurance program, so it
13 will be a minor change for you. We have assumed the worse
14 and said you have a month to implement the program. A month
15 to implement, implement means the time period after this
16 workshop which you can modify your daily procedures and do
17 any training that you need to do, if any. Then there will
18 be a two-month actual test period, a 60-day trial, and then
19 a month to collect the information for the evaluation.

20 Here's where we are now, at the first set of
21 workshops, where we will discuss the ground rules, develop
22 an understanding of the proposed 35.35.

23 After the actual test period, we will discuss
24 results and there's a lot of things that we can discuss and
25 those workshops will be two-day workshops right back here in

1 Atlanta. I'll tell you when a little later.

2 And I'll expand on this fourth item of how we want
3 your input on what we think of your written programs and
4 what we think through the site evaluations.

5 Now I've just put down some objectives of the
6 whole pilot program -- let's go off the record for a minute.

7 (Discussion off the record.)

8 MR. TELFORD: In order to understand these
9 objectives, you have to visualize that what we're doing is
10 like a giant experiment. We have a proposed rule -- it's
11 really proposed. It's something to work with, we have to
12 start somewhere, this is the best we can do. I believe that
13 through your help we can do a lot better.

14 So when we say that we want to understand how
15 licensees develop their specific programs, that's one of the
16 purposes. We're going to, in part, turn you loose and let
17 you tell us how you would develop your program at your
18 hospital to meet these objectives. So we really will learn
19 from you.

20 Now by number two, I mean by the phrase "in actual
21 practice", our QA team will visit 18 sites. Now that's 18
22 out of 68, so we can't come see everybody. I know that
23 breaks your heart --

24 (Laughter.)

25 MR. TELFORD: We really would like to come see

1 more of you, but we don't. I'll talk more about that in a
2 minute.

3 Now three, we want to determine if our performance
4 objectives, these eight objectives, are any good. Are they
5 any good at preventing or catching mistakes that you might
6 make during the planning process or during the routing
7 process before misadministration happens. So this would be
8 like precursor mistakes. And then lastly here, we'd like to
9 determine if these objectives are any good at doing what we
10 want to do; that is, provide high confidence that mistakes
11 in medical use can be prevented. Now I'll say more on that
12 in just a minute.

13 What I'm trying to do is get you through a certain
14 amount of information here so that you can calibrate
15 everything and put it all into perspective before we start
16 talking about the proposed rule.

17 I've said something about number one before, but
18 this is the outline of a few more details of how the pilot
19 program is envisioned to work. It took us until March 9 to
20 get all the volunteers rounded up. The second item here is
21 that the volunteers will review the proposed 35.35 and make
22 a determination that their program meets the proposed rule
23 or modify their program to meet it, and during March and now
24 we can say part of April. Then here's the list of pre-
25 tests, pre-trial period workshops. We're at April 6 and

1 April -- excuse me, March 29 was New York, April 4 was
2 Chicago, April 6 is Atlanta, April 18 will be Dallas and --
3 that should say April 20, that's San Francisco.

4 We have asked volunteers to bring a copy of their
5 quality assurance program to this workshop. If you haven't
6 done so, we will give you another opportunity.

7 Number four here is that the volunteers during
8 April, up until the actual start of the 60-day trial period,
9 they can develop written instructions or modify their day-
10 to-day programs and do any training, if required. In some
11 places, you're probably ready to do today.

12 The 60-day trial period we would like to start on
13 May 14 to July 13. Now to retain specific records, let me
14 just say it now and say it again later, the only records
15 that we need to keep you're probably already keeping;
16 namely, our prescription, our referral, the administered
17 dose or dosage and a clinical procedures manual. You
18 probably have all those in the patient's chart or in your
19 in-house referrals, so that's thought not to be a big deal.
20 We'll talk a little bit more about that when I go through
21 the proposed objectives.

22 As I told you, we'll be visiting 18 volunteers for
23 a one-day site visit. That's a no-fault kind of visit where
24 we're there just for one day at your hospital and looking
25 only at your quality assurance program, nothing else. We

1 will have experienced NRC inspectors and if one of your
2 institutions is chosen and it happens to be an agreement
3 state, we will do everything we can to get someone from the
4 agreement state to come with us.

5 The sixth item is the post-test workshops. It
6 will be after July 13 -- we envision something like a two-
7 week period for you to fill out your evaluations, and I'll
8 say more about that later. But at the post-test workshops,
9 we want to hear from the volunteers. After all, they have
10 tried out this proposed rule. We expect that you would
11 have, in addition to your evaluation, you would have some
12 suggestions for improvement to the proposed 35.35. We want
13 to hear about these objectives and reporting requirements,
14 which I will get to in the afternoon. But maybe these
15 objectives aren't any good. Maybe one of them or two of
16 them or three of them we don't need. Maybe something is
17 missing and after you've tried them out, I fully expect you
18 can tell us what to do with some of them; replace them or
19 get rid of them or add to them, because you will have the
20 opportunity to implement these proposed objectives and you
21 will have figured out how to minimize the impact to your
22 institution because you can custom tailor your program to
23 your hospital.

24 So cumulatively, we will get a lot of suggestions
25 and that's what this is all about. We want to know how to

1 do this better. I'll be the only person in the room that
2 will claim that these objectives are any good. And I'll do
3 that just for the sake of debate so that somebody can defend
4 them and I'll tell you the intentions of them. But they're
5 not cast in concrete. We really want to hear from you on
6 how to improve them.

7 Now during the post-test workshops, this NRC QA
8 team, which will be four individuals from NRC, will give you
9 some inside information on what criteria we use to evaluate
10 your programs. We will evaluate every QA program, all 68 of
11 them. Now the 18 that we evaluate with site visits, we will
12 talk about those first at the workshop, so that you'll learn
13 the criteria used to evaluate the programs and the results
14 from those. You'll learn the criteria used to evaluate the
15 sites and the findings from those.

16 Now that may be of interest to the agreement state
17 folks because the state may do something similar, but you
18 will get an inside look at how we do business. That's
19 intended to be helpful to you.

20 MR. CLARK: Could I ask -- on item number five,
21 the dates appear different there than they do on the
22 handout.

23 MR. TELFORD: Yes, this date is correct. This is
24 an old handout. Can we go off the record for a
25 minute?

1 (Discussion off the record.)

2 MR. TELFORD: The purpose of the site visit is so
3 that we can visit your site in a very no-fault manner and
4 find out the answer to the question, are you implementing
5 the program that you say you're implementing, because we
6 will have reviewed a vast number of -- 68 -- programs on
7 paper, so we would like to review some sites to see how
8 you're doing it.

9 By the way, the evaluations that you'll hear here,
10 these results, what we will say to you is we looked at your
11 program; here are the strong points, here are the weak
12 points, here's what needs work. We won't say it's
13 deficient, give you anything about deficiencies, but it'll
14 just be strong points and weak points and what needs work,
15 purel, as feedback to you. And a similar thing on site
16 visits.

17 We might say your program says you're doing this
18 but when we went looking for it, we had difficulty finding
19 it. It may be there, but we had trouble.

20 MS. RHODES: After the evaluation of your program,
21 is that information shared with anyone?

22 MR. TELFORD: For the 18, it'll be shared here.
23 It's designed that way so that all of you can look at a
24 minimum number, get feedback from a minimum number.

25 MS. RHODES: I was thinking about other agencies.

1 MR. TELFORD: Other agencies?

2 MS. RHODES: Uh-huh.

3 MR. TELFORD: We have no intentions to.

4 MS. RHODES: Okay.

5 MR. TELFORD: But what we talk about at both of
6 the workshops will be public record as part of the
7 rulemaking process. That's an advantage to us because we
8 can use everything that's said as if it were a public
9 comment. And it's an advantage to you because if you wanted
10 to comment on the rule, you have until April 12, I think, to
11 send in a written comment to the Secretary of the
12 Commission. However, because you're in the pilot program,
13 anything you say today or anything you say in the next
14 workshop, we can use. So that gives you more time.

15 Now this is what we expect of you. I've gone over
16 most of these, but you develop a program or modify your
17 program to meet proposed 35.35. You attend the pre-test
18 workshop and you provide any instruction or training that's
19 necessary to prepare for the 60-day trial. Try out this
20 modified program for 60 days and evaluate it and provide
21 suggestions for improvement and you attend the post-test
22 workshop.

23 I just want to reinforce these ideas of what you
24 can expect. We want you to understand the criteria that we
25 use to evaluate all the programs and to learn the

1 evaluations, so we'll share the evaluations with you and you
2 will get an evaluation of your program. We want you to
3 understand the criteria that we use for the site visits and
4 to learn the results of those.

5 We want to listen to you very carefully in your
6 evaluation of the proposed 35.35 because want to learn how
7 to do it better and we want to listen to your suggestions on
8 how to improve all of the rulemaking, including the
9 reporting requirements.

10 Let me stop for a minute and tell you that we've
11 completed a discussion of those three items that are listed
12 before lunch. So I'm going to pause and give you a chance to
13 make comments or ask question. Incidentally, as we go
14 along, if you have a question, feel free to ask, but let me
15 let you collect your thoughts for what we've accomplished so
16 far.

17 Any questions so far?

18 (Brief pause.)

19 MR. GARRISON: I have a question. You're
20 suggesting that now -- do most places have a written
21 procedure in their procedure book that's addressing this
22 now? I don't. I mean I have bits and pieces that pretty
23 well cover. If a patient comes down to our department, the
24 chart will be checked, they'll be asked their name, that
25 kind of thing. But to put all this together into one --

1 maybe I'm not following you.

2 MR. TELFORD: I think you're asking a two-part
3 question or please allow me to break it up into two parts.
4 The first part might be are most licensees conducting a
5 quality assurance program today that might meet these
6 objectives. And the second part is do they have a written
7 program that's altogether in one manual. Based on our
8 previous meetings, not just the workshops but the meetings
9 we had in January of '89 with 18 licensees, we were told
10 back in January and have been told so far in these workshops
11 that most people are doing 90 percent of this already. So
12 that -- my answer to the first part of your question is yes,
13 I think the vast majority of licensees already have a
14 quality assurance program in some form. They're probably
15 doing the vast majority of what the objectives ask for.

16 But the second part is whether or not the program
17 is compiled into one nice manual -- maybe not. I know of
18 some licensees that have a manual that's an inch and a half
19 thick and they have a very elaborate quality assurance
20 program. That's over and above anything we're asking for
21 here, but the pilot program, you don't have to write a
22 manual, all you have to do is make copies of what you've
23 got. And after we go through the eight objectives, I'll try
24 to remind you that all we want is like a road map that says
25 section so and so of my plan meets objective one. Another

1 section meets two. That's it, just a road map. We don't
2 want to put you to any more effort than you have to, but we
3 want to be able to read through the thing so that if you had
4 copies of sections of six different -- out of six different
5 manuals in your hospital, that's good enough.

6 Let me say a word about need for this r. making.

7 I won't go through the slides that I have on the problems
8 that we expected unless I get a lot of questions there, but
9 we've looked at the misadministration reports for the last
10 eight years. When we were developing the prescriptive rule
11 back in the fall of '87, we did an analysis that was a
12 retrospective analysis. It assumed that if what we were
13 proposing in the prescriptive rule, if that were really
14 carried out, I believe we determined that 80 percent of the
15 misadministrations that have been reported over the past
16 eight years would have been prevented. So the objective of
17 the proposed rule, and indeed the final rule if that ever
18 happens -- the objective will be to prevent mistakes in
19 medical use. I'll use the phrase "with high conflicts".

20 Now we realize that not all mistakes can be
21 prevented. However, I believe that some of them can be
22 prevented. I won't even fool with what percentage, just
23 some of them can be. And the other thing I'm finding is
24 that everybody would like to prevent them if they could.
25 Nobody really likes it, it's just difficult.

1 So what we're trying to do is structure some
2 objectives that will be useful in prevention of
3 misadministrations. So we're really not after zero defects.
4 That's the objective, but we don't know how close we can
5 get. We just want to set out some useful objectives that
6 will work towards that end.

7 Proposed 35.35, if you saw it in the Federal
8 Register, basically requires each licensee to establish a
9 written program. The primary objective is to provide high
10 confidence that errors in medical use will be prevented.

11 Now I've switched to talk about the eight
12 objectives and there's a handout that's useful. It looks
13 like this, it's called "Enclosure 1" on the front.

14 Can we go off the record for just a minute and
15 make sure everybody has this handout?

16 (Brief pause.)

17 MR. TELFORD: I'm going to talk about the eight
18 objectives, but let's think of the proposed rule as having
19 three parts. The first is the paragraph that requires the
20 written program. The second is the eight objectives and the
21 third is -- let me just jump forward to the -- what I could
22 call the feedback group where we're planning that every year
23 the licensee conducts an audit. Some hospitals today these
24 audits are done every month.

25 What you do is you find out what went wrong or

1 what went right. And you have an evaluation and then the
2 licensee management will determine that the program is
3 effective or that it has some flaws. If it has some flaws,
4 then the proposed rule says make prompt modification to
5 prevent reoccurrence of those mistakes.

6 So in the proposed rule we have an annual audit.
7 I want you to understand that there's a feedback loop so
8 that you can, as you're conducting your program, each year
9 you have a chance to go through it and find out -- do your
10 own audit, and we don't mean an outside independent audit
11 organization by the way, we mean anybody who's qualified to
12 do the audit can do the audit. If you have two hospitals
13 nearby, you maybe can trade RSO's or maybe you can have one
14 designated person from one department to do the audit. What
15 we would really like not to happen is that you audit
16 yourself. You did the work, you know, you audit yourself so
17 naturally you come out great.

18 Let's talk about these eight objectives. Now I'm
19 going to be the only person that's going to claim these are
20 any good, as I said before. So don't feel bad about beating
21 on them, that's just fine. We've already heard that some of
22 these are not so great and we know how to fix them, so far.
23 So we're learning a lot just by these pre-test workshops.

24 What I want to do is go through these eight, tell
25 you the intention of them. Now the words on the screen are

1 not exactly as you have on your handouts. So I will refer
2 to the handout.

3 The intention of the first one is to make sure
4 that a thought process has taken place. The ideal case is
5 the authorized user physician has decided that the procedure
6 is necessary for this patient and is indicated by the
7 patient's medical condition. So under your program, you
8 would just say how you do that or under what circumstances
9 you would not do that. When we get through number two, we
10 will have talked about a prescription. For instance, you
11 may say in your program that the authorized user has signed
12 a prescription that certain ensures that that person thought
13 about it and thought that was the necessary procedure for
14 this patient. You may want to figure out -- excuse me, I
15 don't want to say what you want to figure out -- retract
16 those words -- you may want to say in your program what you
17 do with referrals, be they from another department or from
18 an out-patient group.

19 Any questions on number one? Yes?

20 MR. LANDERS: Are we just in essence going to
21 write down that yes in fact our physicians see the patients
22 and review the case before they decide to do anything, or
23 are we supposed to ask the physicians if they in fact did
24 this?

25 MR. TELFORD: No, you're just describing your

1 program. The element is to do what you just said. You
2 don't have to do any checking. You're just documenting a
3 procedure. See, when we evaluate the program, we'll be
4 looking for how these 68 volunteers do it in their
5 institutions, how they ensure number one.

6 Now when we go to the 13 site visits, then we
7 might come around and say, oh, take these patients and we
8 trace them how they get into your system. Okay, these had
9 prescriptions, that's clear. These had referrals, did
10 anybody look at those. What does your program say that you
11 do with referrals. We'll just see if you did it.

12 MR. CLARK: For condition number one, we have our
13 physician either talk to the patient or the consulting
14 physician or look at the patient's chart. That's a
15 condition of our license.

16 MR. TELFORD: Okay.

17 MR. CLARK: We do that and I'm not real sure on
18 item number two as far as a prescription, are you saying
19 that our doctor has -- if we get a chart down that the
20 physician wants a radioactive iodine uptake and a thyroid
21 scan, we have a dose schedule in our department that for
22 that procedure this amount of isotope is indicated. Do we
23 have to have a separate like written prescription from our
24 authorized user that says yes, we will give this patient
25 five microcuries of iodine for an uptake? I'm confused

1 about that word prescription.

2 MR. TELFORD: Let me take your question when I get
3 to number two, but the reason I was smiling when you said
4 what you did about the way you take in your patients is
5 that's what's in our regulatory guide. So let me get to
6 your question in a minut

7 MS. ROY: I have a note to file in my procedure
8 manual and it lists different diagnosis of a patient that
9 would be appropriate for the reason for testing. Would that
10 suffice to cover number one? When I have a patient come in
11 or a referral physician call over and I ask the diagnosis
12 and they say cardiomyopathy and that is listed in my
13 procedure manual as one of the diagnosis for testing.

14 MR. TELFORD: That sounds pretty good. You're the
15 one with all the out-patients, right?

16 MS. ROY: Right. So that would cover number one?

17 MR. TELFORD: Yes. All we're telling you is the
18 intention, the ideal case and then you put into your program
19 what you do, such that you're convinced that you've met the
20 objectives in your own fashion.

21 MS. RHODES: Would you define authorized
22 physician?

23 MR. TELFORD: Authorized physician?

24 THE REPORTER: I'm sorry, I can't hear you. Did
25 you say something?

1 MS. RHODES: I asked him to define authorized
2 physician.

3 MR. TELFORD: The phrase I used was authorized
4 user --

5 MS. RHODES: Yes, okay.

6 MR. TELFORD: The authorized user is a nuclear
7 medicine physician.

8 MS. RHODES: Okay.

9 MR. TELFORD: We use the term authorized user
10 because that person is usually listed on the license.

11 MS. RHODES: Okay.

12 MR. TELFORD: Sometimes it's even the licensee.
13 Is that right, Ed?

14 MR. KLINE: Yes.

15 MR. TELFORD: Okay, Tony.

16 DR. TSE: The intention of this particular item,
17 number one, is not to question the judgment of the
18 authorized user physician. The intention is to try to look
19 at the referrals, referral physician generally is not an
20 authorized user physician, he's not generally expert in
21 nuclear medicine or therapy, brachytherapy area. He might
22 write something which is probably -- may not be appropriate
23 for that particular case and then the licensee, which
24 includes the authorized user, has a responsibility to make
25 sure that these are correct indications. That's the

1 intention of this item.

2 MR. TELFORD: I think the intention will become a
3 little more clear once we get through number two and number
4 three.

5 Number two is for therapy; it's for teletherapy,
6 brachytherapy or nuclear medicine. So the ideal case here
7 is to have a prescription for any therapy. However, we've
8 noticed a lot of cases in which the patient was supposed to
9 get ten microcuries of I-125 or even 123 or .31 and the
10 patient got ten millicuries or 100 millicuries of I-131. So
11 we wanted to pay special attention to I-125 and I-131, so
12 what we came up with is for all cases, even if they're
13 diagnostic cases, if it involves more than 30 microcuries of
14 I-125 or I-131, the ideal case is to have a prescription.
15 Now that's first of all to prevent the micro to milli switch
16 without somebody trying real hard, and second so that every
17 time anybody handles I-125 or
18 I-131, they're doing it under a prescription and we want
19 them to think about it just a little bit harder.

20 MR. KLINE: Maybe I'm speaking on behalf of some
21 other individuals here, you might want to explain what
22 defines a prescription.

23 MR. TELFORD: Good suggestion.

24 So far, I've used a term "prescription". In
25 three, I'll use two other terms that I will also want to

1 define. On page two of your handout we have a definition of
2 prescription. Maybe you don't like this term, but that's
3 okay. We may change that term for the final rule, we may
4 just throw away the word "prescription", we may use
5 something like written directive because we don't want to
6 confuse people, we don't want to confuse pharmacists or
7 physicians. So we mean by prescription that it's a written
8 directive or order for medical use. Now medical use. Now
9 medical use, you realize is defined in 10 CFR. It's the
10 administration of byproduct material or radiation therefrom.
11 So this is a written order for medical use for a specific
12 patient, dated and signed by an authorized user or -- now
13 here's the delegate -- a physician under the supervision of
14 the authorized user is also acceptable here. And
15 supervision is a term that's also defined in 10 CFR Part 35.

16 Basically that says that the authorized user
17 trains this person, directs them and is always responsible
18 for all of their activities. So if they make a mistake,
19 it's the authorized user that's responsible.

20 Now the (a) through (d) are what we would like
21 this written directive to contain. So for the diagnostic
22 use, we would like -- the diagnostic use of
23 radiopharmaceuticals naturally -- we would like it to
24 contain the radioisotope, the dosage, the chemical form and
25 the route of administration. For radiopharmaceutical

1 therapy, the isotope, the dosage, physical form, chemical
2 form and route. For teletherapy, we would like it to
3 contain the total dose, like 3000, number of fractions, 20
4 days, and the treatment site -- what's your target. And (d)
5 for brachytherapy, the total dose. And in parentheses we
6 say equivalent or treatment time, number of sources,
7 combined activity. The radioisotope and treatment site.

8 So the real intent of this is just whatever you
9 intend to do, whatever the authorized user intends to do,
10 write it down.

11 MR. CLARK: The words "specific patient" still
12 bothers me. Is that each individual patient -- we have to
13 have a prescription for that patient or can we use a
14 standardized form? The specific is kind of --

15 MR. TELFORD: Let me clarify, we're talking
16 therapy, this is therapy now, strictly therapy. We're not
17 talking about diagnostic studies. So for your therapy
18 procedures, if you have a standard form, the patient's name
19 is on the form.

20 MR. CLARK: Yes.

21 MR. TELFORD: The authorized user signs it or
22 initials it and if it contains this information, it's good
23 enough. That meets our definition.

24 We're really not trying to invent anything new.
25 In fact, we'll probably need some hints from you of how to

1 change this, and one thing you might say is throw away that
2 word, call it a written order, a written directive or a
3 written referral or something.

4 MR. BOLLING: John, the next logical thing would
5 be that in his program he'll describe where that record can
6 be found. You know, one of the items in your QA program
7 will say information regarding the prescription can be found
8 -- you may have a log book or a file that you keep it in.

9 MR. TELFORD: Yeah, your road map to us might say
10 we don't really write what you might call a prescription for
11 each patient, but rather we have this standardized form and
12 it contains all this information.

13 MR. BARNETT: In some hospitals we're involved in,
14 we implant Iodine 125 seeds, and that implantation is a
15 surgical procedure and the decision on the number of seeds
16 to use are made at the surgical site, at that point in time.
17 This says a written prescription prior to therapy.

18 MR. TELFORD: Right.

19 MR. BARNETT: I don't understand how that applies
20 in that case.

21 MR. TELFORD: I think I understand what you're
22 saying. Brachytherapy is difficult. You have to go into
23 surgery to find out what you really are going to do.

24 MR. BARNETT: This is a permanent implant.

25 MR. TELFORD: Permanent implant. In the

1 regulatory guide -- we'll talk about that this afternoon,
2 and to give you some more detail of what we have in mind.

3 I can answer your question now to say that the
4 physician, the authorizer user physician would probably have
5 in mind an approximate number of seeds, certainly the
6 treatment site and certainly the isotope. So if it's
7 permanent then total dose is not so applicable, because then
8 you say it's this number of seeds forever, which is slightly
9 different.

10 But then in the regulatory guide, we'll discover
11 that the physician has the opportunity to modify this
12 prescription after surgery because oftentimes, even if it's
13 not a permanent implant, I'm told that you think you're
14 going to put in 15 seeds but there's only room for 12, so
15 you modify the prescription after the fact.

16 I think the utility of this is the fact that after
17 the implant is done, then you're basically watching the
18 clock and the mistake that can be made by leaving them in
19 too long. So if you've written that down, you have a better
20 opportunity to pull them out at the right time.

21 Is there a question over here?

22 MS. ROY: I have one. In a case like that, could
23 they write a prescription saying "up to" so many seeds and
24 that would cover it, prior to the surgery?

25 MR. TELFORD: Or approximately.

1 MS. ROY: And then after surgery, they could
2 modify at that point -- just put up to so many.

3 MR. TELFORD: Comment?

4 MR. LANDERS: I'd just say the implication there
5 is that it may be okay to under-dose but not over-dose.

6 MR. TELFORD: I didn't mean to imply that.

7 MR. BARNETT: But even more so, you'd have the
8 problem that there would always be a misadministration if
9 you didn't get the right number of seeds.

10 MR. LANDERS: Yes, absolutely. We'll order eight
11 extra seeds just in case.

12 MR. TELFORD: I didn't mean to imply that over-
13 dose is worse than under-dose because if it's an under-dose
14 and it didn't do the job you'd have to go back, so it's more
15 wear and tear on the patient. As far as the
16 misadministration goes, that's defined in the reporting
17 requirements, which we want to hand out to you today so that
18 you have a copy of that. Since it's not part of the pilot
19 program it doesn't affect anybody in the pilot program. But
20 we do want you to dig through those and bring your
21 suggestions to the next workshop. We want to know how to
22 better improve those. For instance, in brachytherapy, it
23 might be you have to report if the administered dose is 20
24 percent different than the prescribed dose.

25 MR. LANDERS: The latest prescribed dose.

1 MR. TELFORD: The after-surgery prescribed dose.

2 MR. LEE: That was one of those cases where one
3 or two or three addendums might have to be put into a letter
4 during surgery. If you do Iodine 131, you may not use as
5 many seeds as you initially thought you were going to use
6 and you may lose some seeds. There's a lot of variables
7 that go into that. The documentation is going to have to go
8 into the patient's chart to ensure that (a) you were here,
9 (b) you were here, (c) you were and you know, keep
10 everything together.

11 MR. TELFORD: Yes, so it's sort of the
12 prescription of record after surgery, that's the one that
13 you're going to watch the clock with.

14 But the 20 percent, don't take that as golden,
15 because that's what I want to hear your comments about at
16 the next workshop.

17 DR. TSE: The intention of having a prescription
18 is essentially to transmit correctly the information from
19 the authorized user physician to whoever handled the source
20 or the prescription, check on the curies and so on, as to
21 what type of sources. It is not an intention to say when
22 you write this thing down, you have to follow it. It's the
23 physician, based on his judgment at the time, could modify
24 the prescription. So if he estimates it to be ten seeds and
25 it turns out he uses only five, that's fine. You can change

1 your prescription to say that's the number of seeds I can
2 put in, that's the best of my judgment at the time I did
3 that because you make a judgment at the time we implant. So
4 it's not limited that you automatically become
5 misadministration if you modify your original prescription.

6 MR. TELFORD: We'll go over those details in the
7 afternoon when we talk about the guide. The guide is here,
8 by the way, it begins on the third page and we'll go through
9 that thoroughly. We would like to hear comments on the
10 guide today if you have them.

11 Now the third item, the third objective really
12 applies to diagnostic procedures. What it attempts to say
13 is that for diagnostic procedures, we're trying to
14 incorporate the way we understand that most business is
15 done, especially for out-patient. You get a referral.
16 We're using the diagnostic referral in tandem with the
17 clinical procedures manual. So let me say about three, that
18 two is what you do for therapy; three, this is what you do
19 for diagnostics. Now we give the option here of using the
20 prescription for diagnostic cases because believe it or not,
21 in some states I'm told they do use a prescription for
22 everything, even if it's a diagnostic procedure. So we put
23 that option in there. Three is basically about diagnostic
24 procedures, except the iodine. If it's I-125, I-131,
25 greater than 30 microcuries, go back to number two. If it's

1 less than that, stay with the referral.

2 Like if you get a patient in for a thyroid scan,
3 ten microcuries, bingo, that's number three, so you have a
4 referral and a procedures manual. Since we're using those
5 terms, we attempted to define them. That's on page two. By
6 referral, the third item listed on page two, means a written
7 request dated and signed by a physician, not necessarily an
8 authorized user physician, just a physician. Oftentimes a
9 non-nuclear physician, I'm told.

10 This is the ideal case now, you get a written
11 referral before you do the diagnostic procedure. At this
12 point, somebody is supposed to jump up and say no, I can't
13 do that. So who wants to be first.

14 (Laughter.)

15 MR. TELFORD: That doesn't happen with you, does
16 it?

17 MS. ROY: When they call over for the testing, we
18 have -- the secretary usually calls it over, or the nurse,
19 that Dr. Smith wants a thallium stress test. Okay, get all
20 the information and fill out a prescription slip and the
21 patient brings it with them.

22 MR. TELFORD: Oh, they bring it with them.

23 MS. ROY: Yeah.

24 MR. CLARK: Some of them do.

25 MS. ROY: Okay, now if we don't get prescriptions,

1 we can call that referring physician up at the time when
2 they show up at the door without a prescription slip and say
3 is it ordered, read it off the chart to me. And that covers
4 it, because that way I know that that patient was ordered
5 that test, it's written in his chart over there.

6 MR. TELFORD: Great. How about your case?

7 MR. CLARK: Well if they call initially and say,
8 like for an out-patient, say we're sending this guy over for
9 whatever. But that initial contact on the phone would not
10 suffice for his -- I mean some of them show up with a
11 prescription, some of them don't and we don't necessarily
12 call them back and say hey, check the orders and see if this
13 was really ordered. Because she has usually just come from
14 the physician and says he wants this done. But we're not
15 calling them back and saying check the orders and see did he
16 really want this.

17 MR. TELFORD: That's some percentage of all the
18 cases you have.

19 MR. CLARK: Sure.

20 MR. TELFORD: Is it a majority or a small
21 percentage?

22 MR. CLARK: Some physicians send one every time
23 and some of them never send one. They just have a form that
24 says you have an appointment at 10:00 Tuesday for a bone
25 scan.

1 MS. ROY: Wouldn't that suffice? I mean if
2 they've got something that was written from their doctor's
3 office, has the doctor's address and phone and name on it,
4 and that says bone scan, 10:00 Tuesday the 10th -- isn't
5 that enough to say that patient does need that, if it has
6 the patient's name across the top?

7 MR. TELFORD: If the written referral was
8 originated in your department, but you got the information
9 over the phone?

10 MR. CLARK: They call us, we put it on our
11 schedule book and then we have no more contact with that
12 referring physician until he gets a report from us.

13 MR. TELFORD: Okay.

14 MR. CLARK: We had a case last week where a lady's
15 daughter let her slip fly out the window as they were
16 driving over there. Of course we didn't have anything then.
17 But we don't call them back and say, you know, can you
18 verify --

19 MR. TELFORD: You would have to call in that case.

20 MR. CLARK: She didn't -- she showed up and she
21 didn't know what she was for, she got the time and that was
22 all.

23 MR. TELFORD: Well this states the idea case that
24 you have a written referral signed by the physician. What I
25 want to say to each of you is that whatever you're doing,

1 how ever you're handling your extenuating circumstances,
2 missing referrals or in some cases of some physicians never
3 sending them, just say in your program what you do, you
4 accept the information over the phone and you originate the
5 written referral in your department, whatever you do,
6 because of the diversity of licensees, we will be able to
7 see a widespread array of practices, so we want to learn
8 from those. So if it works for you we want to find that
9 out. If it doesn't work for you -- well if it doesn't work
10 for a lot of people, we'll find that out, at least the
11 mistakes, and we may want to be a little more insistent. On
12 the other hand, if it works for a lot of people, maybe we
13 don't need to be so insistent. That's where your input is
14 so important.

15 MR. LANDERS: The part that bothered me throughout
16 all of this is the dated and signed by authorized user.
17 That seems to be a stumbling block all the way along.

18 MR. TELFORD: Well we sid authorized users --

19 MR. LANDERS: Dated and signed by.

20 MR. TELFORD: Well dated --

21 MR. LANDERS: Taking an oral order over the phone
22 and writing it down doesn't accomplish that, so is that a
23 violation?

24 MR. TELFORD: Well let's not even use the term
25 violation.

1 (Laughter.)

2 MR. TELFORD: This is one giant experiment. You
3 said authorized user physician, now that's a prescription,
4 that's for therapy. So we're making the distinction of who
5 signs. For the prescription, that is the written directive
6 for therapy, that's the authorized user that signs. For
7 diagnostics, it's a physician signs.

8 MR. LANDERS: So what I just said was diagnostic
9 referral instead of prescription.

10 MR. TELFORD: For diagnostic cases, you start with
11 a diagnostic referral unless you want to use the
12 prescription.

13 MR. LANDERS: Still dated and signed.

14 MR. TELFORD: Yes, sir. That's the ideal case.
15 Like I said, I'm going to be the only one here that will
16 claim this is any good. But don't take it as golden and
17 please don't assume this is always going to be the case.
18 This is our trial balloon, this is what we're wanting to
19 test.

20 So if you ask me what's the best way to do it,
21 this is my answer. Please give me a written referral dated
22 and signed by somebody. It's dated and signed by a
23 physician, therefore I know that somebody said yeah, I
24 really wanted to give a liver scan to this patient. If I'm
25 the technologist, I'm going to say I may know what to do

1 because I'm going to jump in and explain the current
2 procedures manual, because you use the referral and the
3 manual together. So this is the second item according to
4 the procedures manual.

5 Now this is just a collection of written
6 procedures that tells the technologist what to do, so that
7 when a patient appears in the department and has a referral
8 and it says liver scan, that's what it says, the
9 technologist goes to the clinical procedures manual and says
10 liver scan, here's what I do.

11 But if the patient shows up with liver scan, use
12 ten millicuries of I-131, the technologist doesn't set off
13 any alarms yet, they go to the clinical procedures manual
14 and it says liver scan, here's what I do, there's no iodine
15 here. Stop, find out what's wrong. The 's what our reg
16 guide says, stop and ask questions, figure out what's wrong.

17 So we see a sort of funny kind of verbiage here,
18 we're using referring and the clinical procedures manual in
19 tandem. We would like the authorized user to approve of the
20 clinical procedures manual. See, we would like the
21 authorized user physician to be in control two ways.

22 The first way is number two, where you have a
23 prescription for therapy. This prescription is signed by
24 the authorized user, so the authorized user physician is
25 deciding what to do and is having a written order.

1 And number three, the way that the authorized user
2 is in control is even though the patient comes in to the
3 department with a referral, the referral should match with
4 the clinical procedures manual. The authorized user has
5 approved of it, so therefore, the authorized user knows
6 what's going to happen to the patient, exactly what
7 procedure and how to do it. So we're trying to minimize
8 mistakes. Any other questions on two or three?

9 MR. GARRISON: I just have one comment. I know of
10 a pathologist group that does nuclear medicine and I don't
11 know if the College of American Pathologists has a similar
12 type rule already, I think they do, but this group will not
13 do a patient unless they have a prescription, a written
14 prescription from the patient's doctor.

15 I know of one story where the patient came in and
16 did not have it, so it was their procedure to call the
17 doctor and verify it verbally. The doctor's office was
18 closed, the patient sat around, they finally found out the
19 doctor's office was closed. The patient went home furious
20 and the doctor -- this patient had been coming there every
21 year for a bone scan for five years. The physician was
22 furious. That's one problem I can see right away.

23 MR. TELFORD: Well keep in mind that's a
24 diagnostic procedure. The objective here is to have a
25 referral for that, not a prescription. And secondly, let me

1 say that the idea case is a written referral but if that
2 doesn't happen in your hospital for all your patients, then
3 you just put down in your QA program the conditions under
4 which you would allow something other than a written
5 referral and go on with your business.

6 MR. GARRISON: I see.

7 MR. TELFORD: So we're not -- we want to avoid
8 problems. We want to minimize mistakes, but minimize impact
9 to the hospital.

10 Would anybody object to about a ten minute break?
11 Let's go off the record.

12 (A short recess was taken.)

13 MR. TELFORD: Let's continue talking about the
14 objectives.

15 Just to recap, number two is for therapy, number
16 three is for diagnostic procedures. Refer to your handout
17 for those words, it's not exactly on the screen, but you
18 know, when you give talks you don't want to put everything
19 down. That way, if you put everything down they won't have
20 any reason to look at you, they just read the screen and go
21 on, right?

22 Number four says that ensure that whatever you're
23 using, if it's a prescription or if it's a referral and the
24 manual, it's understood by the responsible individuals and
25 that is, the people who are going to carry out the work.

1 Your program should say what you've done with these people
2 as far as training or testing, how you quiz them to make
3 sure that they understand what they're going to do -- what
4 they are to do. That's the intent of number four, just to
5 make sure everybody involved knows what they're supposed to
6 do.

7 Number five is -- this objective says that we
8 would like to make sure that any medical use, any and all
9 medical use, is in accordance with either the prescription
10 or the referral and the manual because if your real case is
11 the administered dose is exactly what's prescribed, then
12 everybody is happy.

13 Number six -- oh, number question?

14 MR. LANDERS: I've got a question on number five.

15 MR. TELFORD: Yes.

16 MR. LANDERS: Does that apply to therapy
17 procedures?

18 MR. TELFORD: Yes, sir.

19 MR. LANDERS: Does the word "manual" apply there
20 also?

21 MR. TELFORD: Not to therapy. Prescription
22 applies to therapy.

23 MR. LANDERS: Okay.

24 MR. TELFORD: Try to develop a mindset for today
25 that therapy goes with prescription -- or prescription

1 rather goes with therapy. Referral and manual goes with
2 diagnostic procedures. Just a great dichotomy.

3 MR. LANDERS: But there's a clinical procedures
4 manual.

5 MR. TELFORD: Yes, sir, the clinical procedures
6 manual is the collection of all the diagnostic procedures.

7 MR. LANDERS: Doesn't refer to therapy?

8 MR. TELFORD: Not per se, because in the case of
9 teletherapy there may be a lot of calculations to do, a lot
10 of pre-treatment planning, designing and building blocks,
11 use of wedges or alignment, on and on. We don't envision
12 that in the procedure manual. We invented, if you will,
13 this arrangement for diagnostic procedure, the use of
14 referral in the manual because we're allowing referrals to
15 come in under the direction of a physician, not a nuclear
16 physician. So we wanted the authorized user physician to be
17 in control. That person is in control of the procedures
18 manual, so that if the requested procedure in the referral
19 matches with the manual, everybody knows what to do.

20 So number five just -- for therapy you could read
21 it that any medical use should be in accordance with the
22 prescription.

23 MR. LANDERS: Who is -- in the case of therapy,
24 who is to judge whether that is in accordance with the
25 prescription, the prescriber or the technologist?

1 MR. TELFORD: Either I think, because if you have
2 a prescription and it's for therapy, in the case of
3 teletherapy if it's a whole dose of 5000 over 25 days and
4 that's 200 per day, as you're administering those fractions,
5 you record what was actually administered, the 200. So the
6 technologist or the authorized user could look at this chart
7 and say yes, sir, we were shooting for -- we were supposed
8 to give 200 but we gave 250 or we were supposed to give 200
9 but we gave 205 or we gave 180. Anybody can see that it's
10 followed.

11 MR. LANDERS: Okay, so the intent here is to
12 ensure that the prescription is being followed, not that the
13 prescription is correct.

14 MR. TELFORD: Oh, you bet, we don't want to get
15 into the practice of medicine. We want the authorized user
16 to be in control, we want the authorized user to say what
17 should be done. That's the intent of number one, it says
18 the authorized user has gone through a reasoning process and
19 says this should be done for this patient. We never, ever
20 want to second-guess the authorized user. We want that
21 person to be absolutely in control.

22 Yes?

23 MR. BARNETT: We'd like to recommend a changing in
24 the wording so it clarifies that radiation oncology and
25 teletherapy procedures do not require the clinical

1 procedures manual. There's some degree of ambiguity in
2 that.

3 MR. TELFORD: Okay, I like your suggestion. For
4 therapy, please read number five to say ensure that any
5 medical use is in accordance with prescription. That'll
6 cover therapy. Maybe we should break this up into two
7 sections. We should have 5(a) and 5(b). Like I said, I'm
8 going to be the only guy in the room that says this is any
9 good.

10 (Laughter.)

11 MR. BARNETT: In my reading, I thought there was a
12 clinical procedures manual required for teletherapy. I've
13 been in it a long time and I have never been able to develop
14 one. It's just such a broad subject.

15 MR. TELFORD: You're exactly correct.

16 We had a hand over here.

17 (No response.)

18 MR. TELFORD: Okay, number six, the idea of number
19 six is to make sure we get the right patient. We see a lot
20 of cases of there's a waiting room full of people and the
21 technologist asks for Mr Smith and Mr. Smith appears and
22 gets administered the dose and it turns out to be the wrong
23 Mr. Smith. You know this problem quite well. There are
24 various procedures that you probably use of -- if it's an
25 in-patient, you probably have an I.D. bracelet. You

1 probably say to the person "what is your name and what are
2 you here for." Maybe you say "what is your birth date, what
3 is your social security number" or maybe you use Polaroid
4 pictures -- whatever you do, please keep doing it. I just
5 have to write down that objective to say I want everybody to
6 identify the patient because if the world could do that, a
7 lot of mistakes would not be made. If you ask me how do I
8 prevent these errors, that's one of the things I would say
9 you should do.

10 Questions on that one?

11 (No response.)

12 MR. TELFORD: Okay, number seven says that we're
13 to identify the unintended deviations. That's the
14 difference between the -- the unintended deviation, that is
15 the mistake -- the difference between the administered dose
16 and the prescribed dose or in the case of a diagnostic
17 procedure, say the patient was supposed to get ten
18 microcuries of I-131 and they got 12 or 11, doesn't matter -
19 - just identify it and evaluate it. Now number seven feeds
20 into the manual audit, so this is commonly done in
21 teletherapy where the daily dose is recorded. You know what
22 the daily prescribed dose is so you can go right down there
23 through all 25 treatments.

24 The purpose of number seven is to identify these
25 unintended deviations. I mean if you know that you intended

1 to give 180 that day rather than 200, that's -- and you give
2 180, that's an intended deviation, that's acceptable, you
3 don't have to identify and evaluate those. It's just those
4 little slip ups.

5 Now some might be little slip ups, some might be
6 big slip ups, but at the end of the year, with the audit
7 then you can put all these on the table and evaluate them
8 and you can say well we didn't have any big mistakes last
9 year, thank goodness, but we had a bunch of little ones.
10 Now is that telling us something? Does that mean that these
11 were all little, just as a matter of chance or this is good
12 enough. There's an opportunity at the end of the year to
13 have a finding that your program is still sufficient. This
14 is the feedback loop that goes on year after year, so that
15 you can determine -- you know, assuming this becomes final,
16 something like this becomes final, that's the whole purpose
17 of number seven, to identify these little deviations. I'm
18 sure some of you already do.

19 Any questions there?

20 (No response.)

21 MR. TELFORD: Now number eight. Here we address
22 specifically brachytherapy and teletherapy and we say is in
23 accordance with the prescription. Now you might say gee,
24 you can -- what was that, number five -- I can take
25 treatment or therapy out of number five and I can put it in

1 number eight and just say all nuclear medicine therapy, all
2 teletherapy, all brachytherapy must be in accordance with
3 prescription. So that's the intention of number eight.

4 But number eight goes a little farther than that
5 because it says treatment planning. So especially for
6 teletherapy, there's a lot of planning that goes on.

7 Any questions on that?

8 MR. FRYMAN: Would you define specifically what
9 you mean by treatment planning?

10 MR. TELFORD: Treatment planning -- I think you've
11 got me there, we don't have a -- we don't define that term,
12 but roughly that's defined as how you're going to deliver
13 200 rads to a given treatment volume and exactly that, and
14 usually involves the calculation of isotope curves and
15 whether or not -- how you're going to use -- whether and how
16 you're going to use blocks or wedges and how you're going to
17 ensure alignment, et cetera. We're not here to tell you how
18 to do your business, it's just that we say the very obvious
19 actually of saying please let your treatment planning be in
20 accordance with the prescription.

21 MR. LANDERS: There could be a little terminology
22 problem there because the insurance codes consider treatment
23 planning a physician process. It sounds more like you're
24 talking about ancillary personnel here.

25 MR. TELFORD: Well we don't necessarily intend to

1 imply that anybody in particular do the treatment planning.

2 We're actually trying to be silent on that. We put the
3 authorized user as responsible for the prescription and
4 logically that person would want to ensure that the
5 treatment planning was in accordance with the prescription.
6 How you get that done or who does the calculations, we want
7 to be silent on.

8 MR. LANDEKS: That's not the question here. Some
9 treatment planning codes, CBT-4 for example, include
10 ordering CAT scans, analyzing blood tests, things of this
11 sort, which is purely a physician process. And I don't know
12 whether you're intending to include that in this or to
13 separate it from what physicists refer to as the treatment
14 planning process.

15 MR. TELFORD: Yeah.

16 MR. LANDERS: It's two definitely distinctive
17 things.

18 MR. TELFORD: Well I like your suggestion, that's
19 a good question, we'll work on that. We don't mean to imply
20 that, we mean to say that the -- if it's a physicist that's
21 doing the treatment planning, that we don't mean to upset
22 that.

23 Any other comments?

24 MR. BERK: We could use the words "dose planning"
25 rather than "treatment planning".

1 MR. TELFORD: Would be better.

2 MR. BERK: Dose planning.

3 MR. LEE: Dose treatment planning or isotope
4 plans.

5 MR. LANDERS: What is your intent here, is your
6 intent to cover the physician's part of it, where he decides
7 what tests are needed?

8 MR. TELFORD: No.

9 MR. LANDERS: Whether simulation is needed.

10 MR. TELFORD: That's his or her prerogative, this
11 is what they decide should be done. We want, whatever the
12 authorizer user physician says to do, that's what we want to
13 follow. We don't to interfere with his or her judgment or
14 choice.

15 Yes?

16 DR. TSE: I think it is the physics portion,
17 because when we get into the regulatory guide this
18 afternoon, we will talk about arithmetic errors,
19 calculations and so on. Therefore, it is not the intent to
20 include the physician's portion, whether he needs a CAT scan
21 or not, it doesn't mean that. Perhaps the words can be
22 modified to indicate -- if you have any suggestions.

23 MR. BARNETT: In the second part, y'all use the
24 words "computer generated dose calculations". We feel like
25 that would be less ambiguous than "treatment planning".

1 MR. LANDERS: I don't know what all you intend to
2 include in that, you may include block making in that,
3 contouring, I don't know, but I think you should, for
4 terminology reasons, make sure it's not covering that thing
5 that insurance pays for as a physician's service.

6 MR. TELFORD: Okay. Well let's say we're done
7 with the objectives and if anybody in the room wants to make
8 any comments or suggestions, let's give them an opportunity
9 to say anything on the objectives.

10 (No response.)

11 MR. TELFORD: No takers. Okay, what I really want
12 to find out is if I've explained these sufficiently well
13 that you could say you understand the intent of these,
14 regardless of the obvious flaws in the words. What I'm
15 striving for is for you to understand the intent of these
16 eight objectives, so that you can modify your program to
17 meet these objectives.

18 If you understand those sufficiently well that you
19 could do that, then I'm happy. So let me ask for a show of
20 hands. Does everybody understand them to that extent?

21 Don't feel bad, it's like the person that asks the
22 questions, everybody is going to benefit, you know. You may
23 think you're the only one with that question, but once you
24 ask it, then everybody learns from that.

25 LT. COMDR. PULCRANO: I notice you only mention I-

1 125 and I-131.

2 MR. TELFORD: Yes.

3 LT. COMDR. PULCRANO: Are those the only ones that
4 you're particularly concerned with under these guidelines
5 here?

6 MR. TELFORD: Well we don't have any jurisdiction.

7 LT. COMDR. PULCRANO: Okay.

8 DR. TSE: Your question is whether other isotopes
9 are included?

10 LT. COMDR. PULCRANO: Yeah, I was just wondering
11 about other isotopes.

12 DR. TSE: The main reason we put a little bit of
13 emphasis on iodine 125 and iodine 131 is because for those
14 isotopes you have a big, large differential in terms of
15 activity. You could have ten microcuries, ten millicuries,
16 hundred millicuries and the reports -- misadministration
17 reports that we receive, sometimes they have mixed milli and
18 microcuries or the technologies determine sometimes maybe
19 this patient needs five millicuries, actually only
20 microcuries. And those are very serious events.

21 The others, like iodine 123 which is not really
22 diagnostic but the dose is also much smaller, and 99 MSR,
23 all these are much smaller dose and not very easily have a
24 factor of 1000, 10,000 parts and therefore we just put
25 special emphasis on the iodine 125 and iodine 131.

1 MR. TELFORD: Yes?

2 MS. ROY: From what I can find out on reading
3 this, you are still including all isotopes, it's just that
4 the emphasis is on I-125 and I-131, but all the isotopes are
5 covered.

6 DR. TSE: Right. Not all the isotopes, NRC is
7 regulating the byproduct material.

8 MS. ROY: Right, technetium, thallium.

9 MR. TELFORD: All the other radiopharmaceuticals
10 that are used for diagnostic studies that the NRC regulates
11 or has authority over we include under number three. That's
12 where we capture all those. P-32, isn't that used in
13 therapy, so that would be in number two. Does that help?

14 (No response.)

15 MR. CLARK: I've got one more question on item
16 number seven. Any unintended deviation --

17 MR. TELFORD: right.

18 MR. CLARK: Say a person was in for a study, 300
19 microcuries. If in your dose calculation it comes up to
20 280-290, should we document that? That's a deviation, but
21 it's diagnostic quantities.

22 MR. TELFORD: Before you give it, if you
23 documented the fact that you thought you had 300 but you
24 really only have 290, if you documented 290 before it's
25 administered, then you don't have an unintended deviation.

1 MR. CLARK: Well we log it, calculated dose,
2 actual assay dose, we log that.

3 MR. TELFORD: That's great.

4 MR. KLINE: I just wanted to back up on that
5 number eight issue regarding the definition of treatment
6 planning, teletherapy treatment planning. It somewhat
7 appears to me a little bit vague and it might be a little
8 more narrowly defined definition or might need some
9 clarification. I know the regulatory guide does address
10 computer generated, which leads you to believe treatment
11 planning would be computer generated dose calculations, but
12 also that interpretation could be looked at as broad and
13 topical covering the entire treatment process which might
14 involve a prescription for the use of different modifying
15 devices, wedges, blocks, the method of administering the
16 dose, rotational therapy, this and that. So I think maybe
17 your suggestion of a definition, which really more precisely
18 defines that terminology because I know to a physicist
19 treatment plan versus treatment planning process versus
20 treatment regime or dose -- you know, they're all different,
21 they all vary too. So that's a good suggestion and maybe
22 that will help clear up that area.

23 MR. FRYMAN: Speaking of number seven when you
24 speak of prescription deviation, are you speaking of the
25 total prescription, daily fractionation?

1 MR. TELFORD: Unintended deviations?

2 MR. FRYMAN: Yes.

3 MR. TELFORD: That would be either.

4 MR. BARNETT: Actually it's both.

5 MR. TELFORD: Yeah, both, that's a better word,
6 "both", it applies to nuclear medicine procedures as well as
7 teletherapy or brachytherapy.

8 More questions or comments on the eight
9 objectives? Yes?

10 MS. ROY: I don't like the way it's stated in
11 number four for responsible individuals. Aren't all states
12 -- don't they have licensed technologists?

13 MR. TELFORD: No.

14 MS. ROY: No.

15 MR. TELFORD: No.

16 MS. ROY: Okay. I guess that answers that.

17 MR. TELFORD: It would be nice, wouldn't it?

18 MS. ROY: Yeah.

19 MR. TELFORD: Anybody else?

20 (No response.)

21 MR. TELFORD: Well let me summarize the pilot
22 program for you. We're in the pre-test workshop. By May 14
23 -- we'll talk about the schedule a little more this
24 afternoon after we talk about the regulatory guide but just
25 for now let's assume that we can start on May 14, that's a

1 60-day trial period from May 14 to July 13. During this
2 trial period, you're going to have your quality assurance
3 program -- you're convinced that it meets these eight
4 objectives and that's what you tell us, it meets the
5 objectives, here's a copy. And we'll evaluate it and
6 confess to you what we think in a very no-fault kind of way.

7 During the trial period there are just three or
8 four records that you need to make sure you've got; namely
9 the prescriptions, the referrals, keep your manual, and the
10 procedures manual and the administered dose or dosage, just
11 record that. That may be part of your records now, wherever
12 you keep those, that's fine.

13 Question?

14 MR. BARNETT: No.

15 MR. TELFORD: Okay. I may have said something I
16 didn't intend to say -- you know, sometimes you're talking
17 and all of a sudden there's a sound all around you and you
18 say "did I say that". I just want to remind you about the
19 records so that you don't have to do anything differently
20 than you're doing today, so that if your site is chosen for
21 one of the visits, then we would come and say may we see
22 some prescriptions, may we see some referrals. Just be able
23 to point them to us or pull them out of central records or
24 whatever. We don't want you to do anything special, don't
25 think we need extra copies, none of that. Just make sure

1 that your system retains those basic things.

2 Yes?

3 MS. RHODES: During this pilot program, you're
4 going to do a 100 percent review of these things, is that
5 correct?

6 MR. TELFORD: Of the programs.

7 MS. RHODES: Yes, if these things we've talked
8 about.

9 MR. TELFORD: Well two things -- maybe I should
10 let you get out your whole question before I attempt to
11 answer it.

12 MS. RHODES: Well as you know, I'm a quality
13 assurance person and when you do quality assurance studies,
14 sometimes you decide you'll look at 20 percent of a certain
15 kind of patient or 50 percent. I think I'm hearing 100
16 percent here.

17 MR. TELFORD: Well that's the idea.

18 MS. RHODES: Okay.

19 MR. TELFORD: But I don't want to claim that
20 because we've got program review or evaluation and site
21 evaluation. For the program evaluation, we'll go through
22 the whole thing and we'll be asking ourselves the question
23 of do we think this meets the proposed objectives, and if
24 not, let's ask some questions about why somebody else does,
25 maybe we can learn from that. But I think you're referring

1 to the site evaluation. Now if we go to a broad scope
2 licensee and they're doing everything and you've got a very
3 large department, we only have one day, I guarantee you
4 it'll be on a percentage basis, it will be on an audit
5 basis.

6 MS RHODES: Right. Well actually I was taking
7 about both. What we want to do at our hospital is use this
8 for our ongoing quality assurance program in the
9 departments.

10 MR. TELFORD: Right. Some departments will do a
11 monthly audit and they will -- in fact, when we talked to
12 the American College of Radiology, talking about therapy, if
13 you're a member of the ACR, which you probably are, you've
14 seen their model QA program and that's what it suggests,
15 they have a chart check and they have about 18 items that
16 you go through periodically and discover what these little
17 unintended deviations are. In this proposed rule, we just
18 have an annual audit, as you know, but for our site
19 evaluation, if it's a private nuclear medicine department
20 and it's not very large, maybe we can do something close to
21 100 percent.

22 MS. RHODES: Okay.

23 MR. TELFORD: And we might be able to do it in
24 half a day.

25 MS. RHODES: Uh-huh.

1 MR. TELFORD: But if it's a large place, we all
2 know it's got to be an audit.

3 MS. RHODES: Okay, but for the pilot program, for
4 our own use, not the site review, we can decide what
5 percentage we're going to look at.

6 MR. TELFORD: There's no requirement for you to do
7 that.

8 MS. RHODES: But we --

9 MR. TELFORD: If you want to do that monthly,
10 great, I'd love it.

11 MS. RHODES: Okay.

12 MR. TELFORD: Yes?

13 MR. BARNETT: Maybe I misunderstood the letter,
14 but the letter said, I thought, that you changed the new --
15 you wrote a new quality assurance program for your center
16 during the pilot period, that covered the guidelines set
17 forth in the back here. And these two things don't meet
18 that criterion because there are several other documents
19 that are needed, according to the guidelines you've got in
20 the back.

21 MR. TELFORD: I think you're referring to the
22 regulatory guide.

23 MR. BARNETT: Yes.

24 MR. TELFORD: Well if you do that, we'll give you
25 a big A. But that's not really what we're asking for.

1 MR. BARNETT: Well the letter said you changed
2 your QA -- it requested that you change your QA program to
3 meet the new guidelines. Is that not --

4 MR. TELFORD: Could I differ with one or two of
5 your words?

6 MR. BARNETT: Okay.

7 MR. TELFORD: I think what the letter was supposed
8 to say was that please modify your current quality assurance
9 program, if required, to meet the eight objectives, or to
10 meet the proposed 35.35. Now that's right here on the first
11 page. It simply means if you say to us your quality
12 assurance program meets these eight objectives, all is well
13 and good. The regulatory guide, beginning on page three, is
14 for your assistance. If you want to use this or use these
15 procedures --

16 MR. BARNETT: The guides from page three on are
17 far more restrictive than what we just talked about.

18 MR. TELFORD: Okay, let me say again, the guide is
19 only at your option, you don't have to use it at all. If
20 you like it, please use it, we'll be appreciative to learn
21 if it's any good or not. But it's for your information
22 only. It's in no sense a requirement, it's not even a
23 request at this point in time. The only request is that
24 your QA program meet these eight objectives -- no more.

25 MR. LANDERS: Can you just guarantee that the

1 guide will meet those objectives?

2 MR. TELFORD: Oh, well sure. I mean the way the
3 NRC does business is we publish a rule and the licensee
4 sends back to us -- especially in a performance based rule.
5 These are the what things to do -- and they would say back
6 to us, okay, guys, come on, tell us how you think we're
7 going to do this. So we have to give them some how-to
8 guidance, but in this case for this pilot program and even
9 if this becomes a final rule, this will be a guide that you
10 can use at the licensee's option.

11 Now everybody knows that if the rule became final,
12 that each program would contain some minimum sufficient
13 number of procedures, but when I talked about we're going to
14 confess to you the criteria we use to evaluate programs and
15 what we think? Well what you will learn is how we use the
16 guide to evaluate those programs, but let me say again, I'll
17 be very happy if anybody uses the guide, because we'd like
18 to find out if it's any good, but it's not the request. The
19 request is to have your quality assurance program meet these
20 eight objectives.

21 See, this is a giant test. We're just saying to
22 you, here are the what things to do and you tell us how.
23 The test is how well does that work because that's why I'm
24 calling it a performance based rule. We definitely don't
25 tell you anything close to how to do it but if you want

1 advice or guidance, that's in the guide, which we will talk
2 about this afternoon.

3 MR. BARNETT: The only thing about that is you've
4 said two different things. First, you said the only thing
5 that would be required -- let's take teletherapy as a sample
6 -- you said the only thing that we would be required to
7 documentation to agree with one through eight would be to
8 have a prescription and the dose that was administered.

9 MR. TELFORD: I didn't intend to say that at all.
10 Let me say two things -- I'm sorry, are you through?

11 MR. BARNETT: Yes.

12 MR. TELFORD: I didn't mean to step in before you
13 were through, sorry. All we want is for your quality
14 assurance program to encompass and satisfy these eight
15 objectives. Now during the trial program, 60 day trial
16 program -- prior to that, you will have turned in a copy of
17 your QA program, which we will want to evaluate. That's it,
18 that's it for paper if you turned in a copy of your QA
19 program. During the trial period, we want everybody to keep
20 records of prescriptions, referrals, go to the procedures
21 manual for administered dose or dosage, so that if we come
22 to your site we will be sure to be able to find those
23 things. We'll be able to say may we see some of your
24 prescriptions, may we check out your patients as they go
25 through your process. The principal question we'll be

1 asking ourselves is is this hospital implementing the
2 quality assurance program that we evaluated. We evaluated
3 the paper program -- we evaluate your program on paper, then
4 when we get to the site, we'll be asking ourselves are they
5 really doing what they say they're doing. So that my
6 request for you to keep those records is to assist us in
7 that. But we don't mean to go any further than that.

8 MS. RHODES: In essence you'll be looking at
9 records that already have been looked at.

10 MR. TELFORD: Yes.

11 MS RHODES: Okay.

12 MR. TELFORD: Records. We may observe procedures,
13 we may observe what you do with patients, how you do
14 business.

15 MS. RHODES: When we send the written plan in one
16 month, will you make suggestions about how we could improve
17 it, or are we just going to --

18 MR. TELFORD: We will give you what we call an
19 evaluation of your plan.

20 MS. RHODES: Okay, good.

21 MR. TELFORD: Which will be its strong points, its
22 weak points and where we think it needs work.

23 MS. RHODES: And that will be before we implement
24 the plant.

25 MR. TELFORD: No.

1 MS. RHODES: No.

2 MR. TELFORD: You could think of this evaluation
3 as sort of a mock licensing. If it were real, we would give
4 you -- you would send in your plan and say this is my
5 application for the program, if this were real.

6 MS. RHODES: So we'll just send in a plan and fly
7 by the seat of our pants until the end of the program.

8 MR. TELFORD: You'll send in your plans and you
9 will use your good judgment and your experience to carry out
10 the program.

11 (Laughter.)

12 MS. RHODES: Okay.

13 MR. TELFORD: It's not -- we don't want to
14 evaluate your program before you do it. We want to come
15 around afterwards and learn how you do it so that we can
16 know how to write a better rule.

17 MS. RHODES: I understand.

18 MR. TELFORD: We want to do a program evaluation
19 and a site evaluation in a very no-fault manner. This is
20 for free. We won't even use the words "deficiency" or
21 "violations" for gosh sakes.

22 MS. RHODES: They're recommendations.

23 MR. TELFORD: Well we won't even say that. I
24 think when we get through the afternoon and have talked
25 about the guide, it will hopefully be very clear that this

1 is just guidance, information that you can use as you
2 desire.

3 This lady here.

4 MS. ROBERTS: If these two copies of our QA
5 program that you wanted us to bring meet all these
6 requirements, do we just continue doing -- going by our QA
7 program and keeping records?

8 MR. TELFORD: Yes. What you're really telling me
9 is that you already have a quality assurance program and it
10 already meets these eight objectives, and furthermore,
11 you're doing it now. Okay, then May 14 won't mean anything
12 to you. For everybody else that will have to modify their
13 program, then I'm requesting that they implement the
14 modified program on May 14. We have to give this lady a
15 star.

16 Ed.

17 MR. KAPLAN: I just wanted to mention that
18 whatever you do send in, give us a road map that says this
19 part of my program meets this objective, so we'll know when
20 we go through and evaluate, where it is in your plan you're
21 attempting to meet each of the eight objectives.

22 MR. TELFORD: Yes. By road map we mean like a one
23 page outline that says we don't want to ask you to do any
24 more work than is absolutely necessary. We don't want to
25 ask you to write your QA program in any given format or in

1 any given way. You write it however you want to do it, in
2 fact it can be copies of existing stuff. But if you have
3 copies of this and this and this -- if your outline says
4 objective one is met by this section over here, objective
5 two is met by this other section over there, that'll just
6 make our work a little easier and we won't have to search
7 through it and say gee, I wonder where objective three is
8 met, for goodness sakes. If your outline says go look at
9 this section, that helps us a lot.

10 Yes?

11 MS. ROY: In my case where all I do is thalliums,
12 I'll just put objective two not applicable.

13 MR. TELFORD: No therapy, yes.

14 Okay, more questions, comments?

15 (No response.)

16 MR. TELFORD: Why don't we do the next item on the
17 agenda and then we'll break for lunch, if nobody objects.

18 Next we have Lloyd Bolling to clear up any
19 potential misunderstandings about your current state
20 requirements.

21 MR. BOLLING: Regarding those of you who are
22 licensees in agreement states, if during the pilot program
23 you have any misadministrations, you have any leaky sources
24 or contaminated sources, equipment failure, a machine falls
25 on a patient or you have any other thing that might be a

1 reportable incident or a requirement that you committed to
2 in your licensing process or that is part of the
3 regulations, you will have to continue to report those
4 things as you would normally.

5 We've had no questions this morning about NARM
6 material or electronic produced radiation. It is expected,
7 although the NRC does not control these two materials, that
8 when the rule becomes final and the agreement states will
9 adopt these because of the compatibility clause in their
10 agreements, it is expected that these requirements will
11 spill over into the accelerator produced materials area and
12 the LINAC area. Although, you know, the NRC has no
13 jurisdiction in telling the states to do this, but it is our
14 hope that there will be some benefit gained through the
15 byproduct experience that you would want to adopt these
16 measures in your other two areas.

17 Are there any questions regarding any part of the
18 pilot program and how it relates to agreement states and
19 agreement state regulations?

20 Yes?

21 MR. HILL: Would you expect that I-123 would be
22 added to objective two?

23 MR. BOLLING: In an agreement state, yes.

24 DR. TSE: I think it should be in objective three,
25 not two, therapy.

1 MR. BOLLING: You're right, diagnostic, yes.

2 Any other questions?

3 MR. TELFORD: If that's a state requirement
4 currently then what we mean to say is if you have a state
5 requirement, don't get yourself in trouble with the state.
6 Keep doing what your license says so that if you have a
7 requirement that's in addition to these objectives, please
8 keep doing them. If you have a license requirement that's
9 in conflict with these objectives, do what the license says.
10 We hope there are none of those, but if you have them, just
11 put it in your QA program and tell us you're going to do
12 that.

13 MR. BOLLING: And obviously if you have any doubts
14 or questions, you can call your state radiation control
15 office. We've been over this with them. They have copies
16 of the proposed rule, we are in the process of receiving
17 comments by the April 12 deadline. So we've gotten I
18 believe about ten comments so far in the 29 agreement
19 states. So we will be analyzing their comments along with
20 your comments as well.

21 Any other questions?

22 (No response.)

23 MR. BOLLING: Thank you.

24 MR. TELFORD: Let's go off the record for a
25 moment.

1 (Discussion off the record.)

2 MR. TELFORD: The next item that we would like to
3 cover on the agenda is actually not on the agenda, but it's
4 a discussion of the evaluation forms. What I was mentioning
5 this morning is that we really want your evaluation of not
6 only what you think about these eight objectives and how to
7 modify them, but we'll provide you with hopefully a very
8 handy, dandy evaluation form to give us a grade on each of
9 the eight objectives and each part of the regulatory guide
10 that you may have used. I'll introduce Kevin Nelson from
11 Brookhaven and he's going to talk about a draft version of
12 that evaluation form which we would send to you whenever the
13 actual 60 day trial begins so that you can look at it and
14 say okay, this is what I want to record.

15 Kevin.

16 MR. NELSON: Thanks, John.

17 As John mentioned, we're from -- I'm from
18 Brookhaven National Laboratory and we're assisting the NRC
19 in the development and implementation of this pilot program
20 and we're really looking at you to provide us two types of
21 information; one is the QA program, which we would want to
22 have you submit to us by I guess May 7 and we'll talk a
23 little bit more about the schedule this afternoon. These QA
24 programs will be evaluated and presented, the information
25 gathered from those evaluations, presented at the second

1 workshop. The other type of information that we request is
2 from this evaluation form. This evaluation form is used to
3 measure your response to the QA objectives or specific
4 points in the reg guide, and this gives you another chance
5 to comment on specific objectives, and it's very important
6 whether you're a large medical use institution or you have
7 smaller use situation, that you comment. This is the only
8 way that we can have an effective rule that will work for
9 us.

10 This evaluation would be completed by you and sent
11 to Brookhaven by July 31. We suggest that you bring a copy
12 of this evaluation form with you, however, at the second
13 workshop so we can discuss it at that time.

14 The NRC gives you two options for a QA program,
15 you can use your existing institution's QA program and any
16 modifications you may make, or you can use the reg guide.
17 To measure the effectiveness of either the existing QA
18 program that you're going to be using or the reg guide, we
19 need a method to evaluate specific objectives or points in
20 the reg guide.

21 The way that we're going to look at this is by
22 looking at -- if we follow down here, benefit, economic
23 impact, personnel availability, impact on medical care,
24 covered under existing requirements, acceptability. And
25 we're going to rate these, or actually you're going to rate

1 these from one to five, one being that it's a very good
2 rule, it's not costly, personnel are always available, and
3 five being it's a bad rule, it's extremely costly, very
4 difficult to get people to help.

5 We're going to have you rate either the reg guide
6 itself if you don't have an existing QA rule, or the
7 objectives, the eight objectives, one to five, for each of
8 these topics. Now I should mention that this evaluation
9 form is in a draft form right now. There are going to be
10 some modifications. For instance, what you don't see up
11 here is a slot for the eight objectives with the various
12 topics. But what we hope you will do is again we try to
13 keep this very simple to minimize the time that it takes for
14 you to fill this out, but we want your suggestions and
15 comments on either the objectives or the reg guide.

16 If we go along the top here, this would follow
17 each of the points in the reg guide, if you wanted to
18 comment on those. If you found that a specific objective or
19 point was difficult for you to meet, you would rate that
20 probably either a four or five. At that point, we're asking
21 you to give us your comments on a page very similar to this.
22 Again, these would be for the items that you have difficulty
23 in meeting, as far as either the objectives or the reg guide
24 itself. And again, and I can't stress this enough, this is
25 the only way that we can really make a better QA rule, is

1 that if you take the time to explain the problem or mention
2 maybe an alternative to what you do in your existing QA
3 program. We don't expect that when we get these back, that
4 they're all going to be filled out with ones or twos, just
5 as we don't expect that we're going to get them back and
6 they're all going to be fours and fives.

7 But again, on the ones that you do have some
8 difficulty with, please put your comments down on this page.
9 To help you with that, we will be providing you some
10 guidance as to what we want you -- or how we want you to
11 respond in some of these topic areas that we have along the
12 side here. For instance, if you had a problem with one of
13 these objectives or specific reg guide points, as far as the
14 economic impact, it was extremely costly to you, we want
15 you, for instance, to try to estimate the kind of additional
16 costs that would be required. If you didn't have the people
17 to help with this specific objective, we'd want you to
18 indicate the types of people that you would need, how many
19 people you would need.

20 Again, this information will be sent along with
21 the revised evaluation form and we hope that will be in the
22 early part of November.

23 Okay, any questions on the evaluation form itself
24 or what's needed in filling it out? Yes?

25 MR. KAPLAN: Just to reiterate that if you're

1 inclined to put a lot of fours and fives, you're obligated
2 to give us your comments.

3 (Laughter.)

4 MR. NELSON: And we can't stress enough that, you
5 know, you do put your comments down because that is one of
6 our best ways in making a better QA rule.

7 VOICE: This is going to come when, November?

8 MR. NELSON: No, this is -- this should be early
9 May that you received the revised evaluation form, and we
10 would like that completed by -- once you've finished the
11 pilot program.

12 MS. ROY: I know that this is just a draft of it,
13 but you've got "covered under existing requirements" and one
14 is not covered and five is fully covered? To me that seems
15 backward. I mean if it's covered under existing
16 requirements, then that should be fully covered and one
17 should be --

18 MR. NELSON: That's a good point. As I mentioned,
19 there is some modification that we will make with this
20 evaluation form and your suggestions plus several others are
21 being considered now. Hopefully, it'll be better understood
22 when we send it out.

23 And if you do have any questions on it, please
24 give either the NRC or Brookhaven a call and we'll be more
25 than happy to explain what we mean in the ratings.

1 MR. BAHADUR: Kevin, I just wanted to verify, you
2 mentioned that by May 7 all the volunteers would have sent
3 the QA programs to you.

4 MR. NELSON: Correct.

5 MR. BAHADUR: What are you going to do after you
6 receive all these programs?

7 MR. NELSON: Okay, again, there's two types of
8 information that we're gathering in this pilot program; one
9 is the QA program which some of you have brought today, that
10 lists the procedures that you follow in your institution for
11 QA. The other type of information is this evaluation form
12 that evaluates the impact you feel on the specific
13 objectives or the reg guide itself. Once we receive the QA
14 programs, we will evaluate those as to the -- how we feel
15 they comply with the objectives, and that is a process that
16 is going to take I think a fair amount of time. It may or
17 may not, depending on your scope of operations. You may
18 have a QA program that's one or two pages and you may only
19 do a few new med procedures and that's it. Otherwise, you
20 may have an institution that does everything and we would I
21 guess anticipate that evaluation of those may take a little
22 bit longer period of time.

23 MR. LANDERS: Do you want us filling these forms
24 out with the input from the users, or do you want the users
25 filling these out?

1 MR. NELSON: Well I guess we would anticipate that
2 the people that are impacted the most by this would be
3 filling the forms out. If it's the approved user that does
4 the majority of the QA, I would anticipate they would be --
5 we would suggest that they would be the one to fill these
6 out.

7 MR. LANDERS: Particularly in therapy, I'm not
8 sure I'm qualified to grade the impact on medical care here.

9 MR. NELSON: You're talking about a situation
10 where you have a number of these, you have nuclear medicine,
11 you have brachytherapy and teletherapy, you may specialize
12 in only nuclear medicine or teletherapy. In that case, I
13 guess we would ask that you get input from these other areas
14 if you don't feel qualified to evaluate the.

15 MR. LANDERS: Even in my own area, I don't feel
16 qualified necessarily to judge the impact on medical care.

17 MR. NELSON: That's I guess one area that we're
18 going to modify a little bit as far as what we really mean
19 by that. As it stands right now, it isn't worded very well
20 and I assume that, as with any QA program, there's always
21 going to be some positive impact with minimization of impact
22 on medical care.

23 You know, if you need assistance in evaluating the
24 objectives, you don't feel qualified, by all means seek
25 assistance from people that you feel would be more

1 qualified.

2 Any more questions?

3 (No response.)

4 MR. NELSON: Thank you.

5 MR. TELFORD: Let's go off the record for just a
6 minute.

7 (Discussion off the record.)

8 MR. TELFORD: We'll going to break now for lunch
9 and return at 12:45.

10 (Whereupon, a luncheon recess was taken at 11:32
11 a.m., the meeting to continue at 12:45 p.m., the same day.)

12

13

14

15

16

17

18

19

20

21

22

23

24

25

AFTERNOON SESSION

1
2 MR. TELFORD: This afternoon we will talk about
3 the guide and Dr. Anthony Tse will lead you through the
4 guide and then I'll come back and talk about the schedule
5 and the summary of the workshop today and then you'll have a
6 chance for individual remarks.

7 DR. TSE: Thank you, John.

8 This morning, we were talking about general
9 objectives and this afternoon, we will talk about some
10 specific items we are proposing as a guidance -- a very
11 important word, guidance, not a requirement. The licensee
12 may use this guidance to develop their QA programs or they
13 can use some other guidance like ACR, GCH or what ever other
14 guidance you have to develop a QA program. But the way we
15 envision is that as long as you are meeting the objectives
16 we discussed this morning -- if that becomes a primary
17 focus.

18 I think you all have this document, the Reg Guide,
19 we sent it to you some time ago, so you've probably had a
20 chance to read it through. I'm not going to go into detail
21 of each requirement but I'm going to walk through the
22 general areas and then if anybody has any specific comments,
23 suggestions or anything, please raise their hand.

24 Okay, the first page of the Guide is essentially
25 to state that this is a guidance, very clearly states that

1 this is a guidance and we are asking for public comments.
2 So we will receive some public comments from whoever is
3 interested in this guidance and also, specifically, we will
4 receive your comments as public comments so we can modify
5 our guide to be a better document.

6 The second page, we said this is essentially a
7 rough guide and we describe a little bit about the problems,
8 the errors introduced, what size of estimate of the number
9 of administrations and a little bit about what kind of
10 problems we're facing. If anybody has any comments,
11 questions or suggestions on each of these pages as I go
12 through, please let me know and we'll stop and discuss your
13 comments. If there are no comments, we'll go to the next
14 page.

15 The next page, page three, page three generally
16 said this quality assurance -- a sort of basic quality
17 assurance program can be separated into human errors, or we
18 may want to use the word simple human errors. Based on our
19 misadministration reports, many errors are made because
20 somebody multiplied wrong or read a number wrong or as John
21 said this morning, Mr. Smith -- there happens to be two Mr.
22 Smiths in that particular room, and those are simple human
23 errors.

24 There are many other QA requirements currently
25 already in Part 35 and these are more related to

1 instrumentation, machines and so forth. But they -- those
2 are additional QA requirements. The basic QA program is
3 only designed to prevent "human errors". Generally, that's
4 the case.

5 Now under regulatory position, we have reiterated
6 that this is a guidance. You do not have to use this but if
7 you propose other things, NRC just wants to take a look and
8 make sure they meet the objectives.

9 Any questions or comments so far?

10 (No response.)

11 DR. TSE: Then we go to a little bit specific
12 item, page four. Number one talks about responsibilities,
13 authority and audit. It's stated here that the licensee
14 should have some -- should, it uses the word should -- have
15 some kind of written policy to state who is responsible for
16 what. I guess, probably, whoever has a QA program probably
17 already has those statements. You need to know who is
18 responsible for what.

19 The second item is about the audit. And it said -
20 - as John said, it is stated here perhaps an interval of
21 four months, and many people may want to use different time
22 periods. There should be somebody who audits the program,
23 is somebody which is not doing the work. If I'm auditing
24 myself, if I make error, I will continue to make error, I
25 will not discover it. So somebody should do this.

1 Yes?

2 MR. BARNETT: I have a question.

3 DR. TSE: Yes.

4 MR. BARNETT: The way our licenses are currently
5 set up, is that person is usually the radiation safety
6 officer of the program and it's supposed to be reviewed on
7 an annual basis, the radiation safety program; is that an
8 analogous statement or is -- do you have to have someone
9 outside the organization to do your audit?

10 DR. TSE: No, you do not have to have somebody
11 from outside your organization. There's no independent
12 organization or independent person; however, the person who
13 audits the program -- based on your management
14 determination, should be knowledgeable in the procedures.
15 If they don't know anything about the procedures, they may
16 not be able to effectively make sure these procedures are
17 correct or flaws in those procedures.

18 MR. BARNETT: Okay. Is the RSO an adequate person
19 to review the program?

20 DR. TSE: That's why it specifically says here
21 your management will have to decide because RSO has many
22 different qualifications. But the essential thing is that
23 he should be able to discover something wrong. If he
24 couldn't, then there's -- you know, it will not fit the
25 purpose of audit. But your management is the one to decide

1 who is qualified and who is not qualified.

2 Any other questions?

3 (No response.)

4 DR. TSE: Okay. Now, this Guide is structured in
5 the way that the first portion, meaning item number two is
6 applicable to all -- to all, meaning diagnostic therapy,
7 teletherapy, brachytherapy, et cetera, all of those
8 departments; so, therefore, it is essentially a general kind
9 of a statement.

10 Now 2.1 on page four essentially says that those
11 things have to be legible. These are very moderate kind of
12 statements. Somebody writes a word, microcurie, millicurie,
13 squiggle N or U, you couldn't tell whether it's milli or
14 micro and that should be written in a more recognized
15 manner. I think QA expertise probably says that is an
16 important area so that people do not to make a mistake that
17 way.

18 Second, 2.2 is to say that if you have a doubt, if
19 for some reason the physician wrote something which is
20 difficult to understand anyway, but I guess the health care
21 workers may understand, if you are not sure, you should ask
22 for clarification.

23 Does anybody have any comments or suggestions on
24 this?

25 (No response.)

1 DR. TSE: Now, we will go to the next page. The
2 next paragraph says that if there is a discrepancy -- like
3 this morning, John said that if there's -- referral says
4 lung cancer -- not lung cancer, lung scan take iodine 131,
5 how many millicuries and it's different from your manual,
6 clinical manual, then you should not do it. You know there
7 is something wrong and you've got to check.

8 And, of course, the last item in the General is
9 that you want to make sure what you're doing is correct.

10 Please?

11 MR. LANDERS: I have a question on 2.3.

12 DR. TSE: Yes.

13 MR. LANDERS: From whom should guidance be sought?

14 DR. TSE: I guess that you will have to -- if it's
15 a physician, some physicians, related physician's
16 discrepancy, you need to check with your physician. If it's
17 a dosimetrist who wrote 1.2 milli and the 1 is like 7, you
18 should go to the dosimetrist to seek clarification.
19 Generally, we do not say who, but there are some things your
20 organization can tell your people whom you should ask. If
21 it's related to radiation safety, you may want to ask the
22 RSO.

23 Any other questions or comments on this one?

24 (No response.)

25 DR. TSE: Now, we continue on --

1 MR. TELFORD: Page five?

2 DR. TSE: Page five -- no, wait a minute. We have
3 not got that far yet. We are just at 2.3 Now, we are going
4 -- still on page five, we go to Item Number 3.

5 The items in Item Number 3 are specifically
6 tailored to radiopharmaceutical therapy and also for
7 diagnostic procedures involving more than 30 microcuries of
8 iodine 125 and iodine 131. Only applicable to those
9 situations.

10 The first one for those cases which authorized
11 user physician under the supervision of authorized user --
12 when I say authorized user physician, it includes all of
13 these physicians. They have to review the case, they have
14 to write a prescription and any referral will not -- the
15 suggestion is that any referral, you should not go by that
16 which is objective in two. You need physician to check
17 first.

18 Any problems with those? Any questions?

19 (No response.)

20 DR. TSE: Now, we go down to number 3, Item 3.3,
21 If any changes -- somebody say that this patient should be
22 ten millicuries iodine 131 -- well, maybe that's not enough,
23 should be 20 millicuries. The physician -- the authorized
24 physician could make such a change. But if a technologist
25 sees 15 microcuries iodine 131 and the technologist says,

1 oh, this patient, I know, may need five millicuries, he
2 cannot make such a change. The change has to come from the
3 authorized physician.

4 MR. LANDERS: Excuse me.

5 DR. TSE: Yes.

6 MR. LANDERS: Is it sufficient to get an oral
7 order for change and have it signed and dated later?

8 DR. TSE: Well in here, we recommend that you make
9 a change before administering the radiopharmaceutical. The
10 concern is that sometimes oral we make a mistake of how many
11 millicuries and so on. If you feel that interfere with
12 your practice, then you might be able to make your program
13 to say I'm going to do it another way. Whatever the way of
14 doing it, you need to make sure that the oral -- whatever,
15 prescription is a correct one, somehow. There is other ways
16 you can do it other than this. So make that kind of
17 suggestion in your QA program and do it that way. Maybe we
18 will discover some more ways to do -- to assure that than
19 this way, then we can add it in. We will have several
20 alternatives, one, two and three.

21 Okay, the next item, 3.4 is the identity of the
22 patient again -- for those therapist who are fortunate to
23 have the right patient, right dose and so forth.

24 Number 3.5 just says that after you finish
25 somebody should write down -- a qualified person should

1 write down the dose given to the patient and whether they
2 are the same or not the same as what was prescribed. Now,
3 it's not the same, of course, you have to correct it.

4 Anybody have a question on this one?

5 MR. TELFORD: In the interest of asking questions
6 that some other group may have brought up, let me ask you a
7 question here. Is it necessary to write down the agreement
8 or lack thereof? Let's say that we have written down the
9 prescribed dose and the administered dose, is that enough?

10 DR. TSE: Let me ask you a question. Do you have
11 a problem with your writing agreement or not agreement?
12 Anybody have a problem, say you write a prescribed dose, you
13 write an administered dose, then here it says you've got to
14 report agreement or not agreement; yes, they are the same,
15 no, they are not the same. Do you follow?

16 MR. GARRISON: I don't. I have a hard time -- I
17 can't -- it's hard for me to draw up 20 millicuries exactly.
18 I don't know what you -- I don't know what I'm trying to
19 say. I mean --

20 DR. TSE: Oh, I understand -- I think I understand
21 what you say. Let's say your physician's prescription will
22 be 20 millicuries, now you draw 19 or 21, now the
23 administration says this is an unintended deviation. I
24 don't think we really meant that. In some of the manuals,
25 the clinical manual, you may have a range, you may have a --

1 have a -- okay, you understand?

2 MR. GARRISON: (Nodding head affirmatively.)

3 LT. COMDR. PULCRANO: Question.

4 DR. TSE: Okay. Let me finish with his question
5 first.

6 LT. COMDR. PULCRANO: Okay.

7 DR. TSE: Are you related to his question?

8 LT. COMDR. PULCRANO: In a way.

9 DR. TSE: Okay, fine. Go ahead.

10 LT. COMDR. PULCRANO: It refers to -- sometimes
11 physicians will write prescriptions -- they will say three
12 to five millicuries. Okay, that's the prescription. Now,
13 if the tech draws up four, he's within that range, does he
14 still have to make a statement? Is that what you're saying?
15 You still want him to make a statement?

16 DR. TSE: No, no, that's the question I'm asking
17 you. If it's within prescription, three to five, four is
18 right in the middle --

19 LT. COMDR. PULCRANO: Yeah, that's in accordance
20 with the prescription.

21 DR. TSE: Right.

22 LT. COMDR. PULCRANO: Okay.

23 DR. TSE: But my question is this -- all right,
24 maybe I just want to state what other group said. The other
25 group said that if you have a column that says prescribed

1 dose, if you have another column that says administered
2 dose, one is 20 millicuries prescribed and administered 19,
3 it's obvious they either close or not close, why do I need
4 to have another column here to say agree or not agree. So,
5 we said that's fine. Maybe we should change this. It may
6 not be necessary to say agree or not that you need to look
7 at to see whether it is close enough or is it an intended
8 deviation. If it is, you may want to follow the objective.

9 LT. COMDR. PULCRANO: Rewrite the prescription,
10 right.

11 DR. TSE: Yes -- no, you cannot rewrite a
12 prescription.

13 LT. COMDR. PULCRANO: We'll make a change in the
14 prescription. I mean if those --

15 DR. TSE: I don't think so because if the
16 prescription -- you can change a prescription --

17 LT. COMDR. PULCRANO: Before it's administered.

18 DR. TSE: Right. But after it's administered, if
19 it's a -- let's say it's supposed to be ten millicuries and
20 you somehow got 20 millicuries instead, then in that case,
21 then you have misadministration. But before that, you can
22 change the prescription, after that --

23 LT. COMDR. PULCRANO: I realize after, you can't.

24 DR. TSE: Brachytherapy is slightly different and
25 we'll talk about that later.

1 Yes?

2 MR. GARRISON: So diagnostic doses, if it's not
3 going to have dose on the prescription, but our list of --
4 you're going to have a range, let's say 15 to 25
5 millicuries, that's what you're looking for, right?

6 DR. TSE: Well, I just want to -- we use a
7 specific term with specific meaning in here. It may not be
8 corresponding to general use, but in this particular
9 document, the prescription is specifically -- it is
10 specifically tailored to the authorized user's prescription,
11 written directives. You should include like radioisotopes
12 and so on, curies and so on. But what you're talking about
13 is a referral. A referral will only say like how many --
14 let's say a bone scan. Then your manual will say how do you
15 do it. Those are the -- the manuals are the words to go by.

16 Any other questions or comments?

17 (No response.)

18 DR. TSE: If not, let's go to the next page. Now,
19 this one goes to brachytherapy. I know we have a lot of
20 comments on this one. 4.1 is the same, that the physician,
21 meaning the authorized user physician should review the
22 case, the patient's case and, of course, authorized
23 physician should make the prescription.

24 Any questions so far?

25 (No response.)

1 Yes?

2 MF. GARRISON: So diagnostic doses, if it's not
3 going to have dose on the prescription, but our list of --
4 you're going to have a range, let's say 15 to 25
5 millicuries, that's what you're looking for, right?

6 DR. TSE: Well, I just want to -- we use a
7 specific term with specific meaning in here. It may not be
8 corresponding to general use, but in this particular
9 document, the prescription is specifically -- it is
10 specifically tailored to the authorized user's prescription,
11 written directives. You should include like radioisotopes
12 and so on, curies and so on. But what you're talking about
13 is a referral. A referral will only say like how many --
14 let's say a bone scan. Then your manual will say how do you
15 do it. Those are the -- the manuals are the words to go by.

16 Any other questions or comments?

17 (No response.)

18 DR. TSE: If not, let's go to the next page. Now,
19 this one goes to brachythera, I know we have a lot of
20 comments on this one. 4.1 is the same, that the physician,
21 meaning the authorized user physician should review the
22 case, the patient's case and, of course, authorized
23 physician should make the prescription.

24 Any questions so far?

25 (No response.)

1 DR. TSE: I think generally they do that.

2 Now 4.3 is somebody should make sure that the
3 sources that are going to be used by the physician is the
4 source he prescribed. It is not so easy to do that. That's
5 why we give apprentices, we give you some suggestions on how
6 you do it. You know, different people do it different ways.
7 But essentially, somebody has to check into whether those
8 sources are the ones the physician needs, either in terms of
9 radioisotopes or in terms of activity.

10 Now, anybody have a question on this one?

11 Yes?

12 MR. BERK: Verifying source strength; do you want
13 the verification to include a measurement or that the number
14 written is what it should be?

15 DR. TSE: Well, there is other requirements in
16 terms of --

17 MR. BERK: I mean like when you order, say gold
18 seeds from a company and they come in and they have four
19 millicuries per seed, you don't want them to measure it to
20 verify that it is four millicuries, just take that number?

21 DR. TSE: Okay. That's a -- I think is a separate
22 question here -- from here. When the doctor says I want
23 certain millicuries for something, you go to your vault, and
24 to open the thing and then take certain sources and those
25 sources you should have sealed so you will be sure that

1 these are the sources. Sometimes it's difficult when you
2 want to take a look, so you may have a color scheme, but
3 sometimes color scheme will not work right. So it's not so
4 easy; however, we should try to verify those sources are the
5 ones the physician ordered.

6 Okay, now we go to the next one. The next one is
7 a change in prescription.

8 MR. LANDERS: Did you skip 4.2?

9 DR. TSE: Oh, 4.1 and 4.2, I put together.

10 MR. LANDERS: I got a little difference there and
11 it relates back to something we've been discussing earlier.
12 Are we asking the physicians to write down some generic
13 prescription here which can be changed at the time of
14 implant or afterwards?

15 DR. TSE: Right.

16 MR. LANDERS: But just to have something written
17 down?

18 DR. TSE: Well, the physician have to written down
19 something to convey his desire to dosimetrist or
20 technologist or whoever, so that his wish will be fulfilled
21 and not misunderstood by other people. So that's the
22 prescription.

23 At the time he examines patient, he wants to do
24 something and he writes that down and he can change it later
25 before implant. Also, after implant if there's difficulty

1 for him to put -- on the computer, you can always have
2 precise location, X,Y,Z, but that's not the case when you're
3 trying to operate on somebody and implant the source, you
4 may not go to the X,Y,Z position shown in the computer. So
5 after you load the sources, if that turns out to be not
6 exactly the point the computer planned, you can update it,
7 that it's different from the other.

8 MR. LANDERS: I don't mean to beg the question
9 here, but would that be an unintentional deviation?

10 DR. TSE: Well it could be, that's why I said
11 exception. However, what you want this physician to do, he
12 operate the patient, have in operating room, he try to put
13 something in and it happens we cannot go to exactly -- maybe
14 whatever the problem is -- cannot go to exact X-1, Y-1, Z-1
15 location, what you want him to do.

16 MR. LANDERS: In fact, sometimes you can't even do
17 it.

18 DR. TSE: Okay, that's right. Therefore, those
19 are -- it's a difficulty associated with those kind of
20 operations. Now in nuclear medicine, it's very easy, you
21 put in the dose calibrator and you know what they are, so
22 you should not really easily make mistake. But these are
23 the mistakes you're not making -- they have to put the
24 source in wherever -- maybe they even do not know the size
25 of the tumor before they operate. After the operation, the

1 prescription has to be written before the operation and you
2 do not permit him to change it, you've got problem -- maybe
3 every time.

4 MR. BARNETT: Maybe rephrasing the question a
5 little bit, when does the physician's intention become a
6 prescription? It's obviously not when we ordered the
7 sources or even maybe when you get to the patient, but when
8 does it become a prescription?

9 DR. TSE: I think it says here before
10 administering the byproduct. Be. a you put into the
11 patient. Some people may do it at different times, but
12 according to this -- you may do it differently.

13 MR. BARNETT: He must have made a decision on how
14 many seeds before --

15 DR. TSE: No, just the decision is how many I
16 think I need, maximum.

17 MR. LANDERS: Perhaps we could say --

18 MR. BARNETT: I'm not sure that's administering
19 after loaded sources. Maybe we could distinguish between
20 live implants and after loaded implants.

21 MS. RHODES: Well I'm wondering if at the point of
22 surgery, the surgeon may go into an abdomen believing that
23 he has a bad gallbladder but when he gets in there, he finds
24 a big adenocarcinoma, so he changes his course of action,
25 and it's in the operative record and it's really no

1 different. He intended one thing, but when he got in there,
2 it didn't work out.

3 DR. TSE: That's right. That's essentially -- in
4 this brachytherapy item, this is what is permitted. So we
5 don't want to let the physician when he's doing this
6 particular implant operation, think hey, am I going to get a
7 misadministration. No, you do the best you can under those
8 circumstances.

9 MS. RHODES: When you write your plan, you could
10 put that in as an exception.

11 DR. TSE: Okay, John.

12 MR. TELFORD: Question under 4.2, I think you're
13 focusing on the word prescription as before-the-fact kind of
14 act. Maybe it would help you if we described that as sort
15 of a plan rather than a -- see, we think of that as an
16 approximate kind of prescription where the physician knows -
17 -

18 MR. BARNETT: Yeah, but you can't -- then that
19 negates the definition of the misadministration. If you
20 have a nebulous plan then you can always meet it.

21 MR. TELFORD: Let's skip forward through 4.2, 4.3
22 and 4.4. In 4.2, the intention is to say let's write down
23 approximately what we're going to do. In 4.3, we check the
24 seeds before we put them in. In 4.4, it says now lets write
25 down exactly what we did because now if it's a temporary

1 implant the clock starts. So we know exactly how many seeds
2 we put in, their location and now we can go calculate how
3 long to leave them in. So it's 4.4 that's sort of the
4 final. Is that wrong?

5 DR. TSE: No, it's not wrong. But final is 4.6,
6 after implant, you can write down changes to reflect the
7 actual loading. We realize actual loading may not be
8 exactly the same as your planned loading. So 4.6 is the
9 final prescription. Now that's only limited to this
10 brachytherapy because we realize this has those kinds of
11 problems. With radiopharmaceutical therapy you cannot say
12 after injection, then I update change. That's not really
13 good. But before, you can. If your technologist said that
14 -- for example, the physician says hundred millicuries or
15 whatever and technologist says I only have 30 millicurie or
16 maybe 25 or 22, 22 is just on the order of ten percent,
17 let's say 23 you're exceeding ten percent. If you
18 intentionally did that, it would be misadministration. But
19 before the fact, the technologist can go to the physician
20 and say do you want me to wait or do you think it's okay.
21 If the physician say it's okay, he change the prescription,
22 sign it, go ahead. So the judgment of the physician is very
23 important, the authorized user physician. However, it
24 cannot be done -- after it's done, then that's obviously
25 wrong.

1 Okay, any additional points on this? Yes?

2 MR. KLINE: In nuclear medicine, often you'll see
3 in the diagnostic and also therapeutic prescriptions,
4 there'll be a range given. In oncology, maybe in regards to
5 your question over here, the final prescription versus --
6 the prescription before application of the sources versus
7 the revised prescription after you put the sources in, would
8 it possibly be a consideration to use a range of sources
9 based on the particular application? For example, on a
10 prostate where you're using iodine 125 seeds, the physician
11 does not know if all the seeds are going to be able to be
12 applied, you don't know where the tumor is, you don't know
13 exactly how many seeds you need, the anatomical areas might
14 be obstructing the use of a device to insert the seeds.
15 These sort of things might be what you're referring to where
16 you can be entirely off base from an optimal 20 seeds, you
17 might not have any seeds or you might end up using 40 seeds.

18 DR. TSE: Right.

19 MR. KLINE: Would it be possible that the
20 prescription could be more precise in their case where they
21 would be given a range, zero to 20 seeds, to be used for
22 this application?

23 DR. TSE: I think so because you can say -- you
24 can use zero to 20 seeds or you can use 20 seeds or you can
25 use 40 seeds just because of the maximum. Then later, after

1 you look at it and see the doctor said only I needed ten
2 seeds, then you put down change to ten seeds, either before
3 or after the implant. But if somebody make error, say that
4 I want ten seeds of certain strength, let's say ten
5 millicurie, whatever, and somebody -- the doctor meant one
6 millicurie. Doctor cannot tell, he's just going to implant
7 it and your plan is ten millicurie, actually load one
8 millicurie, you may have a problem there, QA wise. But
9 that's -- we understand this specific situation of the
10 brachytherapy, that's why we make those specific
11 suggestions.

12 Please?

13 MR. FURR: I understand the need for a written
14 prescription beforehand if a technologist is called and the
15 orders are confused. In the case where the physician is
16 actually doing the implant, I-125 implant or even a cesium
17 implant, he is following his own intention and I don't
18 understand the value of writing a prescription for him.

19 DR. TSE: Okay. For example -- let me give you an
20 example. If he tells the physicist or technologist, give me
21 25 millicuries, people may be involved with something else
22 and take out a ten millicurie and you would not be able to
23 tell if you don't use color or something. And so those are
24 the things that -- the prescription, not to limit the
25 physician, he has to do what he originally intended, but to

1 let other people know what he intends to give, what he
2 wants. That's the purpose.

3 MR. LANDERS: I don't have any problem with after-
4 loading. When they go into the OR and they put in after-
5 loading devices, the administration hasn't occurred yet,
6 we've got all the time in the world to do everything before
7 the actual implantation. The live implants are the problem.
8 Maybe we should distinguish between the two.

9 DR. TSE: Let me ask you why is that a problem?
10 Can you describe the problem?

11 MR. LANDERS: I just envision problems writing
12 down let's order -- let us implant anywhere between zero and
13 140 seeds in this case, all of them to be within a range of
14 .34 to .38 millicuries. And then coming back afterwards and
15 saying what we actually did. What's the reason for doing
16 that in the first place? The .34 to .36 I understand, the
17 per seed.

18 DR. TSE: But there are different ways of doing
19 things. Some people may do one way, some people may say I
20 want to give a certain dose to certain --

21 MR. LANDERS: Oh, yeah, we've got it all planned
22 out ahead of time, sure.

23 DR. TSE: Now wou'd you want to know, as a
24 physicist, what the physician wanted in the first place?

25 MR. LANDERS: We'd never order anything without

1 him saying that.

2 DR. TSE: That's the purpose for that, is to let
3 the physician tell you what he's thinking about. You can
4 plan it and get back to him, he may want to modify it and
5 say oh, that's no good, let's change another way. So it's
6 to minimize the misunderstanding between the physician and
7 the staff.

8 MR. KLINE: I think the detail you're bringing up
9 here is being done anyway. The only difference here is --

10 MR. LANDERS: Right, it's being done, we're just
11 now going to have to come back and change it afterwards to
12 actually record a change.

13 DR. TSE: Do you record them anyway?

14 MR. LANDERS: We don't record a change, we just
15 record the new situation, the real situation.

16 MR. KLINE: But this requires now a written
17 documentation, that's the main difference we see here. Now
18 that is often done in your dosimetry logs anyway, your
19 brachytherapy dosimetry is, by recording the number of
20 sources inventoried in and out, so you're keeping a record
21 but it's not really a prescription, it's a physical
22 inventory. So this is a documentation requirement that's
23 not a big impact, the only difference is in regard to using
24 a range and modifying the exact number later. And you're
25 probably doing that already in your department. I would

1 think you would have to, to account for the sources and also
2 to account to the physician in the chart what the dose is.

3 MR. LANDERS: Well we certainly do pre-implant
4 dosimetry and the physician picks a line and says let's do
5 this or that line and we order accordingly. Then we do
6 post-implant dosimetry and if the two bear a relation to
7 each other, that's great; if they don't we take what
8 happens.

9 DR. TSE: That's true.

10 MR. LANDERS: If it's an after-loading case
11 there's no problem at all.

12 MR. KLINE: But isn't the final dose administered
13 documented in the patient's chart in the oncology
14 department?

15 MR. BARNETT: Yeah, we don't have any problem
16 about defining it.

17 MR. LANDERS: No problem there.

18 MR. BARNETT: It's the pre-information.

19 MR. LANDERS: Yeah.

20 MR. BARNETT: The other problem you run into is
21 this is not like a -- if you do a number of these, you don't
22 order seeds for an individual patient. You may have mixed
23 activities from -- suppose you ordered 40 seeds for a
24 patient, you only use 20. On the next patient you will use
25 those 20 which were not individually ordered for that

1 patient, or you might use those 20 and an additional ten.
2 So your inventory was not based on an individual patient,
3 it's not like a pre-dose.

4 DR. TSE: Maybe I use the word "order" and you
5 were thinking I mean purchase. When I say order, we mean
6 the physician would like say how many curies, how many seeds
7 you would use, that's what I mean.

8 Now let me emphasize, this is just a guidance and
9 if you have a better way and you have no problems, please
10 state it because we might be able to improve on these
11 things, if you can let us know.

12 MR. TELFORD: Tony, you may be telling us in 4.2
13 that we're over-specifying what we need to specify here and
14 what needs to be written down.

15 MR. BARNETT: Well I think all we're saying is
16 that permanent implants cause special problems. I don't
17 think any of us have any problem with after-loading devices
18 in any of these things.

19 MR. TELFORD: At the next workshop, if you have a
20 way to split this up so that you could say let's do this for
21 permanent implants and let's do something else for temporary
22 implants, that'd be very helpful because we see what you're
23 talking about. It could be that we're over-specifying in
24 certain cases.

25 MR. BARNETT: Yeah, I don't think anybody -- in

1 terms of after-loading -- that any of this is a problem.
2 It's just in the permanent implants.

3 MR. TELFORD: Okay, maybe you can give us a
4 suggestion for permanent implants at the next workshop so
5 that we can make this a lot more workable.

6 DR. TSE: Yeah, in fact they can write it to us.

7 MR. TELFORD: Let me say this, the QA program and
8 the guide are completely different. There's two objectives.
9 You're going to say in your QA program how you do it in your
10 facility, but just for the guide's sake and to help
11 everybody else, if you had a suggestion the next time on how
12 to fix the guide, just independent, in and of itself, that
13 would be useful. But don't think of the guide as being
14 locked in concrete.

15 DR. TSE: Let me continue now to 4.5 -- we already
16 talked about 4.4 which is that changes are permitted.

17 4.5, does anybody have a question about 4.5?

18 MR. LANDERS: Yes.

19 DR. TSE: Okay, please.

20 MR. LANDERS: Sometimes -- it sounds to me like we
21 are requiring the physician to have radiographs made for
22 calculational purposes. In some cases it's not necessary,
23 in some cases it's counter-productive -- not counter-
24 productive but you get zero information out of it, and I'm
25 thinking now of an eye plaque where the gold shield

1 completely hides what you're trying to see. I realize this
2 is a suggestion but --

3 DR. TSE: But other than eye plaques, what do you
4 think?

5 MR. LANDERS: Well for a single o-void?

6 DR. TSE: For the temporary.

7 MR. LANDERS: No, no, no, I think it's fine in
8 almost all cases, just there are a few cases in which it is
9 not useful at all.

10 DR. TSE: But do you find any problem?

11 MR. BARNETT: I guess another one is -- I don't
12 guess we really -- I haven't gotten into this, but I got a
13 high dose rate after loading, kind of totally negates all of
14 that.

15 DR. TSE: Right.

16 MR. BARNETT: Because you can't make radiographs
17 with sources in after-loading. You can't do that at all.

18 DR. TSE: I know, that's a problem. I think
19 somebody in the other workshop mentioned that in some cases
20 they make radiographs during the atomic sources.

21 MR. BARNETT: After implanting, is bad -- yeah.

22 DR. TSE: This is the kind of thing they suggested
23 and we're going to change, modify those. Any other
24 questions about 4.5?

25 (No response.)

1 DR. TSE: And then 4.6, we already talked a little
2 bit, after implantation, modify your prescription to reflect
3 the actual loading conditions. Anybody have a question on
4 that?

5 (No response.)

6 DR. TSE: And then 4.7 is essentially the same
7 thing as before, you have to -- a qualified person have to
8 record the dose.

9 MR. LANDERS: Again, a moot point, but just to
10 make sure we're talking the same terms in dose here, if we
11 implant a tumor with a permanent implant and it shrinks, the
12 dose in terms of rads may not be a real well known number.
13 As long as we're talking about administered dose like
14 milligram hours or millicurie hours or something of that
15 sort --

16 DR. TSE: That may be the place we should modify
17 this to include the other qualification number, which in the
18 prescription, definition prescription, we already did that,
19 just the addition of another way. We probably should do
20 this the same way. Thank you.

21 Any other questions?

22 (No response.)

23 DR. TSE: Okay, then we go to next page, page
24 seven. Page seven, 4.8 is a calculation verification for
25 checking and we say that we -- here we say that you should

1 have a person who did not do the calculation to check the
2 calculation. And there are two ways to check it, one is by
3 checking your manual calculation and 4.8.2, check your
4 computer generated calculation and then the 4.8.3 is a
5 combination.

6 Now anybody have a questions?

7 MR. LANDERS: I don't have a problem with 4.8.1,
8 .2 and .3 but with 4.8. I can see circumstances when this
9 can be onerous. We have done needle implants before and
10 made a calculation about as fast as we could and found out
11 that pretty soon we had to pull the needles out. Who's
12 going to check the calculation? Is it okay to check it
13 after the implant is over?

14 DR. TSE: If it's 4.9, which is down below on the
15 page, in case of emergent situations, you could. But you're
16 talking about a high density kind of brachytherapy, right?

17 MR. LANDERS: Well no, I thinking of where needles
18 have converged and created a high dose region.

19 DR. TSE: How long are those?

20 MR. LANDERS: And we end up with a 24-hour needle
21 implant, which implies that I've got to get the calculation
22 done within the first 12 hours and someone else has to have
23 checked my calculation within that same time frame.

24 DR. TSE: That's according to this.

25 MR. LANDERS: Right.

1 DR. TSE: Now do you have a problem with that?
2 What do you normally do, do you have an implant first before
3 you calculate or you calculate first before you implant.

4 MR. LANDERS: Well we do a pre-implant
5 calculation, but with needles that sometimes bears no
6 relationship to what happens. The geometry can get away
7 from you in cases like that. I just see this as being --
8 sometimes being a little onerous. In particular if two
9 competent people are not available, you're going to end up
10 with someone who is not qualified checking someone who is
11 qualified.

12 DR. TSE: Other people made that same suggestion
13 too. Some small facility maybe only has one person. The
14 suggestion is that perhaps one person can check up on
15 himself with two separate calculations or with computer --
16 with hand calculations so there's ways you can achieve the
17 purpose without having a second independent check. If you
18 have a problem like that, your quality assurance can do --
19 for the pilot program, you can do what would be the best way
20 you think to check it. But make a comment like say this
21 will require additional --

22 MR. LANDERS: Yeah, some of us that doesn't
23 affect.

24 DR. TSE: Doesn't what?

25 MR. LANDERS: It does not affect because we've got

1 plenty of people available, but I know some facilities that
2 this is going to create a little hardship on.

3 DR. TSE: Right, and that's precisely the reason -
4 - originally, something like this was in the 1987 proposed
5 regulation, that proposed regulation had those specific
6 items in it, the regulation itself. If it become final
7 regulation, people have to do that or come to NRC for
8 exemption, but if it was put in the guide, people -- if they
9 have a problem, then they can use alternative way of doing
10 it. This is not cast in concrete, even if this becomes
11 final.

12 MR. LANDERS: Would this also be considered to be
13 covered by the emergent situations where you're going to
14 give a massive single dose, for example?

15 DR. TSE: If that's an emergent situation, then
16 you do not have to do it.

17 MR. LANDERS: Well it may not be an emergency, but
18 there's only going to be one treatment, so it would
19 certainly require two people to make the calculations ahead
20 of time.

21 DR. TSE: Well if it's only one treatment, you
22 could either -- your facility could either make a check
23 first or if you cannot meet the 50 percent criteria maybe
24 you want to say in my situation if I do not have a check, I
25 have to check afterwards. So you decide what you think is

1 the best way to handle for those situations and this is our
2 suggestion. We may modify these suggestions if we find out
3 later that these things should be modified. For now, this
4 would be a suggestion that you do the best you can or
5 whatever you think is the best for your facility.

6 MR. BARNETT: And again, I think the other
7 situation -- we don't do all of this, but I understand at a
8 high dose rate remote after-loader, you know, the whole
9 treatment may be ten minutes. It's going to be hard to get
10 all these things in at 50 percent and everything else.

11 DR. TSE: Same situation.

12 MR. BARNETT: Well the understanding is the rules
13 have got to be able to take those things into account, or
14 the guidelines, because they becomes rules I guess, or
15 something.

16 DR. TSE: Right. And that's why currently it's
17 working this way. With your comments, if there's a problem,
18 it may not be exactly this way. Maybe we'll say for
19 example, you do whatever, maybe with your suggestion,
20 perhaps we can modify those. But anyway, the question is
21 some people make errors, how do you make sure that these
22 errors are not propagated into a misadministration. That's
23 the main thing.

24 MR. CLARK: This debate on item number 4, I'm not
25 involved in radiation therapy, but I would like to take the

1 correct information back to those who are. I don't
2 understand the debate over number 4.

3 DR. TSE: Number 4?

4 MR. CLARK: Yeah, under 4.8, number 4 down under
5 4.8.1.

6 MR. BRIGDON: Oh, 4.8.1.

7 MR. CLARK: That was the point you were just
8 debating?

9 MR. BARNETT: No, no, it was 4.8, the 50 percent.
10 If the whole treatment only lasts like ten minutes to do all
11 of the calculations, at the 50 percent point, it's kind of
12 hard to do.

13 MR. CLARK: Okay, I see, I didn't understand. I
14 want to get the correct information back.

15 DR. TSE: Sure, please ask. Whatever you want to
16 know or you have a question, any question, please ask
17 because we are involving three different separate
18 disciplines; like diagnostic, radiopharmaceutical therapy,
19 rad therapy.

20 Okay, 4.9 toward the end of the page is just that
21 in emergent situations you need to do something different,
22 the purpose of this is not to interfere with the emergent
23 situation. If the physician feels it's an important
24 treatment, he should treat the patient first. If that's the
25 case, go ahead.

1 Yes?

2 MR. TELFORD: In the last line, it says "will be
3 performed within two working days of the treatment."

4 DR. TSE: Right, you would go ahead and do it
5 first and then check later.

6 MR. TELFORD: You mean policing the treatment or
7 end of the treatment or when? Did anybody ask that?

8 DR. TSE: I think everybody understand that.

9 MR. TELFORD: Oh.

10 DR. TSE: It's at the end of the treatment. Some
11 people in other workshop had this question. Middle of
12 treatment, before, after. We said the conclusion.

13 Okay, now we're finished with brachytherapy, the
14 next page will be teletherapy.

15 In teletherapy, it's similar to brachytherapy for
16 some items; 5.1, that authorized user shall review the case,
17 which is for all therapy, that's the same thing.

18 Item number two, the authorized user should write
19 a prescription. Now here is the words "treatment plan" If
20 you read the third line, I wonder if anybody have a problem
21 with the words "treatment plan" here. Is it understandable
22 what we mean, or not? If not, please let us know. Perhaps
23 we can use different terms for the future.

24 MR. LANDERS: That's clear to me. However, I
25 question what do you mean by treatment modality. Are you

1 meaning the beam?

2 DR. TSE: No, like cobalt-60 versus accelerator.
3 Somebody use -- the physician says use accelerator and --

4 MR. LANDERS: So you're talking about the specific
5 beam of use in this thing.

6 DR. TSE: Because if it's accelerator, then the
7 patient should not be given cobalt-60, that's a different
8 mode.

9 THE REPORTER: Excuse me, I can't hear you,
10 Doctor, would you repeat that last one?

11 DR. TSE: I said that's a different mode the
12 physician would like to have.

13 MR. LANDERS: So if he makes a prescription that
14 we will treat this patient on the cobalt unit until the
15 patient is discharged from the hospital, at which time we
16 will transfer them to this 6MD unit, that's okay.

17 DR. TSE: Excuse me.

18 MR. LANDERS: If the physician makes a
19 prescription that we will treat this patient on the
20 teletherapy unit until discharged from the hospital, at
21 which time we'll transfer them to a 6MD accelerator, it's
22 okay?

23 DR. TSE: It's okay if the physical prescribes it.
24 But the question here is that somebody -- physician may
25 prescribe for accelerator unit, somebody make error to bring

1 this patient into the cobalt-60 unit, which is contrary with
2 what the physician requests. That's why we need to put down
3 is this for cobalt-60 or for accelerator.

4 Any other question on this 5.2?

5 (No response.)

6 DR. TSE: So the word "treatment plan" here may be
7 a little bit clearer because the longer sentence included
8 other words in here that in the other -- the objective
9 perhaps we might want to think about we could use another
10 word.

11 Now 5.3, for the teletherapy patient, the
12 physician, authorized user physician may also change the
13 prescription. It's not necessary that the first
14 prescription has to carry all the way through because
15 unusual circumstances, maybe the patient has a reaction or
16 something, so the physician says no, I want to skip a day or
17 something, which of course he can do.

18 MR. LANDERS: And frequently those changes are
19 made by phone. Is there a problem with having the change
20 written in the chart, signed and dated at a later time?

21 DR. TSE: If you feel that it's necessary.
22 Remember all these are just suggestions. If your hospital
23 has certain problems with the physician some way or other
24 and he wants to make the change, you can. But the problem
25 is on the phone, the person who receives on the phone will

1 write down exactly what the physicians says. That's the
2 question.

3 Yes.

4 MR. KAPLAN: One thing that came up, at least at
5 the first workshop, was the recording of something in the
6 patient's chart or in another appropriate record. I wonder
7 if anybody here has a problem with that, another appropriate
8 record.

9 (No response.)

10 DR. TSE: Do you always write it in the patient's
11 chart or you could have different pieces of paper which may
12 not be in the patient's chart?

13 MR. LEE: They're probably in the same areas, but
14 for simplicity -- for ease I mean. If you've got two or
15 three treatments and you say let's decrease a dose or skip a
16 day, then they don't know that. For simplicity, I think
17 it'd probably be best in one place, is just my opinion.

18 DR. TSE: In some hospitals they do that, but is
19 it possible at other hospitals they don't put this sheet of
20 paper in the patient's chart -- that's certainly possible,
21 which in here, as long as you record it somewhere --

22 MR. LANDERS: Yeah, what do you mean by chart
23 there, do you mean the hospital's chart or --

24 DR. TSE: The patient's chart.

25 MR. LANDERS: -- radiation oncology chart. The

1 hospital's chart is irrelevant for the radiation oncology
2 department sometimes.

3 MS. RHODES: Are we talking about in-patient?

4 MR. LANDERS: Either, it doesn't matter. We have
5 a separate radiation oncology chart.

6 MS. RHODES: Okay, for in-patients, when a patient
7 goes down, their hospital record goes with them, their in-
8 patient record and everything is recorded in there. The
9 physician will write a physician's progress note and then
10 there's a separate sheet for radiation therapy that they
11 record dose and what they did.

12 MR. LANDERS: And that goes in the hospital chart?

13 MS. RHODES: Yes, it does.

14 MR. LANDERS: I don't feel that's necessary as
15 long as the radiation oncology department maintains their
16 records.

17 DR. TSE: Well that's why we have "or", so you can
18 do it either way, as long as there's some kind of record.

19 I guess most departments would have those records.

20 Then under 5.4, after the dose fraction, somebody
21 should write down the administered dose. And here again,
22 it's the same discussion we had earlier.

23 MR. BARNETT: Is it? I think this is a different
24 point.

25 MR. LANDERS: Yeah. Is it enough to say that if

1 you don't indicate disagreement, that it was agreement?

2 DR. TSE: I think --

3 MR. LANDERS: Is it sufficient to just say
4 treatment and not indicate agreement?

5 DR. TSE: I think that the workshop --
6 participants in other workshops essentially said we don't
7 need an extra column to say agree or disagree. If you write
8 out the prescribed dose and administered dose, you
9 automatically see it. If it's different, you've got to do
10 something.

11 MR. LANDERS: Well we could have a disagree column,
12 sure.

13 DR. TSE: Maybe you don't even need any, but if
14 you detect a significant difference, you need to take some
15 action to alert somebody to the problem. If it's within the
16 tolerance level, then you say that's good enough.

17 So we may or may not need it the way we suggested
18 to have those columns, but actually, according to the
19 participants in other workshops, we may not need such a
20 column, and if you agree we don't need such a column, don't
21 put such a column in, you're wasting your time to say yes or
no, which is so obvious. But if you like it, do it.

Please.

4 LT. COMDR. PULCRANO: Another thing that came up
25 concerning the technician writing in the chart the dose

1 delivered and everything, it says here "signed". Okay? We
2 have our charts set up so that the technician will initial
3 in a little bitty square. If you're going to say we want a
4 signature, we've got to redesign the whole form.

5 DR. TSE: I don't think so.

6 LT. COMDR. PULCRANO: Are initials okay?

7 DR. TSE: The intention is that -- this is of
8 course a guide, anything you want to do or not to do is
9 okay. But the intention is that somebody can trace who did
10 that in case there's a problem. If you have initial and you
11 know who this person is, then no problem.

12 LT. COMDR. PULCRANO: Okay, thank you.

13 DR. TSE: Yes?

14 MR. BARNETT: On this lack of agreement, is that
15 say primarily a machine malfunction? What are some of the
16 things that you're looking for there? Because anything that
17 deviates from a prescribed dose or say if they made a
18 calculation error and it was picked up the next day, how is
19 that handled? Is that an agreement or disagreement?

20 DR. TSE: This particular item is right at this
21 time when you finish, record what dose was given, or
22 sometimes it could be the time. Some people say 1.5 -- but
23 when he records this, he will look at what was supposed to
24 be given. If he made an error or something is not right,
25 for example, he thought it was 1.8 and it turns out later to

1 be 1.3 but he gives a 1.8 to start. This would be
2 disagreement.

3 MR. BARNETT: But they would record the 1.8,
4 right, not the 1.3 or something?

5 DR. TSE: If both items are error, he could not
6 find it. But one item is correct, the other item is in
7 error, he would find it. If he finds it, he would let
8 somebody know about this disagreement. What we say here is
9 it doesn't say disagreement, but it's essential to meet the
10 other objectives, an intended deviation should be reviewed
11 and evaluated. If you find something is wrong in what you
12 supposed to give versus what you give, then you should
13 evaluate to see whether that's within the tolerance, outside
14 the tolerance, whatever.

15 MR. BARNETT: Maybe my question is the difference
16 between say the number of -- well teletherapy units, the
17 amount of time you put in versus dose. I mean I would think
18 the intent -- and the assumption here is a qualified person
19 is the technologist, I assume. I think the general intent
20 is that they're going to agree that they're trying to set
21 the proper time to deliver the proper dose.

22 DR. TSE: Right.

23 MR. BARNETT: Okay. And I was just kind of
24 curious, even though they didn't deliver the proper dose,
25 they would -- at the time they delivered it, they would be

1 in agreement that they would be delivering it, even though
2 there was an error there, because I don't think they would
3 [intentional]. Deliver a treatment that had a lack of
4 agreement with the prescription -- maybe that's what I was
5 trying to say.

6 DR. TSE: Okay, that's true. If this technologist
7 believed he read 1.8 and he saw 1.8 and he recorded 1.8 and
8 then he took a look, still 1.8 -- then he does not discover
9 anything, everything agree.

10 MR. BARNETT: Right.

11 DR. TSE: But if he thinks he saw 1.8, he set the
12 machine 1.8, he finished and come back to write down 1.8 and
13 he said oh, this is 1.3, I misread it, then he discovers the
14 disagreement.

15 MR. BARNETT: Okay.

16 MR. LANDERS: Now is this something that, as far
17 as you're concerned, if a correction is made for on the
18 spot, the disagreement disappears?

19 DR. TSE: No, he will have to check with his
20 supervisor, whoever.

21 MR. LANDERS: Well I mean, you intended to deliver
22 1.8, you deliver 1.5, so then you go ahead and deliver .3
23 more.

24 DR. TSE: I think you probably should let the
25 supervisor know too much and if it's too much perhaps the

1 next time they can --

2 MR. LANDERS: I'm thinking of an under.

3 DR. TSE: If it's under, the physician may say you
4 do a little bit more, maybe say do it next time.

5 MR. LANDERS: No, I'm talking about in the same
6 session, the session is still under the machine, the tech
7 set the timer for 1.5, it should have been 1.8 --

8 DR. TSE: Oh.

9 MR. LANDERS: It cuts off, he sees I gave 1.5,
10 dials in another .3 and delivers it.

11 DR. TSE: I think that's what your hospital
12 procedures --

13 MR. LANDERS: Okay, that's up to us.

14 DR. TSE: Yeah, how we do it I'm not quite sure
15 exactly. Each hospital may have a different procedure, but
16 the point is that if you want to do something different, the
17 technologist should not order a procedure unless he is told
18 to, he should follow the physician's order and if something
19 is wrong, he should report it.

20 Any other comments?

21 LT. COMDR. PULCRANO: You noted here in 5.4, you
22 just said qualified person, can we take that to mean the
23 same qualified people as you listed previously? Somewhere
24 in here you said authorized user, physician under authorized
25 user, technologist. Is that still the same?

1 DR. TSE: I think so.

2 LT. COMDR. PULCRANO: Okay.

3 DR. TSE: The qualified person is determined by
4 you.

5 LT. COMDR. PULCRANO: By us, okay.

6 DR. TSE: 5.5 is a weekly check of the daily
7 cumulative dose. Does anybody have a --

8 MR. LANDERS: Yeah, again the noisy corner over
9 here. I have a problem with that, I don't have a problem at
10 all with performing a weekly chart check, but when somebody
11 tells me what I need to do is check the addition, my
12 response is that a prescription should never say treat until
13 the numbers add up to such and such. There should be a dose
14 of 3000 rads in 15 fractions. Now you say okay, obviously
15 that's 15 200's that are supposed to add up to 3000. My
16 point is that I don't think we should make this so specific
17 that we say we have to check the additions. We do it, but
18 my goodness. Far more important than this is to check and
19 make sure you didn't write down 17 treatments instead of 15,
20 if you're using a horizontal chart.

21 DR. TSE: The intention here is that in the past
22 some people added things wrong and not discovered it.

23 MR. LANDERS: But that implies that they're going
24 by the sum of the column instead of the number of
25 treatments, for example. The number of treatment is just as

1 good, if each treatment is the correct fraction, the number
2 of treatments is more important than the total. What's the
3 distinction there?

4 DR. TSE: But does somebody have different dose in
5 different treatment? If some people --

6 MR. LANDERS: Sure, yeah, that's a deviation.

7 DR. TSE: Right, but you cannot add the number of
8 treatments to get the sum of the dose.

9 MR. LANDERS: Correct.

10 DR. TSE: Okay, so if your facility each time is
11 the same, then that will be essentially okay, just add the
12 number of fractions times the dose per fraction -- it's the
13 same thing, it's the same check. But the problem -- the
14 intention is to check somebody added wrong, then you have a
15 wrong total.

16 MR. LANDERS: Also another problem I have with
17 that is that in many situations, I record given doses in
18 charts instead of "a tumor dose" and they don't add up to
19 the prescribed target dose.

20 DR. TSE: You mean the prescribed target dose is
21 different from the recorded dose?

22 MR. LANDERS: What I'm saying is that in the chart
23 sometimes I record not the target dose, but the given dose
24 to each port, the dose at max to each port. When I total
25 those up, the totals are not the target dose total. It

1 doesn't do me a whole lot of good to check those totals.
2 It's more important that I need to check the target doses
3 and they're not available to me to add up in that particular
4 way. It's just a problem I have.

5 DR. TSE: Right, but do you have a correlation?

6 MR. LANDERS: Oh, yeah.

7 DR. TSE: Okay, if a qualified person for that
8 weekly check, can he make a quick correlation are they close
9 enough or not.

10 MR. LANDERS: Not always real quick, but he can
11 make the calculation.

12 DR. TSE: Well the problem is that are you sure
13 that the additions are right after that week, and if they're
14 not right, better to discover at that time rather than at
15 the end. At end it will be finished and depending whether
16 it's ten percent or not ten percent, you may have a problem.

17 So if it's a different dose we're using, a
18 qualified person should be able to convert the one to
19 another, and to still check whether it's okay or not or have
20 errors or no errors.

21 Any other questions?

22 (No response.)

23 DR. TSE: Then 5.6, 5.6 essentially is similar to
24 the brachytherapy, checking for calculations, manual
25 calculations, computer calculations or combination. But

1 this says 25 percent of the prescribed dose. Now in some
2 cases may be okay, some may not be okay. What do you think
3 about this particular element? Any questions, comments?

4 MR. LANDERS: Same comments we had before.

5 DR. TSE: The 25 percent.

6 MR. LANDERS: Sometimes we do only three
7 fractions, sometimes two fractions, sometimes one fraction.

8 DR. TSE: Right, that's why some other people in
9 other workshops suggested maybe three fractions and 25
10 percent. So again, if it's a target fraction and it's 25
11 percent, so perhaps in your facility if you have three
12 fractions or one fraction, you may want to prescribe the
13 check beforehand or you might have people to check
14 afterwards. But you still need to be check to be sure if
15 something is wrong.

16 Other than that, other questions?

17 MR. BERK: Do you think it is too restrictive to
18 have in there before any dose is administered, it's checked?
19 Because we do, we have two people check it before a patient
20 is administered.

21 MR. LANDERS: I don't have a problem with that,
22 but I know a lot of places that would. And I don't think we
23 need to increase the cost of medicine that much, in some
24 places, requiring the hiring of additional people. That's
25 the concern that I have, it's an onerous problem for some

1 facilities.

2 DR. TSE: That's true, that's the reason we use a
3 performance proposed rule and this can vary depending on
4 different facilities, but the intention has to be somebody's
5 got to check. But when you check it, depending how
6 available personnel is. We don't want to have somebody --
7 you must hire another person to get independent check, may
8 not be necessary, they can do something else.

9 Okay, any other comments?

10 (No response.)

11 DR. TSE: Then let's do 5.7. Now this, somebody
12 said that we have different layers of check, check the check
13 and so on. And almost like a nuclear reactor system here,
14 triple redundancy. But in this particular 5.7, after you
15 perform certain full calibration measurements -- not all --
16 you should have independent check of the output and the
17 independent check, how you check is described on 5.7.2,
18 essentially one way is to use another independent physicist
19 with independent instrumentation, another is to use TLD.

20 Any questions, comments? Please.

21 MR. BARNETT: What if you have -- okay, is this an
22 independent check, suppose you have two physicists within
23 the same physics group, each of which has an independent
24 dosimetry system. Is that independent enough or do you have
25 to go outside that physics group to perform an independent

1 calibration?

2 DR. TSE: No, in this particular paragraph, it
3 does not say you have to go outside. 5.7.1, but again the
4 person check -- it's another person who did not do the
5 original computation.

6 MR. BARNETT: Okay, now how about if the
7 calibration of the two instruments is tied to a single NRC -
8 - I mean accredited calibration?

9 DR. TSE: I think if it's accredited, it's
10 probably okay.

11 MR. BARNETT: But one primary and one secondary
12 system. Is that considered independent enough?

13 DR. TSE: What do you think? Is that independent
14 or not?

15 MR. BERK: To me it is.

16 DR. TSE: If the calibration is based on the --
17 let's say I have instrument A and instrument B. If the
18 calibration of this is based on that, then that's two tied
19 together, they are not independent. But if they have a
20 different calibration tied into a standard, then it would be
21 independent.

22 MR. BARNETT: If the accredited center calibrated
23 the primary dosimetry system and one physicist used your
24 primary to calibrate that unit. Okay, and then your primary
25 calibration system is used to cross calibrate your secondary

1 system, and then your other physicist used that, is that an
2 independent check?

3 DR. TSE: Well I think that the problem is whether
4 the second instrumentation is solely dependent on the first.
5 If it's solely dependent, then if this is wrong, that's
6 wrong too. But if you have the third source check against
7 this but this is a cross check, then that's not a primary,
8 the primary is this way, that would be independent. So I
9 guess each facility, you're all knowledgeable, maybe you
10 want to make a decision if they're direct tied in. Then if
11 this system is wrong, then that automatically would be an
12 error, and the same error and you would not find it.

13 MR. BARNETT: Yeah, but at the same time if you
14 use it for more than one -- I mean that's true if you use
15 one piece of equipment, but it's unlikely that you'd have
16 several different loose calibrations.

17 MR. LANDERS: Just a general comment. I like this
18 idea, I see some problems for some remotely located
19 facilities who have trouble getting even one physicist to
20 come by, so I foresee the solution to this is mail in
21 calibrations and I wonder if the places that have that
22 service available are ready to handle the volume of business
23 that this may thrust upon them.

24 DR. TSE: If you'll look at the -- when do you
25 need this independent check, it's not annual calibration.

1 MR. LANDERS: Correct.

2 DR. TSE: And the other kind of calibration
3 measurement is not very frequent.

4 MR. LANDERS: Right.

5 DR. TSE: So it's not a big volume because maybe
6 once five years or something. Annual calibration is not
7 needed because you always will be able to check against the
8 decay to be sure you're right.

9 I have spoken to --

10 MR. LANDERS: You're probably right, this is
11 probably a small perturbation loss.

12 DR. TSE: Right. Okay, then let's go to next page
13 -- oh, excuse me.

14 MR. KLINE: I'm sorry, I just wanted to bounce
15 off, it was brought up a minute ago under item 1 of 5.7.2
16 where let's say you have two physicists in a facility, a
17 full calibration is performed after a source change, those
18 two physicists work together on initial calibration under a
19 set of conditions, let's say a particular output that you're
20 verifying. In order to satisfy having an independent
21 physicist perform a function or perform the output check of
22 the other physicist, do you see any problem where you've got
23 two physicists working together and they come to the same
24 conclusion and all of a sudden you take one of them and he
25 does the same output check himself? Would there be any

1 conflict?

2 DR. TSE: Well I think under this item --

3 MR. KLINE: Well I know often to expedite things
4 your physicists are going to work together after hours. You
5 know, the clinic's closed down and you're working in the
6 evening, so you double up. Is that considered somewhat of a
7 conflict or not?

8 DR. TSE: Well I think that if the physicist is
9 not involved with the full calibration -- he's not doing the
10 full calibration measurements, we have to -- in my view, he
11 could independently check on the other physicist. It
12 doesn't mean that two physicists working in the same
13 hospital have to have a conflict of interest there, they are
14 independent professional persons. But if both of them
15 involve full calibration measurement together, then both are
16 working this full calibration measurement, if they check on
17 themselves, they might have some errors which they may not
18 be able to find.

19 MR. KLINE: Yes.

20 MR. BARNETT: So we're talking about a third
21 physicist being involved.

22 MR. KLINE: No, we're talking about the TLD.

23 DR. TSE: Yes, the TLD is a possibility.

24 MR. KLINE: Yeah, it's very identical to the
25 dosimetry system, if you have an error in one, you're going

1 to have an error in the other. If one physicist believes
2 the chief physicist is correct, he's not going to question
3 his method of performing the calculations. It could be a
4 very similar sequence of a comedy of errors. So it is an
5 impact if you don't use a TLD system. But I don't see how
6 you can get around it.

7 DR. TSE: That's why we put the TLD.

8 MR. BERK: Question about TLD's, we have our own
9 TLD service that we do ourselves routinely. We're not
10 accredited. But we use it like to check when we do total
11 bodies, we will put TLD's on the patient to verify dosage.
12 We would use it routinely and we have a technologist who is
13 trained and was sent to school on how to use TLD's and I've
14 used it to cross check calibration on a gamma unit,
15 gammanite. Are you saying I can't do that, I have to sent
16 them out? I can't use my own TLD system?

17 DR. TSE: I think the answer to that is that if
18 your TLD system, you have a certain percent, plus or minus
19 five percent, if you have a certain -- you can establish a
20 certain percent error --

21 MR. BERK: We would have less than two percent
22 error on the gammanite, between TLD's and ionization chamber
23 done by two independently different people, two independent
24 systems.

25 DR. TSE: If you are sure that -- actually

1 accredited may be misleading -- maybe we might change it,
2 somebody in another workshop said what's accredited, maybe
3 we could change it to accepted so when you write your
4 program, you say my TLD is checked against certain things, I
5 want to use that. But has to be relatively simple, if it's
6 20 percent, that might be a problem.

7 MR. BERK: Well within two percent.

8 DR. TSE: Any other comments?

9 (No response.)

10 DR. TSE: Then we'll go to page ten, 5.8. Under
11 current regulation, full calibration measurements does not
12 include the transmission factors for the beam modifying
13 devices, so sometimes they have a wrong transmission factor.
14 So here, we suggest that perhaps those should be measured.
15 Anybody have any comments, suggestions? Do you normally
16 measure them, transmission factors?

17 MR. LANDERS: When wedge trays get broken and the
18 wedges are remounted, certainly we measure them.

19 DR. TSE: How about annually?

20 MR. LANDERS: If the edges of the trays are not
21 worn off or chipped or anything and the wedge is receding in
22 precisely the same way all the time -- I'm not sure I
23 understand the reason for remeasuring that wedge factor.

24 DR. TSE: Annually.

25 MR. LANDERS: Certainly it makes sense to do it.

1 DR. TSE: The problem sometimes is it shifts a
2 little bit or dropped or whatever, would that be changing
3 the wedge factor?

4 MR. LANDERS: Oh, yeah, if you get a little corner
5 of the wedge chipped and it doesn't replace properly,
6 certainly that makes a difference.

7 DR. TSE: So this is the kind of suggestion you
8 need, those kinds of things, perhaps you should do it --
9 perhaps.

10 Okay, 5.9 is essentially a similar kind of
11 situation. If you conduct a measurement and it's a certain
12 size, field size and it's not been measured before and now
13 you want to use a size either smaller than the smallest you
14 measured or bigger than the biggest you measured, should you
15 measure -- I think some people suggest that should be done.
16 And we indicated like 25 percent so it's unnecessary to stop
17 working on this patient while you measure. Do you have any
18 problem with the 25 percent?

19 (No response.)

20 DR. TSE: Okay.

21 Now the next item, 5.10.

22 MR. LANDERS: I've got one there.

23 DR. TSE: Yeah, I knew you would. Let me --
24 whoever want to speak first, please. Now for other people,
25 the idea is this, when you use a new program or your

1 machine, the sources has been changed, the original 5000
2 curies are too low, you put 10,000 curies in the source,
3 this particular item says you should use your computer
4 program, make a calculation, let's say 200 rads per minute
5 and certain configuration, then you set up your machine and
6 at the same configuration expose to a TLD and it should
7 almost read roughly 200 rads per minute. If you don't read
8 that way, you have a little problem, 300 or 100 you've got
9 problem. That's essentially what this says. So let's hear
10 some comments.

11 MR. LANDERS: Okay, from this corner, first of
12 all, there are questions involving whether the manufacture
13 should have time calculators built into their isotopes
14 computers anyhow. That beside the question, there are
15 certainly some computers that do not have absolute dose
16 rates built into them and for those computers, this is
17 completely out in left field. It doesn't matter what the
18 calibration of the teletherapy unit is, if the computer
19 works in given dose as opposed to minutes, it doesn't
20 matter.

21 MR. BERK: What about open field in air, none of
22 them have that.

23 MR. LANDERS: If a computer won't make a
24 calculation at 45 degree angles that's the same, I'm not
25 going to use it anyhow. I don't understand.

1 MR. BARNETT: What's the intent of number one, I
2 didn't understand the intent.

3 DR. TSE: Okay, let's talk about the fundamental
4 first. These are conditions under which -- do you have a
5 problem with the theoretical purpose of this?

6 MR. LANDERS: Yes, I do. For a new computer, I've
7 got no problem at all, for a new computer, new software that
8 needs to be checked out. For an existing computer that does
9 not work in minutes or time units for a teletherapy unit, it
10 doesn't matter what source I have in my teletherapy unit, it
11 doesn't matter what kind of radioisotope it is or what
12 strength it is.

13 DR. TSE: Right you're working on relative --

14 MR. LANDERS: I'm working on relative outputs.

15 DR. TSE: What's in there is that you work on
16 relative dose, go through your manual calculation to verify
17 the dose because if it happened previously in the past --
18 happened in the past, somebody forgot to change it and it
19 could cause problems. But your procedure may still have
20 5000 rads worth of decay in it, but you actually already
21 changed -- let me stop there, sorry -- 5000 curies in it,
22 but your source comes from new source. So when you go
23 through this procedure, if for some reason your procedure
24 does not have the new source strength in it, you will find
25 it because it wil' not match. Now how to measure, that's a

1 separate part. The intent is to make sure your procedures
2 include the computer code, include the manual calculation
3 together, they should match the measurement. If it doesn't
4 match, something else is not right and it should be checked.
5 If they did that, they could avoid that misadministration.

6 MR. LANDERS: It's just scary to see something
7 like this that might filter down to the states who will
8 absorb it and apply it to everything.

9 DR. TSE: The details they already have.

10 MR. LANDERS: You don't think they'll take the
11 regulatory position?

12 DR. TSE: No, no, I mean items 1, 2, 3, those are
13 the details.

14 MR. LANDERS: Right.

15 DR. TSE: The intent is that you should cross
16 check. Do you have a problem with the intent?

17 MR. LANDERS: Yes, for those computers that work
18 on relative output, even the intent I question. If there's
19 no absolute dose rate built into the computer, even the
20 intent I question.

21 DR. TSE: Okay.

22 MR. LANDERS: But only for a new computer you
23 justify, you verify, you make sure that it's calculating
24 properly, but after that, the concept of dose rate should
25 never enter into it.

1 DR. TSE: Let me ask you this then, how do you
2 verify your procedure because you have computer calculation
3 versus -- not versus -- and that sets up with a manual
4 calculation to get either time or dose, is a correct way
5 after you change it.

6 MR. LANDERS: That's in the manual calculation
7 part, the computer doesn't have anything to do with it.

8 DR. TSE: But you need to go through that. Maybe
9 then the question is if you use manual calculation you only
10 verify by the manual calculation, maybe that's a solution.

11 MR. LANDERS: Yeah, fine. As long as -- I'm just
12 worried about the future, I don't want this to come down to
13 say that I have to go in there and do a lot of exercises on
14 my computer that are totally irrelevant. And if they don't
15 include the absolute dose rate, then they are irrelevant.

16 DR. TSE: So the comment is that if the computer
17 involves the actual dose calculations, use the computer.

18 MR. LANDERS: Oh, yeah.

19 DR. TSE: If the computer use relative
20 calculations and the source is input under manual
21 calculations, then you just use manual calculation.

22 MR. LANDERS: Right, because the computer then
23 tells me what given dose to give and I go manually and use
24 the dose rate to calculate the given dose.

25 DR. TSE: Okay. Now in principle, you think you

1 should check, right?

2 MR. LANDERS: Oh, yeah.

3 DR. TSE: Now let's talk about items 1, 2 3 of --
4 now let me first qualify, I'm not a medical physicist, I'm
5 an engineer. People made the suggestion to us there are
6 other ways to write this. What do you think, these are
7 appropriate, are not appropriate, you would do it
8 differently, much simpler but achieve the same goal or what?

9 MR. LANDERS: For number one, I would just do it
10 at two different angles.

11 MR. BARNETT: Well I think even the question for
12 number one is what are the two things you're comparing. I
13 certainly know what the measurement is in number one, but
14 what is it you're going to compare from the computer? In
15 order to make a comparison, you have to have two things. I
16 think we all clearly know how to measure open field in air
17 at eight angles to get an isocenter, we don't have any
18 problem about that. What is it that we're comparing it with
19 from the computer though? Because the computer doesn't
20 calculate -- that's what you were talking about -- it
21 doesn't calculate dose rate in air.

22 DR. TSE: Maybe if you have a suggestion, perhaps
23 you can make the suggestions to us.

24 MR. BARNETT: Yeah, a physical size panel, fixed
25 diameter, a 20 centimeter diameter cylinder or you know -- I

1 have no problem with those things but right there there's no
2 two things that are compatibly comparable.

3 DR. TSE: So maybe when you consider these -- by
4 the way these are just suggestions, let me repeat those
5 remarks -- when you -- if you think in principle this is the
6 way we should do and you know how best way to do it, please
7 let us know. You may not even do these things because the
8 period is very short, two months, and those things are not
9 generally not -- you do like every five years or so.
10 However, it's still true if you know what's the best way to
11 do, let us know, so we can modify or put as alternative, we
12 can do this way or that way if you have good suggestions.
13 So please let us know.

14 Okay, 5.11 --

15 Yes.

16 MR. BARNETT: I think all of these -- in (2),
17 there's no specified position, again there's no way to
18 correlate what you're measuring and what the computer gives
19 you. I mean, you know, to take a 45 degree wedge and angle
20 it 45 degree with the surface of the phantom, depending on
21 where you put your ionization chamber, you have a whole
22 myriad of things you can measure and the same is true of a
23 mantle, a mantle can go anything from 40 by 40 to 20 by 20,
24 and the configurations here -- you know, if you're going to
25 do these -- I believe I'd like to not get this specific but

1 if you're going to get specific measurements, then it's got
2 to be more specific than this because there's just too much
3 ambiguity in the points of measurement and then I thought
4 the biggest thing that was left out was the criteria for
5 agreement. Suppose you got a 50 percent or 100 percent
6 discrepancy, is that acceptable, because there was no
7 criteria on here as terms of -- you know, are you looking
8 for a two percent discrepancy as being okay? Or a 50
9 percent or a 100 percent discrepancy. There's none s'
10 whatsoever.

11 DR. TSE: We purposely did not say that because it
12 might be depending on your instrumentation of how much wa
13 in error, measurements, calculations, so whatever you feel
14 comfortable with.

15 MR. BARNETT: Well that's not stated in here.

16 DR. TSE: Right. Also, I think you're right, if
17 you think -- you know the intent of this paragraph and you
18 think you can -- there's an easier way, a better way or
19 whatever, alternative way, you could either write it in your
20 QA program, you may not use it for this pilot program but it
21 would certainly provide us some additional information we
22 could use or we could consider.

23 MR. BARNETT: I'll tell you another -- just in
24 terms of intent, actually you picked about three of the
25 hardest things the computer could do and forgot the easiest

1 things, whereas if you're missing the simple stuff, you
2 know, you set the hardest configurations to check, set no
3 tolerance for acceptable and yet a ten by ten field at a
4 nominal SSD, you didn't ask if the computer could do that at
5 all, a very simple calculation.

6 DR. TSE: Could you make a suggestion? That's
7 very important to make suggestions to us, then we can look
8 at those suggestions and perhaps we can include those.

9 Yes.

10 MR. LANDERS: Is it true that the final version
11 will contain a regulatory position that will filter down to
12 the agreement states, not that they have to use the
13 regulatory position, but it will be sent to them?

14 MR. BOLLING: Oh, sure the guide would be sent.

15 DR. TSE: In any case, those are the public
16 documents, anybody can receive a copy and of course our
17 colleagues in the states would like to see those too.

18 Ed.

19 MR. KLINE: Just a comment on this 5.10 before we
20 leave it. Certain larger departments maybe the size of the
21 University of Virginia or whatever, will have a number of
22 different treatment planning systems, some being developed,
23 software being developed, some manufacturers will want to
24 see the clinical applications. Therefore you might have two
25 different operating systems and if you measure under the

1 same conditions, the data will show different values. These
2 sort of things happen under these particular types of tight
3 situations. You see all sorts of things that are different
4 with different software packages. But I think you might
5 want to stress that the intent, as you have, is that this is
6 open for complete revision and the obvious things that you
7 mentioned, the ten by ten deals, these sort of things.
8 These are hard conditions for computers, you're going to see
9 the greatest error amongst computers or measured versus
10 calculated with these particular circumstances possibly in
11 this example. But that doesn't preclude that some sort of
12 calculation needs to be done to verify the software. I
13 think that's the intent.

14 MR. LANDERS: Absolute.

15 MR. BARNETT: Yeah, I agree with that. The other
16 thing is that I think we kind of lost in here is actually
17 there's two levels of computer -- dose calculating computers
18 that are available. One is the ones that just do time and
19 monitor unit calculations and the others is the ones that we
20 call treatment planning computers that include greater -- I
21 think maybe the original intent -- somewhere in here we got
22 lost, I think we went from one type of calculating computer
23 to another without making any kind of distinction between
24 the two.

25 DR. TSE: Maybe we should have two different --

1 MR. BARNETT: Yes, because I think the first --
2 the previous guidelines were more directed toward the first
3 type, for instance you have manual dose calculations and
4 then you have computer generated dose calculations like in
5 5.6. Those could be just the desk top type, you know, what
6 we call monitor unit time calculation packages versus a full
7 blown treatment plan system.

8 DR. TSE: But item three though in that section is
9 the relative calculation and the manual calculation.

10 MR. BARNETT: Yeah, I mean I think they both --
11 I'm just saying that it applies to all of them.

12 DR. TSE: Right.

13 So I would re-emphasize if you know a simpler way
14 to do it, let us know, but the intent is clear. How do we
15 achieve this check, is depending on experienced people or
16 practiced people like you are.

17 Yes?

18 MR. BERK: The other problem is for stereotactic
19 radiosurgery, 5.10 does not apply at all. It's impossible
20 to do those three -- we might suggest that it can't be done.

21 DR. TSE: It's not intended to --

22 MR BERK: The treatment system for stereotactic
23 linear surgery should be exempt from 1, 2 and 3. There may
24 be some other tests.

25 DR. TSE: Well would you think you -- if you're

1 going to write a QA program are those things you could say?

2 Any other comments?

3 (No response.)

4 DR. TSE: Okay, 5.11 is just the exemption. And
5 again, this also has two working days after treatment. Now
6 teletherapy treatment is not the whole treatment --

7 Okay, any other general questions? It's about
8 3:00, maybe I should stop. Any other general questions
9 about the guide?

10 (No response.)

11 DR. TSE: Thank you.

12 MR. TELFORD: We can go off the record now.

13 (A short recess was taken.)

14 MR. TELFORD: We're back on the record. There are
15 three items that we want to cover this afternoon before we
16 conclude. The first is we'd like to give you a copy of the
17 Federal Register notice that was published in the Federal
18 Register on January 16. The reason I want to give you this
19 copy is that it contains the reporting requirements. Please
20 note that the reporting requirements do not apply to the
21 pilot program. But I would like very much to hear your
22 suggestions for how to modify these reporting requirements
23 for the next workshop. That would give me a lot of valuable
24 input for how to modify those for the final rule. So we can
25 count on that being discussed at the next workshop.

1 The second item is the schedule of future
2 activities. I talked a little bit about the schedule this
3 morning, but I want to go back over it one more time. The
4 handout that has the eight proposed 35.35 objectives, look
5 at the last page of that which should be a schedule.
6 There's one item on here -- I mean there's one item that's
7 not on here and that is that we would like -- if you don't
8 have your QA program with you today, we would ask you to
9 please send it in on or before May 7.

10 MR. LANDERS: Who do we send it to?

11 MR. TELFORD: To Ed Kaplan at Brookhaven, the
12 gentleman from which you got your decision letter. Now the
13 reason we need it by May 7 is that if your facility is
14 chosen for a site visit, our QA team needs to go through
15 your program very thoroughly before they arrive on site, so
16 they know what to expect. So we sincerely need it on or
17 before May 7.

18 Now May 14 is the start of the 60 day trial. Does
19 anybody have a problem with May 14? Can everybody start?
20 Is everybody with me here? Can you all start on May 14?
21 All right, everybody says yes. It will end on July 13.
22 Okay, July 13, that's the end of the 60 day trial.

23 Then the next two weeks you will have to fill out
24 the evaluation and write up what you think of the program,
25 your suggestions, what you think of the proposed 35.35, the

1 guide, everything.

2 Then the post-test workshop will be in August.
3 We'll have five more of those to do, except that it will be
4 two days long to give everybody time to get in their input.

5 Now I have one more item on the agenda, which is
6 concluding remarks, which is where you get to talk. Let me
7 pause for a minute and check with my colleagues. Is there
8 anything else we need to discuss before we go to that?

9 (No response.)

10 MR. TELFORD: Now, concluding remarks, everybody
11 can have five, ten minutes or whatever you want within
12 reason. Just say whatever you want to say, give us your
13 impression so far of your expectation or how you think it's
14 going or whatever you want to say.

15 So let's start over here.

16 MR. BARNETT: I have a couple of questions. Okay,
17 we have a QA program, what do we do about discrepancies? I
18 mean, are we supposed to keep track of how many -- and I'm
19 also in an agreement state and we don't normally report
20 misadministrations. So we've got this QA program and
21 there's a discrepancy in a chart or something, do we just --
22 do we record those over this period of time or what do we
23 do? Is there any recordkeeping in terms of the pilot
24 program that we're supposed to do other than generate a QA
25 program?

1 MR. TELFORD: Okay, I'll give you two answers.
2 First records, yes. Keep a record of prescriptions,
3 referrals and your procedures manual and the administered
4 doses. So those are records.

5 The second part of the answer is I would think it
6 would be a very good idea for you to audit your system,
7 audit your program, so that when you come to the workshop or
8 when you evaluate your own program, or the modified program
9 according to the objectives, you could tell us how good it
10 is, did it do you any good in terms of catching precursor
11 kind of events or mistakes of any kind.

12 MR. BARNETT: So we have to document those one way
13 or the other.

14 MR. TELFORD: But how you do that, that's up to
15 you. Don't make it a big deal, just do it so that it's
16 sufficient for your needs, so you can do your evaluation and
17 you can tell us about it, because by your input, we learn
18 from that.

19 Tony.

20 DR. TSE: In fact if you discover that this QA
21 program is useful to identify certain problems, that would
22 be very good to put in the evaluation sheet so that we would
23 know the usefulness of the program.

24 MR. BARNETT: But you do not expect any kind of
25 statistics in terms of the number of patients that went

1 through our centers, the number of charts reviewed, any
2 information like that, you don't care to have any kind of
3 information from us, statistics? How many
4 misadministrations, how close -- nothing? I mean it's fine
5 with me, I'm not looking for it, but I was amazed that, you
6 know --

7 MR. TELFORD: Notice that we plan to go to 18
8 sites, so from our point of view, we will collect that kind
9 of information when we go to those sites, but I think it
10 would be very helpful to hear how well your program did. So
11 while I don't really want to give out a list and request
12 that everybody keep all that information, it certainly would
13 be helpful to you.

14 MR. BARNETT: Even the number of charts reviewed
15 or--

16 MR. TELFORD: I think you would need to keep a
17 list of patients.

18 MR. BARNETT: Say how many people deviated from
19 ten percent -- I mean, it's fine with me, I'm just surprised
20 that you didn't want any kind of feedback other than
21 generation of the QA program.

22 DR. TSE: I think we would like to have that
23 information except we do not want to say everybody have to
24 keep certain records by certain format and so on. Certain
25 information that is useful, would be very useful to indicate

1 in the evaluation sheet and also you can bring in to the
2 workshop so everybody will know what your experiences are.
3 Then that will be very useful to us. So we did not
4 specifically say everybody should keep those kinds of
5 information because each one perhaps looks at things in a
6 different way. And they may want to collect some
7 information, either good or bad, to bring to the workshop or
8 indicate in the evaluation form we're giving you, and we can
9 properly consider the experience.

10 MR. BARNETT: And I guess the other thing is that
11 includes physics measurements, so in terms of the
12 measurements that we discussed under the ten or eleven, you
13 don't plan for us to make or attempt to make over that
14 period of time.

15 MR. TELFORD: Under 5.10?

16 MR. BARNETT: Yeah, whatever.

17 MR. TELFORD: That may be source change.

18 MR. BARNETT: Well I know, but I mean in terms of
19 the pilot program, to see if these things --

20 MR. TELFORD: If any of you have a source change
21 and if you use the guide, that would certainly be very
22 useful feedback, if you can tell us what you did and how it
23 worked. But certainly we don't expect you to go out and
24 make a source change.

25 MR. BARNETT: No, but you can make the

1 measurements without making the source change.

2 MR. TELFORD: I like the full calibration.

3 MR. BARNETT: I don't know that you have to make a
4 special, but an additional measurement. The measurements in
5 Section 5.10 are new and they would not have normally been -
6 - in my impression, would not have normally been made under
7 full calibration anyway. And I just didn't know if you
8 wanted an evaluation of those as well.

9 MR. TELFORD: Sure.

10 MR. BARNETT: If y'all don't ask for them, I'm not
11 going to do it.

12 MR. TELFORD: We'll take it.

13 MR. LANDERS: It would seem like your -- you would
14 benefit by us attempting to keep track of things that were
15 prevented from happening as opposed to things that were
16 found to have happened.

17 MR. TELFORD: Either kind of information would be
18 very useful because if you --

19 MR. LANDERS: Even though when we prevent
20 something from happening it's not recordable or reportable,
21 you're really interested in that from this pilot?

22 MR. TELFORD: Yeah, one of the objectives that I
23 had was to try and detect those things that are mistakes
24 along the way, that don't become a misadministration but
25 rather it's an intermediate step.

1 The reason we're trying to go to all this trouble
2 of course, is so that we can have a final rule that will
3 have a minimal impact on all of your facilities. So the
4 more of this kind of evaluation you want to do, to tell us
5 that the program that you developed and as modified detected
6 mistakes, caught them, prevent misadministrations or what
7 was efficient in detecting mistakes after the fact, that
8 just shows that your program works and if you can show that
9 your program works, then that's very useful information to
10 us because that tells us what to do with the final rule. If
11 your program is still sufficient but yet has minimal impact,
12 then we should certainly use that as a guiding light.

13 Any other questions?

14 (No response.)

15 MR. TELFORD: Okay, let's go to concluding
16 remarks. We'll start over here.

17 MR. LEE: I think everything went very well. I
18 just kind of want to reiterate that the main thing you are
19 looking for us to do specifically are these eight primary
20 objectives. Anything else is gravy, you can use it for your
21 advantage or whatever. Correct?

22 MR. TELFORD: That's right. I would like you to
23 tell me that you have a quality assurance program that meets
24 those eight objectives. Then the rest that's in the
25 regulatory guide, if you want to use it, I'll be very happy

1 because I'd like some input on it.

2 MR. GIPSON: I don't think I have any specific
3 questions. You touched on one question I had and also as
4 far as if our institution was one of the institutions to be
5 checked, what form -- I guess this should be used whether we
6 expect it or not, but what form would be best to have this
7 data in? In other words, Gary and I were talking, we meet a
8 high percent of these, if not all of these eight, in
9 different forms, without making a new manual like we were
10 saying, having a road map type sketch or whatever drawn up,
11 to where this requirement is met in our procedures manual.
12 But say for the prescriptions for the -- I think you
13 referred to it as a consult and referral -- what form would
14 be best to have that in as far as if you came in to look?
15 In other words, here's a listing of all referrals, which
16 type of doctors, what exams, did it meet those specific
17 indications for those exams or whatever, having a form that
18 you want to keep it in and just having to pull X amount of
19 charts and take out of those charts that particular
20 information.

21 MR. TELFORD: It might be helpful if you had a
22 list of those cases that you completed during the 60-day
23 trial, but left your records in whatever form they currently
24 are so that if we wanted to look at some sample of those, we
25 could ask you to retrieve those records.

1 MR. GIPSON: That would certainly help keep down
2 starting a new filing system or a new system for us.

3 MR. TELFORD: Yeah, I don't want you to do that.

4 MR. BERK: I'd like to offer my congratulations to
5 whoever is responsible for having this workshop. I think
6 it's extremely useful and sort of nice and pleasant to have
7 a two-way dialogue between the user and the regulatory
8 people to let us express our opinion. I'd like to thank
9 whoever is responsible for it.

10 I'd like to say a couple of words also about the
11 regulatory guide. As someone who has had many years
12 experience as an RSO, I've always heard regulatory guides
13 are not the law. However, when compliance inspectors come
14 down, they sort of take it as the law. And unless you have
15 a good justification for not doing something that is in that
16 regulatory guide, you get cited for it, and it's very
17 difficult to say that what you are doing is equivalent to or
18 meets the objectives of the guide, and so again, I'd like to
19 re-emphasize that the comments that were made about the
20 regulatory guide really be taken seriously and not with the
21 attitude that oh, they're just for your advice and you don't
22 have to follow them, just go by the eight statements.
23 Eventually -- from Virginia, the State of Virginia will get
24 ahold of that regulatory guide and they'll just take it in
25 total and say this is what you have to do for linear

1 accelerators, and I worry about that greatly and that's one
2 of the main reasons I volunteered to come here. And I hope
3 that there will be a second workshop, as you said, and we
4 can go into more detail about the regulatory guide and
5 hopefully offer more suggestions on how to improve upon it
6 and so that it would not be an onerous burden to a big
7 institution like the University of Virginia or smaller
8 institutions.

9 LT. COMDR. PULCRANO: Coming from the military
10 command and as most people know, the military is quite
11 exorbitant when it comes to quality assurance programs,
12 where was a lot of us that thought oh, my God, what are we
13 going to have to do now. It's a good thing we came because
14 I think we can breathe a little bit easier, I think we'll be
15 okay. It was a good workshop.

16 MR. CANADA: I learned quite a bit coming here,
17 especially when you brought out the intended meanings behind
18 the eight objectives. I think it could have been a little
19 helpful if we had had those, it might put us a little more
20 at ease.

21 MR. WHITE: I found this to be very helpful and
22 I'm appreciative of the examples given, it helped me to
23 understand the guidance.

24 MS. GOODWIN: I found it very helpful also and I
25 particularly enjoyed the different representatives here and

1 our input together. I think we can help each other as well
2 as help, hopefully, with the guidelines and with the pilot
3 program. I found it very enlightening in all ways.

4 MR. LANDERS: I'd like to say that despite my
5 mouth, I like the overall intent of what we've seen. I
6 particularly like the aspect of attempting to place some
7 judgment back into the user's arena. I feel a lot better
8 about the whole process now that I've attended the workshop.
9 From that point of view, I think it was a success. I think
10 it's been a good workshop and I hope that some of our
11 comments and suggestions will be incorporated.

12 I wonder, as a question, specific question, should
13 we include only new patients during this pilot study or
14 should we include patients under treatment at the start of
15 the pilot study?

16 MR. TELFORD: All of them.

17 MR. LANDERS: All of them, okay. And my last
18 comment, which I'm sure many of you have heard many-fold
19 before, is that I feel like the legal system is by far the
20 best regulatory in medicine.

21 MR. FRYMAN: I enjoyed the cross section too
22 because I don't get a chance to intermix with very many
23 people from different entities. For instance, I don't even
24 know where our nuclear medicine department is. I've made it
25 a point not to learn where it is. Now I guess I'll know

1 where it is anyway, but I've enjoyed hearing the other
2 concerns and how this is going to impact them and now me
3 too. So it's been nice to have one-on-one with people who
4 actually formulate some of these ideas.

5 MR. BARNETT: We appreciate being included in
6 getting ahead of regulations and then the same thing, we'd
7 like to point out that from our standpoint or my standpoint,
8 again as an end user of the guide, regulatory guide, is that
9 that's very important to us because that's -- the guide is
10 used very specifically for what is expected of the sites
11 that we're all involved in and that's why we feel very
12 strongly if we can influence some of the things there, then
13 I think we've done well and we appreciate being able to
14 participate.

15 DR. TSE: I want to thank everyone to come here
16 today and give us some suggestions. I want to especially
17 assure everybody we are listening, we will change all rules
18 and guides in accordance with the comments, reasonable
19 public comments, and reasonable suggestions given to us.
20 When you prepare your QA program, if you feel that certain
21 things would meet the same objective, please put it in there
22 so when we review the QA program, we know what you think.
23 It's also opportunity to meet the intent, perhaps those
24 things in the regulatory guide, the regulatory guide would
25 not be exclusively one alternative, perhaps we can have

1 several. That way we can solve the problems we may have.
2 We handed out the proposed regulation, there are important
3 requirements on misadministration, modified
4 misadministration requirements in there that we did not
5 discuss today. Please review things and if you have any
6 questions, you can call me. My phone number is on that
7 document, and next time we'll get to discuss those.

8 Thank you for coming.

9 MR. BOLLING: I'd like to again thank everybody
10 for coming. I think that any time the regulators get
11 together with the license community in an atmosphere
12 separate from an inspection action or licensing action, that
13 we all seem to learn something.

14 My specific job and my main reason for being here
15 is that I have to take some of the NRC regulations and reg
16 guide and boil them down into language that's acceptable to
17 all of the states, agreement and non-agreement states, into
18 something called the suggested state regulations, and those
19 regulations go out to all 50 states and basically what it
20 means is that I am in interpreting NRC's regulations and
21 directives and the states then will use it to regulate you
22 people, so obviously I've got to get it right and they've
23 got to get it right. We talk about it at training courses
24 throughout the year and we meet -- state regulators meet at
25 least twice a year and discuss it there too.

1 Again, I'd just like to say thank you for all the
2 volunteers that have come out to help us and look forward to
3 that second meeting.

4 MR. KLINE: I walked in in the middle of his
5 comment here, I apologize. This particular rulemaking
6 process is quite unique in the sense that -- I guess it's
7 somewhat unprecedented -- where the licensees are pretty
8 much determining the rules that they're going to play by.
9 It means that you're developing your own rules for which you
10 can run your department. It's a little bit unusual from the
11 previous experiences you might have had where there are very
12 prescriptive rules, you have to do it this way and whether
13 or not you believe in it, it's in the regulation and
14 therefore it must be fulfilled in order that you're not in
15 trouble with the NRC. I think the mechanism that is now
16 allowing you to write your particular needs specific to your
17 particular environment is a great way to go. I think
18 medically it's somewhat of a deviation from the way in the
19 past things have been done and I think it's good, I think
20 it's the best method to use. That philosophy is what I
21 would think most people would want to get out of this
22 document or these documents we've been discussing today.
23 None of this is hard in concrete, it's broad topical areas
24 open for any modification justified or review, and looking
25 at it in that manner, it carries a lot of weight. So I

1 personally think it's a good mechanism where you can have an
2 impact on some rulemaking applicable to your particular
3 facility.

4 The other area I want to comment on, just touch
5 on, I don't know if I necessarily want to make this any
6 endorsement, but there are other agencies that hospitals are
7 quite concerned with regarding quality assurance. You might
8 want to look at your total picture. I imagine that there
9 are certain hospitals that have quality assurance managers
10 that are aware of the total quality picture, meaning certain
11 guidelines, certain agencies, certain bodies that are
12 generally accomplishing all quality -- you might want to
13 look at the total picture where the NRC can maybe fit into
14 this quality assurance program for the whole hospital and
15 that way you might find that there are other reasons that
16 you can use this rulemaking process in your own specific
17 institution, not to make you feel like it's a rule process
18 specifically only for this one agency. And that might be
19 something you might want to consider when you start looking
20 at your quality assurance program.

21 I thank everybody for coming and participating, it
22 has been enjoyable.

23 MR. KAPLAN: Well I have a personal thanks, you've
24 been very cooperative when I've called you and told you
25 about this and listening to your pregnant pauses and saying

1 oh, my God, there's another program, should I participate.
2 You've been very, very cooperative and very professional,
3 especially recognizing the fact that you'll have an impact
4 on rulemaking, more so than just a simple letter coming in.

5 So that's been very, very useful to me, to know
6 that I can call you and you are cooperative. And I would
7 like to just mention that one thing that would make our work
8 much easier is this thing that we've talked about as a road
9 map. If you could be very explicit in telling us -- using
10 just the cover sheet, one page perhaps -- where to look in
11 your QA programs to satisfy the objectives that were
12 discussed here, it would make our evaluations of your
13 programs much easier. Please don't forget that when you
14 send us the QA programs on May 7th. So thank you for being
15 here and I look forward to seeing you in mid-August.

16 MR. CLARK: Like so many other people have
17 expressed already, I appreciate the opportunity to
18 contribute to a project like this. The more simple that you
19 can make a regulation, and understandable, the easier it is
20 to comply with. Nobody wants to make an error, everybody
21 wants to detect errors and make them correct. That is going
22 to take a conscientious effort by the people doing it in any
23 situation, and we are in a pretty serious profession and
24 nobody wants to make a mistake. Just the more simple the
25 regulation is, the easier it is for us to comply with.

1 MS. ROBERTS: I think it has been very interesting
2 and informative and I agree with him, and it has also given
3 me some insight on how I might could modify my program and I
4 feel that it's been an honor to participate.

5 MS. RHODES: Well I've certainly learned a lot
6 today. I don't think they should let me run the linear
7 accelerator quite yet.

8 (Laughter.)

9 MS. RHODES: We have needed for some time to redo
10 our quality assurance program in radiology -- I'm glad you
11 brought that up -- and I think we can format this so it will
12 take care of your needs, Joint Commission needs, state
13 licensing needs, so I think it was a wonderful opportunity.

14 MS. ROY: Well I thank you for inviting a small
15 out-patient facility to be involved in this law-making
16 workshop. It has been an experience. There are certain
17 things that of course will not affect our facility at all,
18 but other ones that will and other ones that I was thinking
19 about. There's more and more out-patient facilities opening
20 up. The State of Florida is becoming populated by single
21 cameras in doctors' offices. And even though a lot of these
22 rules and regulations are made for hospital based programs,
23 there are certain things that should be said and I will put
24 them into my quality assurance program that I will send to
25 you, for just out-patient facilities. For nuclear medicine

1 is where I'm thinking mostly because I don't know anything
2 about therapy, the most I know about therapy is what I've
3 heard here today.

4 The quality assurance programs that hospitals have
5 and the guidelines that they have, out-patient facilities
6 aren't under those guidelines and so many variations can be
7 with them that I think they need to have other things. I
8 know that more rules and regulations for anyone to follow,
9 nobody wants them but ultimately it's for the patients'
10 safety and their well-being and that's something that we
11 seemed to pass over today, we were all thinking about
12 complying with new regulations and looking at new annual
13 inspections and more paperwork for us, but we weren't
14 looking at the patient safety as the end result of all this
15 extra paperwork and I think that we all need to look at
16 that.

17 In our facility, we're very much aware of the
18 patients themselves and we spend time with them and I know
19 other facilities don't have the time to spend with them,
20 maybe because of all the paperwork, it's a catch-22
21 situation, but I think that we ought to make sure that we
22 keep that in mind.

23 The other thing is that the August meeting, I
24 don't know about anyone else, but all vacations seems to end
25 up in August and that may be a difficult time, I know for

1 myself to get here to a two day workshop. I don't know
2 about anybody else, but I know most departments hit their
3 vacations in August, so you might want to consider that.

4 That's about it.

5 MR. GARRISON: I've enjoyed it, I think that I
6 feel really privileged to be part of it. This is the first
7 time I've experienced anything other than inspections with
8 the NRC and I just feel like I learned a lot and hopefully I
9 can have some input into the final rule.

10 MR. TELFORD: Well I -- oh --

11 MR. BAHADUR: Can I say something?

12 MR. TELFORD: I guess so.

13 MR. BAHADUR: It has been so nice to be here, I've
14 enjoyed the workshop and as Ed said earlier this could have
15 been different if you hadn't cooperated and decided to come
16 here. But other than that, there's one more factor which
17 has made this workshop the way it is and that's the hours of
18 planning that has gone behind it and the people who have
19 done that are John Telford here, Tony Tse, Lloyd, and they
20 were helped by the able contractor Kevin and Ed from the
21 Brookhaven National Lab.

22 Let's give them a hand.

23 (Applause.)

24 MR. BAHADUR: This is probably the first time
25 somebody came from Washington, D.C. that was really helpful.

1 (Laughter.)

2 MR. BAHADUR: So when you go back to your
3 facilities and start in this pilot program and enforcement,
4 just remember one things, those eight objectives that John
5 talked about this morning is only part of the object. Keep
6 your eyes open to see how the rule is really affecting your
7 day-to-day life. Is it making life easy or is it
8 burdensome. Make notes of those so when we come back in
9 August, we would like to hear about that.

10 Thank you.

11 MR. TELFORD: Kevin.

12 MR. NELSON: I'd just like to say a few things.
13 First of all I thank you all for coming, I enjoyed listening
14 to your comments and speaking of comments, again I want to
15 really stress that we need your comments. We went through a
16 lot of time and effort to select not only large institutions
17 that maybe have a number of these different areas that are
18 covered, but also smaller facilities. So please take some
19 time and write down your comments on the evaluation form.
20 If you don't like 5.10, here's another chance to have input.
21 If you feel there's something that could be done better that
22 you use in your institution, here's a chance to put that
23 down.

24 Thank you.

25 MR. TELFORD: Anyone else?

1 MR. HILL: For all of you not from Georgia, I hope
2 your stay has been a good one. We're glad y'all came. It
3 is a precedent setting method or approach to rulemaking and
4 I appreciate all your input, being a regulator in an
5 agreement state, it's going to come back down and we're
6 going to have to work out the same rule, the same set of
7 licensing guidance. I think your input is quite valuable
8 not only to the NRC but also to agreement states. Thank
9 you.

10 MR. TELFORD: Anybody else?

11 (No response.)

12 MR. TELFORD: I thank you for your kind attention.
13 I've enjoyed the workshop today and I look forward to the
14 next one.

15 (Whereupon, the meeting was adjourned at 3:42
16 p.m.)

17

18

19

20

21

22

23

24

25

C E R T I F I C A T E

This is to certify that the attached proceedings before the
U. S. Nuclear Regulatory Commission in the matter of:


Name: PILOT PROGRAM WORKSHOP

Docket Number:

Place: Atlanta, GA

Date: April 6, 1990

were held as herein appears, and that this is the original
transcript thereof for the file of the United States Nuclear
Regulatory Commission taken stenographically by me and,
thereafter reduced to typewriting by me or under my
direction, and that the transcript is a true and accurate
record of the foregoing proceedings.



WILLIAM L. WARREN

Official Reporter

Ann Riley & Associates