

OFFICIAL TRANSCRIPT OF PROCEEDINGS

Agency: Nuclear Regulatory Commission

Title: Workshop on Pilot Program
Objectives (Proposed 35.35)

Docket No.

LOCATION: Irving, Texas

DATE: Wednesday, April 18, 1990

PAGES: 1 - 217

ANN RILEY & ASSOCIATES, LTD.

1612 K St. N.W., Suite 300

Washington, D.C. 20006

(202) 293-3950

9012110333 901205
PDR PR
35 MISC

PNU

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

BEFORE THE
U. S. NUCLEAR REGULATORY COMMISSION

----- X
: WORKSHOP ON :
: :
: PILOT PROGRAM OBJECTIVES :
: :
: (PROPOSED 35.35) :
: :
----- X

Conference Room 8
Holiday Inn
4440 West Airport Freeway
Irving, Texas

Wednesday,
April 18, 1990

The above-entitled workshop was convened, pursuant to
notice, at 9:10 a.m.

PRESENT:

JOHN L. TELFORD, Chief
Rulemaking Section
Regulation Development Branch
U.S. Nuclear Regulatory Commission
Mail Stop: NL/S-129
Washington, D.C. 20555

ANTHONY TSE
Office of Nuclear Regulatory Research
U.S. Nuclear Regulatory Commission
Washington, D.C.

LLOYD BOLLING
State Agreements Program
U.S. Nuclear Regulatory Commission
Washington, D C.

JOSIE PICCONE
Senior Health Physicist
U.S. Nuclear Regulatory Commission, Region I
King of Prussia, Pennsylvania

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

JOSE A. LOPEZ
Radiation Control
Texas Department of Health
2561 Matlock
Arlington, Texas

DAVID DADARI
Northwest Texas Hospital
Amarillo, Texas

TAWFIQ K. HAIDER
Maury Regional Hospital
Columbia, Tennessee

PATRICIA WOOD
Union Medical Center
El Dorado, Arkansas

GERALD WHITE
Penrose Hospital
Colorado Springs, Colorado

SANTIAGO GOMEZ
University of Puerto Rico
Medical Sciences Campus
Puerto Rico

DAVID BELLEZZA
Baylor College of Medicine
Houston, Texas

MARK SHAFFER
VA Medical Center
Houston, Texas

EMERY J. JANICE
Memorial Medical Center
2606 Hospital Boulevard
Corpus Christi, Texas

BRANDY WALKER
Nuclear Medicine
VA Medical Center
Dallas, Texas

EDWARD KAPLAN
Brookhaven National Laboratory
Upton, New York

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CHARLES W. LEE
St. John's Hospital
Salina, Kansas

ASHOK DESAI
Hermann Hospital
Houston, Texas

BRUCE HAMMOND
MASI Healthcare Services
Fort Worth, Texas

JON R. SHARP
Bureau of Radiation Control
Texas Health Department
Austin, Texas

CARRIE W. RUDOLF
Perkins Cancer Center
Baton Rouge, Louisiana

JOHN J. FELDMEIER
Cancer Therapy and Research Center
San Antonio, Texas

WAYNE A. WIATROWSKI
Cancer Therapy and Research Center
San Antonio, Texas

P R O C E E D I N G S

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MR. TELFORD: Good morning. My name is John Telford. I'm from the Nuclear Regulatory Commission headquarters in Rockville, Maryland.

I have the responsibility for doing this rulemaking and I'll be talking to you this morning about the Pilot Program.

To get started, the first thing on your agenda is that we have an introduction. What we do is we let everybody introduce themselves and the other people in the room would like to know, as well as me, your name, your position, which hospital or clinic you're with or represent, the size of the hospital, how many beds, and if all of the various departments within your hospital are participating in the program, that is, brachytherapy, teletherapy nuclear medicine, or is it just some subset of that.

So I will start over here and let you introduce yourselves.

MR. LOPEZ: I'll make a deviation of what you said. I'm not with a hospital. I'm with the State of Texas, an agreement state.

MR. TELFORD: Okay, if you're a state regulator, just say so.

MR. LOPEZ: Jose Lopez is the name. I'm the inspector in this region, in the Dallas area region, about

1 50 counties.

2 MR. DADARI: He got off real easy. My name is
3 David Dadari, Northwest Texas Hospital, Amarillo. I'm chief
4 of nuclear medicine tech and I'm also radiation safety
5 officer.

6 We are a 350-bed hospital. We are a county
7 hospital. We take a lot of emergencies from 26 counties.

8 That's about it.

9 MR. HAIDER: I'm Tawfiq Haider, Columbia,
10 Tennessee. I'm a medical physicist and I only represent
11 brachytherapy and teletherapy, even though I tried to
12 convince nuclear medicine. There's not enough people but
13 they informed me that they do all of it that's already in
14 here that I will share with them.

15 There's 450 beds, most of them empty probably, but
16 40 patients a day in radiation therapy.

17 MS. WOOD: My name is Pat Wood and I'm from El
18 Dorado, Arkansas, Union Medical Center. It's a 300-bed
19 hospital which recently merged with the other hospital in
20 town. So it's now called Medical Center of South Arkansas.

21 It's pretty much nuclear medicine. The therapy is
22 separate. It's an outpatient facility with SARTAG but we do
23 some work with them.

24 MR. WHITE: My name is Jerry White. I'm from the
25 Penrose Hospitals in Colorado Springs. We have three

1 hospitals, three Nuclear Medicine Departments.

2 We do radiation therapy but it's all with
3 accelerators. We treat about 60 or 70 patients a day and we
4 anticipate that all of the departments will be
5 participating.

6 I'm one of the physicists there.

7 MR. GOMEZ: My name is Santiago Gomez from the
8 University of Puerto Rico, Medical Science Campus.

9 I've been working there as a radiation safety
10 officer for ten years but now I work as a physicist in
11 Nuclear Medicine Department.

12 We have two licenses. They both are full licenses
13 for the Medical Science Campus and they work in isotopes and
14 research in animals in vitro and in nuclear medicine and
15 radiotherapy in humans.

16 This is not the big thing but since we have the
17 waste disposal license, we have several problems in the
18 waste disposal, but in relation with the quality assurance
19 program, we have a quality assurance program for our Nuclear
20 Medicine Department but we do not have any waste disposal
21 program for radiotherapy.

22 MR. BELLEZZA: My name is David Bellezza. I'm a
23 medical physicist at Baylor College of Medicine in Houston.

24 I'm representing the Radiation Therapy Program
25 that we have there which serves the Harris County Hospital

1 District.

2 MR. SHAFFER: My name is Mark Shaffer. I'm the
3 radiation safety officer from the VA Medical Center,
4 Houston, which is a 1200-bed hospital inclusive of nuclear
5 medicine, teletherapy and brachytherapy.

6 MR. JANICE: Emery Janice, Memorial Medical
7 Center, Corpus Christ, Texas, chief cook and bottlewasher,
8 associate radiation safety officer.

9 We have about 400 beds in the hospital district
10 and so far all sections are going to participate in the
11 program.

12 MS. WALKER: My name is Brandy Walker. I'm from
13 Dallas here, from the VA Medical Center. I think we have
14 about 600 beds but I'm not sure.

15 I'm from the Nuclear Medicine Department. The
16 Radiation Oncology Department is not participating but it
17 wasn't clear to me that they were supposed to be, so I'll
18 approach them when they get back.

19 DR. TSE: My name is Anthony Tse. I'm from the
20 NRC in the Washington Office of Nuclear Regulatory Research.
21 I'm the program manager of this project.

22 MR. BOLLING: My name is Lloyd Bolling. I'm from
23 the NRC State Agreement Program and formerly from Mt. Sinai
24 Hospital Medical Physics Department, New York City.

25 DR. PICCONE: My name is Josie Piccone. I'm a

1 senior health physicist with NRC in Region I.

2 MR. LEE: I'm Charles Lee. I'm at St. John's
3 Hospital in Salina, Kansas. We're a 139-bed hospital.

4 We have nuclear medicine, which will participate;
5 radiation therapy or our physicist is also in the program
6 and he will take care of the radiation therapy area.

7 We also have an outreach program in nuclear
8 medicine. So that will also be involved.

9 MR. HAMMOND: My name is Bruce Hammond. I'm
10 executive Director and radiation safety officer for MASI
11 Healthcare Services in Fort Worth.

12 We provide nuclear medicine services on a mobile
13 basis to 65 hospitals in Texas and we're part of a 2,000-
14 bed hospital chain, not for profit; a religiously-affiliated
15 group in Fort Worth.

16 Our Nuclear Medicine Departments will participate.

17 MR. SHARP: I'm Jon Sharp with the Texas Health
18 Department, Radiation Control and the Medical and Academic
19 Licensing Branch and we have one bed which we have made for
20 ourselves and we have to lie in it.

21 [Laughter.]

22 MS. RUDOLF: My name is Carrie Rudolf. I'm
23 medical physicist and radiation safety officer representing
24 Perkins Cancer Center in Baton Rouge, Louisiana.

25 Perkins is a free-standing clinic. We treat about

1 130 patients a day with brachytherapy and external being
2 therapy utilizing linear accelerators.

3 DR. FELDMEIERS: I'm John Feldmeier. I'm a
4 radiation oncologist from San Antonio Cancer Therapy and
5 Research Center.

6 This is also a free-standing center. We treat
7 about 140 patients per day, including teletherapy with
8 cobalt machines as well as high-dose rate brachytherapy and
9 some standard low-dose rate brachytherapy.

10 DR. WIATROWSKI: I'm Wayne Wiatrowski. I'm from
11 the University of Texas Health Science Center, along with
12 Dr. Feldmeier, representing the Cancer Therapy and Research
13 Center. I'm a physicist there.

14 MR. TELFORD: Very good. Welcome, everyone, I'm
15 glad you here.

16 I want to sort of go through the agenda now to get
17 you acquainted with what we expect to do today.

18 We're going to talk about the pilot program, first
19 of all to let you understand what it is in its entirety,
20 what we want to do, what everybody's role happens to be.

21 Then I want to talk about some current
22 misadministrations, to show you some of the problems that
23 we're trying to fix.

24 Then I'm going to go into the review of the
25 proposed rule, the 35.35. If you've seen the Federal

1 Register notice, you realize there are three sections to
2 this proposed rulemaking.

3 There's 35.33, which is recordkeeping and
4 reporting requirements for diagnostics; 35.34, which is
5 recordkeeping and reporting requirements for therapy; and
6 35.35, which is just the proposed rule. That's really the
7 subject today.

8 I'll tell you more about how we're going to get to
9 the recordkeeping and reporting requirements at the next
10 workshop.

11 So by the end of the day -- excuse me, let me go
12 on with the agenda.

13 We'll talk about any special conditions that may
14 apply due to state regulations. Then we will talk about the
15 evaluation forms and then we'll talk about the Regu`atory
16 Guide and then we'll review the schedule of future
17 activities.

18 By the end of the day I think you will know
19 everything there is to know about this.

20 Let me note we have just been joined by another
21 person. We've gone through introductions. We ask that you
22 tell your name and your position, the hospital you're from,
23 its size and whether all departments will participate, that
24 is, teletherapy, brachytherapy and nuclear medicine will
25 participate in the pilot program.

1 MR. DESAI: My name is Ashok Desai. I'm chief
2 technologist at Hermann Hospital in Houston, Texas. We are
3 a 900-bed trauma one hospital.

4 We don't have any brachytherapy. We don't have
5 any radiation therapy.

6 So I'm here to represent nuclear medicine.

7 MR. TELFORD: I'm going to give you a little bit
8 of an idea about the background of how we got to where we
9 are today.

10 Back in the fall of '87 the Commission asked --
11 the Nuclear Regulatory Commission -- When I talk about the
12 "Commission," I'm speaking of the five Commissioners that
13 you can think of as our board of directors.

14 I'll be careful to distinguish between what the
15 staff says as a staff proposal to the Commission and what
16 the Commission has approved of.

17 Back in the fall of '87 the Commission requested
18 two rules. One was a basic quality assurance rule and one
19 was a comprehensive quality assurance rule.

20 The one that we are working on currently is the
21 basic quality assurance rule.

22 You can tell by this chronology here that by June
23 of '88 the staff provided a proposal to the Commission --
24 March of '88, the staff provided a proposed rule to the
25 Commission.

1 The rule was in fact a prescriptive rule and the
2 medical community through its various organizations let
3 their views be known that it didn't particularly like this
4 rule.

5 It was too prescriptive; you're telling us what to
6 do and how to do it and that's not appropriate.

7 So the Commission then asked for options and what
8 we gave the Commission back was a proposed rule for a
9 performance-based rule, whereby this performance-based rule
10 would say what should be done. Here are the goals. Here
11 are the aims.

12 Each hospital or clinic can then decide how to do
13 that.

14 We had various meetings. Then June of '89 --
15 that's why June sticks in my brain -- we provided the draft
16 proposed rule that after long deliberation was finally
17 published in the Federal Register in January of this year.

18 With this proposed rule, because it's performance-
19 based, because we like to do it right, because we want to
20 try it out, the Commission also asked for a pilot program,
21 now that we have a proposed rule, to give it a trial period,
22 make improvements to it and then decide if we want to go
23 with the final rule.

24 In the pilot program what we said we wanted to do
25 was to proportionally represent each NRC region, each

1 agreement state, of which there are 29, each class of
2 licensee, that is, whether or not you do teletherapy,
3 brachytherapy or nuclear medicine, and the type of facility,
4 whether or not you're in an urban location or a rural
5 location.

6 As you were introducing yourselves this morning, I
7 was sitting here ticking off a little list in my head and I
8 see, yep, we have some of each.

9 Most people here, I think, are agreement state
10 licensees. As a matter of fact, there are just a few states
11 in the NRC's Region IV that are NRC states. For instance,
12 Oklahoma and Missouri are NRC states.

13 We went through an elaborate selection process and
14 Ed Kaplan is the gentleman that joined us who didn't get a
15 chance to introduce himself but he's from Brookhaven and I'm
16 sure you've all talked to him on the phone.

17 He deserves all the credit for having gotten all
18 of you here and made all those calls and gone through all
19 that and for the fact that we do have this representation in
20 the pilot program.

21 An overview of the pilot program would be each
22 licensee has -- or volunteer, excuse me, has one month
23 basically to modify their program; one month to implement;
24 By that, I mean train any personnel who need to be trained;
25 two months for the actual test; and then one month to

1 collect results.

2 I'll go through this more in detail and we're
3 going to have two sets of workshops.

4 This is the handout. This is part of another
5 handout. We've distributed that. This would be page one of
6 the other handout.

7 What I attempted to do here was just write down
8 some of the objectives that we want to accomplish with this
9 pilot program.

10 First of all, the way we are playing the game is
11 we give you the proposed rule, 35.35, and we'll go through
12 it in a minute. But basically all it says is have a
13 program. Here are the objectives that are desirable to be
14 met and you tell us how to do it or how you are going to do
15 it.

16 Therefore, we want to understand how you develop
17 your program, what you put into it. We want to understand
18 how you conduct it in your facility; that is, what unique
19 changes you do in its implementation to short of tailor fit
20 it to your hospital.

21 Then we want to determine if any of these
22 objectives have the effect of preventing mistakes that might
23 be intermediate step kind of mistakes, that if not detected
24 or caught could lead to a misadministration.

25 Then we'd like to find out if these objectives, in

1 your opinion, are useful or effective in preventing mistakes
2 in medical use; and if not, what sort of objectives would be
3 useful.

4 Here's a little bit more of a detailed outline.
5 The trouble that Ed went to and all that work happened in
6 January and February.

7 It turns out that when he calls someone, then he
8 finds out that, "Okay, we may be interested. I have to
9 check with two other people."

10 He calls back and says, "Okay, I checked with
11 those people. I have to check with three more people."

12 And three weeks later we got an answer, "Yes,
13 we'll play," or, "No, we won't."

14 So that took a while. We closed off the
15 invitation process in early March.

16 This next month that I was talking about in the
17 previous slide, this is when the volunteers would review the
18 proposed 35.35 that you received in the package from Ed.

19 You would determine that your program currently
20 meets 35.35 or you would modify it so that it meets. That
21 would be basically the month of April.

22 Now, we have two sets of workshops. The first set
23 we're into and the first workshop was March 29 and that was
24 in New York; April 4 was Chicago; April 6 was Atlanta; today
25 we're April 18th in Dallas; and the 20th will be San

1 Francisco.

2 After this workshop you have basically a month to
3 do any day-to-day procedure modification or training of
4 technologists or any little last-minute changes that you
5 need to do before the 60-day trial.

6 So I say all of this, if required, because it will
7 be true to varying degrees for various hospitals.

8 Then the volunteers will then try out your new
9 program for the 60-day period between May 14 and July 13.
10 You will retain a few records, which we will talk about.

11 For 18 of the volunteers -- Let me tell you how
12 many volunteers we set out to get, first of all.

13 We went to get 24 NRC volunteers and 48 agreement
14 state volunteers. That's a total of 72 to represent
15 basically 6,000 licensees across the country.

16 There are 2,000 (on that order) NRC licensees and
17 4,000 agreement state licensees.

18 So we were after 72 and I believe we came up with
19 22 NRC and 45 agreement state volunteers. So of the 67, 18.

20 We will make a random selection of the 67 and come
21 up with the 18 and for these 18 we will do sort of an in-
22 depth review.

23 We will have what we're calling our QA team.
24 These are four people that will do the work that I'm going
25 to describe, three of which are very experienced NRC

1 regional inspectors, and one of which is Dr. Anthony Tse,
2 who has been in this since the fall of '87 and knows all of
3 this stuff backwards and forward.

4 The other person that's here today that's on the
5 quality assurance team is Dr. Josie Piccone.

6 So if I get in trouble today with what I say, then
7 I have a regional inspector here to bail me out so you can
8 correct me.

9 For the QA team, after we've chosen these 18
10 facilities, we will review the program in depth.

11 This is a paper review of the program and the
12 principal question that the QA team will be asking is, does
13 it meet the proposed 35.35.

14 Following that, the QA team will go to these 18
15 sites for an evaluation and the principal question they will
16 be asking is: Is this hospital implementing the program
17 that they say they are implementing?

18 As we go on today, you'll see that there will be a
19 lot of opportunity for feedback and evaluation from all
20 sides.

21 First of all, this program review and the site
22 evaluation is a very no-fault kind of review. We're talking
23 about a proposed rule here, so we won't even use any words
24 like deficiencies or citations, for goodness sakes, you
25 know. None of that; this is no fault.

1 I'll tell you a little later what everybody will
2 get out of this but, in particular, what the 18 will get.

3 Then we'll have some post-test workshops following
4 the 60-day trial period and these will be in August.

5 We'll have a whole lot to talk about, because the
6 volunteers will have had the experience of trying out the
7 program they can tell us about, which is each of you; what
8 you think of the proposed rule; and your suggestions for how
9 to fix it.

10 The QA team, in turn, will then confess to you the
11 criteria that they used to evaluate the program, each of the
12 18; the results of those evaluations.

13 Thirdly, the criteria that they used for the site
14 evaluations; and fourth, what the results are.

15 When they say this, it's going to be like these
16 are the strong points, these are the weak points and these
17 things need work, but it will be in a no-fault sort of way.

18 On the handout, I'm on the next page of the second
19 handout.

20 What you can expect is that you can then get an
21 insight into the criteria that at least the NRC would use to
22 evaluate programs.

23 If there's a final rule, then this would be the
24 licensing stage. This would be when you send in an -- well,
25 not you, but if there are any NRC licensees here, when you

1 send it in to the NRC as an application, then these would be
2 very much like the criteria that we would use to judge your
3 application.

4 So this is an inside view and a step up on what's
5 coming.

6 We also learn the results of the application of
7 these criteria. You would then understand the criteria that
8 would be used during a site visit.

9 For all regular rules we call this an inspection.
10 For this, this is the site visit and we would learn the
11 results from these site visits.

12 I assume that the agreement states do something
13 very similar. I'm sure here in Texas they do a better job
14 but I'm sure they have something similar for site visits, or
15 they have inspections, too.

16 But you would learn, then, the results of that, so
17 you're ahead there.

18 Then, sixth, we will, I guarantee you, listen very
19 carefully to your evaluation of the proposed rulemaking.

20 We'll talk later today about what the evaluation
21 form will probably look like, the kind of questions that we
22 will be asking.

23 I think the impression you get after we go through
24 those evaluation, or questionnaire, the impression you get
25 is that we're turning this thing inside out and we're giving

1 you complete carte blanche to tell us how you would do it.

2 Then after your evaluation of what you think of
3 it, then we would ask for your suggestions for what to
4 change.

5 That will become very clear this afternoon.

6 Now, what do we expect of you? We would like you
7 to modify your current program, or if you don't have one,
8 develop one, that meets proposed 35.35.

9 All I will ask you to do is to say, "Here's a copy
10 of my program. I think..." This is you talking. "I think
11 that it meets the proposed 35.35." That's all I want you to
12 tell me.

13 Then the pre-test workshop. You're here today.

14 You would provide any instructions or train any
15 personnel as necessary, because it may not be necessary in
16 your hospital, to prepare for the 60-day trial.

17 Try out your modified program for 60 days and then
18 evaluate this proposed rule, which is 35.35, and provide
19 suggestions for improvements.

20 Attend the post-test workshop, because that's when
21 we will have the opportunity to discuss all these things and
22 that's my opportunity to learn from you.

23 Let me back up. Let me refer to the agenda and
24 say we've now covered the first topic. That's discussion of
25 the pilot program.

1 So I hope now you have an overview of the pilot
2 program and what's involved.

3 So let me stop for a few minutes and let you ask
4 questions and comment.

5 Anybody have any questions about the pilot
6 program?

7 MR. WHITE: The hospital end of this is basically
8 trench, grunt worker hospital personnel but the inspection
9 arm in the pilot program seems to be hand-picked, highly-
10 qualified NRC inspectors.

11 Why did you decide to do that, rather than choose
12 a sampling of agreement state inspectors and train them for
13 the final evaluation?

14 MR. TELFORD: That's a good point. I didn't
15 mention anything about the agreement states during the site
16 visits.

17 For the 18 volunteers that will be selected for
18 the program review and site evaluation, six of those will be
19 from agreement states and twelve will be NRC.

20 So we're heavily weighted towards the NRC for the
21 18. However, for each of the six -- I can't say insist, but
22 we will plead with that agreement state to accompany us on
23 the program evaluation and the site evaluation, so that we
24 have the experience and expertise from both groups.

25 Is that basically the point, we were kind of

1 ignoring the agreement states?

2 MR. WHITE: I guess my point is there are two very
3 important components of this. One is what the hospital does
4 and the other is the behavior of the inspecting agency.

5 I think they both need to be tested in a pilot
6 program and I think you're only testing half of it.

7 I will be inspected by somebody who has been
8 working on this as a career project for three years and a
9 pilot program and should it become a final rule, in our
10 state I'll be inspected by somebody who has quite different
11 attitudes, qualifications, things like that.

12 I think that that would be an important thing for
13 you to pilot, is the evaluation procedure.

14 MR. TELFORD: Oh, okay. You're from Colorado?

15 MR. WHITE: [Nods head.]

16 MR. TELFORD: So that's an agreement state. If
17 your facility were chosen for the site visit, then a person
18 from Colorado, ideally, would come with us, a state
19 inspector.

20 So your point is, we should be looking at --

21 MR. WHITE: Let him inspect me and let these
22 people evaluate both my performance and the inspector's
23 performance.

24 MR. TELFORD. Our concept was to do it jointly but
25 I think yours is an interesting modification of that.

1 Well, that might work if we could get the
2 agreement for Colorado to do that. Sometimes the states say
3 to us, "We'll go with you but we don't want to do that
4 work."

5 What I have to do is say, if they don't want to do
6 the work with us or even for us, we have to do it.

7 I basically agree with your idea.

8 Anybody else?

9 MR. BOLLING: I think it's important to note that
10 in developing this whole procedure, we have spoken to
11 agreement states in their annual meetings and we have also a
12 conference meeting of all states, all 50 states, which
13 incidentally is coming up next week.

14 We spoke to them last year at this time, so they
15 are well aware of it.

16 We had even a meeting of the conference of
17 radiation control program directors, which represents,
18 again, all 50 states, back in March and we had four
19 representatives come in from different parts of the country
20 and we explained it to them at that time as well.

21 We anticipate that these kinds of ongoing meetings
22 and training programs will filter down to the actual medical
23 inspector in each state and we do have ongoing training for
24 those inspectors as well.

25 MR. LOPEZ: The state inspector, I'm new to the

1 concept but the way I understand it is that what we're
2 trying to do is to get 35.35 to be the best it can be.

3 MR. TELFORD: Yes.

4 MR. LOPEZ: Therefore, the inspection will
5 eventually evolve to meet 35.35. I don't think we should be
6 concerned about the way that the state or the NRC is going
7 to be inspecting them, but the focus of the whole program
8 should be what 35.35 is going to be; that is, that it's
9 adequate.

10 MR. TELFORD: Right.

11 MR. LOPEZ: Eventually the states, since they are
12 required to have compatibility with NRC, will modify their
13 regulations to meet whatever 35.35 will be.

14 MR. TELFORD: I guess there's something I didn't
15 say. Since we have 67 volunteers, we're going to review
16 everybody's program.

17 We're going to go through a programming review for
18 everybody's program and you will get feedback from that.
19 But we can't go to everybody's site. We don't have time.

20 One of the criterion that we used to pick the 18
21 was how many sites can we go to during a 60-day period while
22 people are trying out their program.

23 If you start scheduling all these site visits all
24 across the country, we sort of cut back. We cut back to 18.
25 So that's one of the reasons that it's 18.

1 We're looking at the group of 18 as sort of a
2 sample of the 67, because if we can get a good impression of
3 the program review and then what we learn from the site
4 reviews, we want to extend that, of course, to the group of
5 67, 67 sites.

6 There are various aspects of this but you are
7 really right. The desirable end product is to have the
8 proposed 35.35 to be the best it could be.

9 Anybody else?

10 MR. SHARP: I think Gerald White has touched on an
11 important point, the performance of the people that are
12 going to be in the field looking at these things.

13 I think there's been a little reluctance to commit
14 agreement state effort to training workshops and even
15 getting heavily involved in the comments until we had a
16 little clearer picture of what 35.35 was going to look like.

17 To that extent, I think Jose is right. The focus
18 of this part of the program is weighted toward developing
19 what can be done and what can best be done by the individual
20 licensees.

21 Also, we need to include a third phase in this;
22 that is, a state evaluation of these programs, essentially
23 how the licensing section reviews these things on paper
24 before the inspector gets out there to review the
25 implementation of whatever has been down on paper.

1 Both those things will have to be addressed as the
2 states try to become compatible with whatever rule is
3 developed.

4 To some extent it will fall on the states to carry
5 out that by themselves. To some extent it will be
6 encouraged by the prospect of review of the NRC in the
7 routine reviews that they do for us, and I hope that they
8 will work with us in whatever special training we'll need to
9 implement it as rapidly as we can when we get to that stage.

10 MR. JANICE: But by the same token, aren't most of
11 what's in 35.35 now, Jon, being taken care of already, such
12 as the receipt, the disposal, the dosage?

13 MR. SHARP: Indirectly.

14 MR. JANICE: Identification?

15 MR. SHARP: Indirectly, many of those things are.
16 Indirectly, but not with the idea that quality control is
17 something that should be in and of itself addressed.

18 I think this is the first attempt to
19 systematically look at that and I think it probably will
20 fill in some gaps that we've got.

21 But I think you're right, too, three-fourths of it
22 perhaps is there.

23 MR. JANICE: I know John is going to make sure
24 that it's there when he comes around.

25 MR. TELFORD: I didn't mention but I take it

1 you've already assumed by now that if this rule becomes
2 final, it will be a matter of compatibility for all
3 agreement states.

4 When we started this effort for the pilot program,
5 Lloyd Bolling's office sent a letter to all agreement states
6 asking permission from each agreement state to let their
7 licensees participate in the pilot program.

8 He said, "If you agree to this, send us a list of
9 all your licensees according to the following format," and
10 they did, all 29, to their credit.

11 So let's see. We had a meeting with four
12 representatives from agreement states and two of the
13 gentlemen have two hats in that they're members of the
14 Medical Committee that's from the all agreement states
15 organization.

16 Lloyd's office also sent a letter to every
17 agreement state saying, "If you would like to discuss this
18 proposed rule or the pilot program, just let us know. We'll
19 come to you."

20 We're doing our best to work with the agreement
21 states. As we go on and people find out more of what it's
22 about, be sure that they will sort of jump in.

23 I want to switch to the next topic on the agenda
24 and talk about some recent misadministrations.

25 When we started this, we looked at the

1 misadministrations from 1980 to 1988, with the question in
2 mind of what are the problems that are occurring; what can
3 we do about these things? That is, how could we structure
4 requirements or list objectives that, if followed, would
5 prevent these things from happening.

6 I wanted to go through, I think I've got 10 or 11,
7 misadministrations to give you the insight of what's
8 happening around the country, of what we're shooting at,
9 what we're trying to fix.

10 It turns out that the first one is from Texas and
11 all I want to say about it is it happened in May of '88 and
12 it was a switch from 30 microcuries to 30 millicuries.

13 It happened in the West Houston Medical Center and
14 Jon Sharp has volunteered to discuss it with us.

15 MR. SHARP: It's pretty well summed up here. The
16 orders were verbal. The technician, in looking at the field
17 notes, was obviously confused about millicuries and
18 microcuries.

19 It was the substitute technician not fully
20 familiar with the procedures.

21 She did have enough concern for the high count
22 rate that she got when she scanned the dose with the pinhole
23 collimator and the gamma camera to question the authorized
24 physician about it, but because of the way of checking the
25 dose he said, "Well, those things are relative. Don't worry

1 about the count rate," which is, I suppose, roughly accurate
2 for that way of verifying that you've got the dose there.

3 It does point out the weakness of not using a dose
4 calibrator in this case.

5 It was about 12 hours before she finally had her
6 misgivings bother her enough. In the process of ordering
7 some other doses with the pharmacy, the pharmacist and she
8 eventually arrived at the discovery simultaneously, that the
9 previous order for 30 millicuries actually should have been
10 a diagnostic dose.

11 Compounding the situation was the fact that this
12 hospital was customarily ordering doses of 10 microcuries of
13 Iodine-131 for diagnosis, followed by 30 millicuries of
14 technetium.

15 This had been changed recently and unofficially to
16 30 microcuries of iodine and 30 millicuries of technetium
17 and she was used to ordering diagnostic doses and dealing
18 with millicuries.

19 So the mistake was not completely out of the blue,
20 let's say.

21 The patient's thyroid was affected, as you might
22 expect.

23 They have instituted procedures that are
24 remarkably like 35.35 on their own. They are having written
25 therapy orders and descriptions and they have standardized

1 and posted their list of procedures so that everybody is
2 aware and deviations from it need to be individually
3 authorized.

4 MR. TELFORD: Thank you.

5 MR. WHITE: Can I ask you, as you go through the
6 misadministrations, you might highlight how the new
7 regulations would have prevented it.

8 MR. SHARP: In this case, they adopted essentially
9 some of the precepts of 35.35 for iodine therapy.

10 MR. WHITE: But this was a diagnostic study.

11 MR. SHARP: It was supposed to be a diagnostic
12 study.

13 MR. WHITE: But what would help us, I think, is if
14 these procedures had been in effect, what would that
15 technologist have done differently?

16 MR. SHARP: They have made an arrangement with the
17 pharmacy so that therapy doses cannot be delivered without
18 written or verbal authorization by the authorized user.

19 So in their arrangement with the pharmacy, they
20 have gone an extra step. It would have blocked the delivery
21 of a therapy dose.

22 MS. WOOD: Isn't it mandatory to recheck a dose
23 before you give it to a patient?

24 MR. SHARP: If you mean by that, do we require --
25

1 MS. WOOD: Dose calibrator?

2 MR. SHARP: -- a dose calibrator on site at
3 licensees in Texas, the answer is no.

4 MS. WOOD: No?

5 MR. SHARP: No.

6 MS. WOOD: I'm more familiar with California and
7 they do, so I didn't understand.

8 MR. SHARP: In our terminology we call it double-
9 ended calibration and we don't require it for the expense.

10 MR. JANICE: Our pharmacy has gone one step
11 further, Jon.

12 In the past, as in most pharmacies I think, anyone
13 who picks up the phone says, "Hello, here's the order for
14 tomorrow," and starts writing.

15 Now our local pharmacist says, "Uh-uh." The
16 registered pharmacist has to take the order and call back
17 the order to the tech that's ordered it.

18 MR. GOMEZ: And do they perform the radiations
19 from the writing?

20 MR. JANICE: Pardon?

21 MR. GOMEZ: Do they perform the radiations from
22 the writing?

23 MR. SHARP: Of course, outside of the scannings, I
24 don't quite know what procedures in detail they had set up
25 with the pinhole collimator and their gamma camera.

1 Obviously, whoever originally set that procedure
2 up had some count rates in mind as trip levels.

3 This technician, being a substitute, apparently
4 was not well acquainted with that procedure and obviously
5 using a gamma camera where you only get counts per minute is
6 not a way for an inexperienced technician to be able to
7 unambiguously say, "Oh, this is the wrong dose."

8 A dose calibrator where you can see it, where it
9 essentially says what the dose is and you don't have to
10 convert it is obviously a little more foolproof.

11 DR. WIATROWSKI: I have a question. What were the
12 qualifications of the substitute technologist? I can't
13 imagine an NMRT not knowing that a 30-millicurie dose was
14 therapeutic.

15 I mean, I just can't imagine that.

16 MR. SHARP: The technologist in this case was a
17 registered technologist, x-ray I believe.

18 DR. WIATROWSKI: Yes, but not nuclear medicine.

19 MR. SHARP: No.

20 DR. WIATROWSKI: So perhaps the more fundamental
21 issue, rather than regulating minutiae might be to ensure
22 proper qualifications of the technologists and personnel who
23 are -- because an NMRT-registered technologist would clearly
24 know that a 30-millicurie dose is uncalled for in a
25 diagnostic procedure.

1 MR. SHARP: Speaking for a minute with a different
2 hat on, for many of the licensees in Texas who have nuclear
3 medicine on a marginal basis -- I mean marginal financial
4 basis, hiring an RT is even out of the question.

5 Hiring a nuclear med tech is not possible for
6 numbers and probably not possible from financing.

7 We can probably get some input on that from Bruce
8 Hammond, who serves rural hospitals.

9 MR. HAMMOND: I agree with you, Jon. In a nice
10 world it's great to have all registered nuclear med techs,
11 but the simple fact of the matter is, there are not warm
12 bodies out there that are properly trained.

13 So you end up with some kind of cross-training of
14 personnel.

15 I go back to the same question, though. 35.35 is
16 kind of the Band-Aid on the problem on this thing.

17 We're after the fact treating what happened to
18 this patient, when in fact if the hospital would have been
19 required to have a \$2,000 dose calibrator as opposed to a
20 \$30,000 technologist, this wouldn't have happened.

21 Instead of coming in with a quality assurance
22 program that for most of us mimics what we're already
23 required by Joint Commission or Medicare or somebody else,
24 let's make some basic minimum standards for what it takes to
25 qualify for a Nuclear Medicine Department.

1 Instead of saying you have to have a camera and a
2 warm body that knows stop, start and reset, in nuclear
3 medicine you've got to have a dose calibrator, survey meter
4 and things that work.

5 This patient wouldn't have this problem if simple
6 precautions would have been taken, whether you had the
7 janitor do it that you trained in nuclear medicine.

8 MR. SHARP: Well, in this hospital's defense, this
9 was a substitute situation. Their normal technician most
10 likely would not have had the problem.

11 MR. TELFORD: Let me take Jon off the hot seat
12 here.

13 This is one agreement state. To give you another
14 agreement state, this is Maryland. This happened over a 13-
15 month period, '87 to '88.

16 This was in Cumberland, Maryland, in the Sacred
17 Heart Hospital. It's a teletherapy misadministration.

18 They had a source change. The cause of the
19 problem was they changed the source but in one of their
20 computer programs they didn't put in a new number for the
21 new source string.

22 So they used that program and they overdosed 33
23 patients.

24 The actions taken to prevent recurrence was they
25 implemented an overcheck procedure. Part of that was to get

1 qualified personnel and the other part was to make sure
2 things are done correctly.

3
4 There is another agreement state. This happened
5 March 27 of '89. Most of these are very recent dates. I'd
6 like you to note that.

7 This was Indiana University School of Medicine in
8 Indianapolis. This is the teletherapy.

9 The patient was to be administered a certain
10 number of treatments, a certain number of fractions of 300
11 rads each, I believe, and they were to 9 sites on his left
12 hip and groin but they should have been administered to the
13 right hip and groin.

14 So wrong treatment site.

15 What was the cause? Miscommunication among the
16 technologists; speculate as to others.

17 The patient got 2700 extra rads to the wrong
18 location.

19 The action taken to prevent recurrence was, the
20 licensee has instituted new procedures to verify treatment
21 site.

22 There are various things in 35.35 that require
23 written... 35.35 basically says, first of all, write down,
24 tell me what you're going to do, first. Write it down.

25 Then, ideally, have somebody check it. Make sure
people know what they're doing.

1 Then write down what you did.

2 MR. WHITE: Indiana School of Medicine doesn't
3 have written prescriptions for radiation therapy?

4 MR. TELFORD: Well, like treatment site tattoos.
5 I don't want to give these guys a hard time but here are the
6 facts.

7 This is an NRC state. July 24, '89. Worcester
8 City Hospital, Massachusetts.

9 This is another teletherapy, was to get a dose to
10 the lumbar spine instead of the prescribed treatment for his
11 right lung.

12 So what was the cause? The technologist failed to
13 confirm the patient's identity with the available
14 photograph; that is, didn't use it.

15 The technologist failed to recognize the absence
16 of existing tattoos, which would have told him that either
17 he's got the wrong patient or he's got the wrong site.

18 The patient received an unintended 250 rads to the
19 spine.

20 The actions taken: The licensee has now
21 instituted new procedures, which require that each patient's
22 identity be verified by photograph and in questionable cases
23 the physician will verify prior to treatment.

24 This is another NRC state. Geisinger Medical
25 Center, Danville, PA, February of this year. Teletherapy.

1 In this case there were a specific number of
2 fractions to be given and they just kept giving them, kept
3 going.

4 The technologist misunderstood or didn't remember
5 the number of fractions to be given. The technologist
6 didn't keep a record, saying, "Okay, I've given that one,"
7 checkmark, or, "I've given that one. It was 200 rads," or
8 whatever it was supposed to be.

9 So the patient received 4200 rads and should have
10 gotten 3,000 and this was to the spine.

11 The actions taken: The licensee has now
12 instituted procedures that require clear marking of the
13 patient's chart when a treatment is completed and the staff
14 has been instructed to review all prescriptions prior to
15 treatment.

16 This is an NRC state. Josie, if I get some of
17 these wrong, you can tell me, because this is your
18 territory.

19 This is brachytherapy. I don't want it to seem
20 that I'm picking on any one thing but what I'm trying to do
21 is to give you the same view that I can see from looking
22 around the country.

23 January of '89, Yale-New Haven Hospital, New
24 Haven, Connecticut.

25 The nature of the misadministration was the

1 technologist entered a decay factor of 267 instead of a
2 factor of 128 and this is an after-loading device, high dose
3 rate, for you that understand brachytherapy better than I
4 do.

5 The problem was the technologist simply misread a
6 number. There was no recheck procedure to detect that.

7 The patient then received a thousand rads instead
8 of 500, for that fraction.

9 The action taken was the licensee established new
10 procedures to prevent recurrence by instituting an
11 overcheck.

12 This is an agreement state.

13 MR. BOLLING: No, it hasn't.

14 MR. TELFORD: Uh-oh, I've been corrected.

15 This is Missouri, not an agreement state. You're
16 right.

17 I just said that this morning, right? Missouri is
18 not an agreement state. I gave that as an example. Thank
19 you.

20 January of '89, St. Luke's Hospital, Kansas City,
21 Missouri. This was a Cesium-137 case with source strength
22 supposed to be of 25 and 20 and they loaded 25 and 5
23 milligrams reading equivalent.

24 The cause of the problem was that one storage
25 drawer contained sources of two different strengths.

1 The patient was 56 percent under-dosed.

2 The action taken to prevent recurrence is now the
3 sources have been arranged so that each drawer contains
4 sources of one strength only.

5 Boston, Mass., agreement state.

6 VOICES: No.

7 MR. TELFORD: No. I was just checking to see if
8 you were listening.

9 Okay. March of '87, New England Medical Center
10 Hospital.

11 The patient received the wrong radiopharmaceutical
12 and the wrong dose. The patient was to receive one
13 millicurie of I-123; instead got 5 millicuries of I-131.

14 The cause was the technologist misunderstood the
15 wording in the notes made by the referring physician in the
16 patient's chart.

17 For the sake of 35.35, let me point out this says
18 "referring physician."

19 The patient received approximately 5,000 rads to
20 the thyroid as a probable consequence.

21 The action taken was: Procedures have now been
22 implemented to verify each diagnostic study requested.

23 MR. JANICE: No disrespect to anyone's
24 handwriting, but there's a large difference between written
25 and legible orders, you know.

1 MR. TELFORD: Yes.

2 This is May of 1989. Abbott-Northwest Hospital,
3 Minneapolis, Minnesota. This is an NRC state, right?

4 MR. BOLLING: Right.

5 MR. TELFORD: All right. Nuclear medicine
6 procedure, the patient received a 3 millicurie dose of I-
7 131 instead of 300 microcuries of -123.

8 The technologist misunderstood the referring
9 physician's request of what radiopharmaceutical to use and
10 the dosage.

11 The patient probably got 3,000 rads to the
12 thyroid.

13 The action taken was the licensee has instituted a
14 procedure that no I-131 radiopharmaceutical will be
15 administered to a patient without prior approval by the
16 nuclear medicine physician.

17 For the sake of 35.35, we want to put the nuclear
18 physician in charge.

19 Okay. October 18th of '89, Mayo Foundation,
20 Rochester, Minnesota.

21 Dose of I-131 administered to patient was ten
22 times too much.

23 The referring physician -- note, "referring
24 physician" -- ordered a scan using one millicurie of I-131
25 instead of a hundred microcuries by checking the incorrect

1 box in the diagnostic approval form, and there was no
2 overcheck at that time.

3 The patient got approximately a thousand rads to
4 the thyroid.

5 The action taken is the hospital has now revised
6 its procedure for use of iodine and to have the nuclear
7 medicine physician review and approve the request and to
8 write the prescribed dosage on a referral form and check it
9 and make sure it's right.

10 November 1st, '89, Desert Good Samaritan Hospital
11 in Arizona. This may be the one that's in Mesa rather than
12 in Phoenix, actually.

13 The patient received the wrong dose. The patient
14 got a hundred millicuries instead of a hundred microcuries
15 of ¹³¹I.

16 Causes, probably too many to list, but let me give
17 you a few. The radiopharmaceutical order was ordered by
18 phone, a verbal order.

19 The dose was not measured in the dose calibrator.
20 There was miscommunication between two technologists.

21 No doubt the patient's thyroid was ablated,
22 probably a dose of a hundred thousand rads to the thyroid.

23 Action taken: The state, first of all, the State
24 of Arizona suspended all ¹³¹I use at this hospital until the
25 licensee could show how future misadministrations could be

1 prevented.

2 At a time later they said, "You can now use up to
3 100 microcuries without prior approval from the state."

4 Let's see, I have one more.

5 This is November 30th of last year. Kuakini
6 Medical Center, Honolulu. This is an NRC state.

7 The wrong patient received a therapeutic dose of
8 nine millicuries of I-131.

9 The technologist called Patient B. Patient A
10 responded and took the I-131 dose.

11 The patient, however, was supposed to get 20
12 millicuries of technetium for a bone scan.

13 Probable consequence, 9,000 rads to the thyroid.

14 Action taken: Procedures have been implemented to
15 require that a single technologist be responsible for
16 correctly identifying patients and handle all aspects of
17 I-131 therapy.

18 Also, the technologist, the physician and the
19 patient are now required to sign the therapy worksheet prior
20 to treatment.

21 I wanted to give you a quick snapshot of what we
22 see. I've got several slides here that I could go back
23 through.

24 I think these appear in the Federal Register but
25 what we did was summarize the misadministrations from '80 to

1 '88 and we sort of catalogued all the things that went
2 wrong.

3 I propose not to go through that because you've
4 just seen several sort of case histories, quick snapshots of
5 the misadministrations.

6 I have no doubt that everybody here would say,
7 "No, I don't like these happening. I don't particularly
8 like it when people get over-dosed like this or even under-
9 dosed."

10 What he proposed 35.35 would like to do is figure
11 out a way that we could solve this problem once -- maybe not
12 solve it. Let's say attempt to solve it once.

13 Let's strive for excellence. Let's strive for
14 zero imperfections but let's be realistic.

15 What's been happening is that NRC has 2,000
16 licensees and it appears to me that we've been solving this
17 problem one at a time.

18 Hospital A has a problem. Okay, what procedure
19 are you going to do to fix it?

20 Hospital B has a problem. Okay, what procedure
21 are you going to do to fix it?

22 The agreement states have 4,000 licensees. So
23 wouldn't it be nice if we could figure out one set of
24 procedures that might be useful to everybody to prevent
25 these problems from happening.

1 We have a proposed rule. I'll probably be the
2 only person in this room that will say it's any good for
3 anything. That's fine.

4 What I want to do is have a pilot program. I want
5 to test it and then I want to hear from all the participants
6 on how to make it better.

7 It won't hurt my feelings a bit if you tell me,
8 "Oh, this is no good."

9 All I want you to do is tell me what to do better
10 and I'm confident we can do that because we have so much
11 experience and such a wide representation from all the
12 participants in all the entire pilot program.

13 Let's briefly do some questions and answers and
14 then maybe take a break.

15 Anybody have any questions or comments? Yes.

16 DR. FELDMER: I have a question about the
17 implementation of the program.

18 You indicated that there would be a period of
19 amnesty for the participants, that in the process of
20 implementing the program NRC, either with a site visit or by
21 review of submitted documents, reviews the QA programs and
22 finds deficiencies and there aren't going to be any fines
23 levied or anything like that.

24 Is there any kind of guarantee from the states
25 that are not NRC states? Are the state boards going to

1 offer the same kind of amnesty.

2 MR. JANICE: I see John is keeping a very sober
3 face.

4 MR. TELFORD: Yes, Lloyd.

5 MR. BOLLING: I'm going to get into this a little
6 bit after lunch but this is not a rule.

7 What you're doing is you're proposing to meet some
8 requirements that we've ginned up and any commitments that
9 you've made in writing to your state agencies, you are
10 expected to keep those commitments.

11 If there are any discrepancies between the two, we
12 would expect you to get to the state immediately and tell
13 them, "I've agreed in my last renewal or in my initial
14 license application to keep books in a certain way," and
15 during this pilot program most likely you'll be keeping
16 something a little extra and not something less.

17 But if there appears to be a contradiction, we'd
18 expect you to get to the state agency and work it out with
19 them.

20 DR. FELDMETTER: So the basic answer is, there
21 probably will not be any amnesty extended by the state
22 governing boards?

23 MR. BOLLING: No.

24 MR. TELFORD: What Lloyd, I think, is saying is
25 that if you have a current license condition, if -- we're

1 sort of getting into Lloyd's talk, the subject of his
2 session, but basically we would like you to -- We can't say
3 otherwise.

4 We want you to keep following your license
5 conditions. If the license condition is in conflict with
6 anything in proposed 35.35, you still have to follow your
7 license condition; or if it's in addition to, then you still
8 have to follow your license condition.

9 From our point of view, we'll work around that.
10 Maybe what you're really asking is if the state joins us for
11 the site visit, are you worried about the state inspector
12 then discovering things and citing you for it?

13 DR. FELDMEIER: Basically that's what it boils
14 down to. I don't think anyone would realistically expect
15 the state to suspend their governing regulations in any way,
16 shape or form but since by participating in this program,
17 you're volunteering for a much closer scrutiny, potentially,
18 if it's a fine point or matter of interpretation or anything
19 like that, I think some sort of understanding with the state
20 that if it's a nebulous activity and especially if it's in
21 conjunction with this voluntary program, that there might be
22 an inclination to be a little bit more liberal in
23 interpretation. Not a substantive sort of thing.

24 MR. BOLLING: Let me say, we had a training course
25 at the University Medical Center in Oklahoma a couple of

1 years ago and part of the course -- this was a course, by
2 the way, for agreement state inspectors, brand-new
3 inspectors.

4 As part of the course we had a tour through
5 different medical facilities and in going through there we
6 saw a couple of technicians drinking in a hot lab.

7 These are things that just cannot be overlooked.
8 I mean, it doesn't matter if it's part of a pilot program or
9 not. That's a clear violation of regulations and standard
10 practices.

11 Obviously, if the state inspectors or if we see
12 something like that, we're going to tell you about it.

13 We may not have the authority to give you a fine
14 or something like that but certainly you're in violation of
15 state regulations with something as clear as that.

16 Something that's borderline or maybe a sign has
17 fallen off a door or something, I've never been too excited
18 about things like that.

19 I think that we can talk about it and get a new
20 sign up. I don't see that as being a big problem.

21 DR. FELDMER: Would the plan be, when the site
22 visits occur and the NRC site visitor comes, to bring a
23 representative from the state board's committee?

24 MR. BOLLING: Yes. We designed this program so
25 that it had as little impact on the states as possible,

1 because of dollars and personnel implications to the states.

2 John and Tony and some of the rest of us don't do
3 licensing and inspecting on a day-to-day basis.

4 Our job is writing regulations and explaining them
5 and implementing them and so forth, but the state people
6 don't have that luxury.

7 They are hired to do inspections and to do
8 licensing and there's very little time for them to do the
9 research and the regulating.

10 So we designed the program so that they can be
11 involved if in fact they have the time, but we didn't want
12 the program to fail or have problems because a state could
13 not get involved.

14 It is our intention, though, to have a state
15 inspector at each site visit.

16 MR. TELFORD: We will request that.

17 MR. BOLLING: Yes.

18 MR. TELFORD: Jon, did you want to say something?

19 MR. SHARP: Well, it would be very surprising if
20 much was made of anything but the most significant
21 violations by a state person.

22 The idea is to learn with the NRC about what makes
23 a pilot program or what makes a viable QA program.

24 The practicality of it is that we're going to be
25 another ear to bend and that may be more important when the

1 state's plan is implemented, that it is, quote, compatible.

2 I think, in fact, it's going to be advantageous to
3 the volunteering licensee to have the state person there.

4 MR. TELFORD: We'll only have one day at each site
5 and we will be highly focused on your program, your proposed
6 QA program.

7 We will not be there for any other purpose. We'll
8 be completely focused on that.

9 There will be a lot of things that they could look
10 at just within that little program. So they will not be
11 looking at anything else, unless, like Lloyd says, they're
12 walking down the hall and they stumble over something.

13 If that occurs, then it will be referred to the
14 regional office, if it's an NRC licensee.

15 Any other questions or comments? Lloyd.

16 MR. BOLLING: Just one other thing before we
17 break.

18 I think that the eight points, the objectives of
19 the QA program, should really be viewed as icing on the
20 cake.

21 We believe that most of you are doing a very good
22 job and misadministration rates indicate that, but we feel
23 that every once in a while they do occur.

24 They do have serious consequences to an individual
25 patient.

1 Some of you hospitals involved in the therapy area
2 have been involved with the Centers for Radiological Health
3 in the past, which were funded by FDA, I believe, and some
4 of you have continued to keep your books in a way that we
5 believe lends itself to easy adoption on some of these
6 objectives of the QA program.

7 So we feel that you're probably doing this
8 already. Perhaps you have information scattered in
9 different areas and maybe they need to be Xeroxed and tied
10 together in one notebook or something and have a
11 comprehensive sit-down session with your technicians and
12 say, "Now we have this discrete QA program designed to
13 reduce errors," and that will be part of their training,
14 their in-hospital training.

15 MR. TELFORD: Anybody object to taking a ten-
16 minute break?

17 [Recess taken.]

18 MR. TELFORD: Okay. What we'll do for the rest of
19 the morning, I'd like to discuss the proposed 35.35.

20 What I'd like to do is explain to you our
21 intentions for each of these parts of the proposed 35.35 so
22 that you understand them sufficiently well that you can then
23 make your own judgment as to whether or not your program
24 meets 35.35 before the start of the 60-day trial.

25 The first paragraph of 35.35 basically says we'd

1 like you to have a written quality assurance program. Put
2 it all in writing.

3 If this were a final rule, it would say have it
4 all in one manual, but that's not essential for you. It's
5 not necessary.

6 The real purpose of 35.35 is to, quote, provide
7 high confidence that mistakes in medical use will be
8 prevented.

9 That's our aim that we want to keep in mind.

10 The key is that even if it becomes a final
11 regulation, each licensee would develop their own QA
12 program, because this is intended to be a performance-based
13 rule.

14 It's not intended to be a prescriptive rule.

15 The eight objectives that I'm going to talk about
16 in a minute are just that. They're things that we think are
17 good things to

18 As far as the pilot program goes, those are the
19 eight that we want to work towards and those are the eight
20 that we will modify when we get done.

21 Just so you understand that there is a feedback
22 loop built into proposed 35.35, it would require an annual
23 audit.

24 In some places there are monthly audits, where the
25 licensee audits their own program. In other places, there

1 are quarterly audits.

2 This one just asks for an audit at the end of the
3 year and a management evaluation of that audit and a
4 determination that the program is effective and to make
5 prompt modifications.

6 If they discover a problem that needs fixing, they
7 should make prompt modifications to prevent recurrence.

8 So this is in the spirit of being performance
9 based. You, first of all, get to set up your own program to
10 meet your needs at your institution.

11 Next you get to audit it to fix it each year, if
12 it needs fixing.

13 So all you really need to focus on now is we want
14 to construct a quality assurance program. We want to meet
15 these eight objectives.

16 The first one says that, "We want to ensure that
17 the medical use is indicated for the patient's medical
18 condition."

19 I first need to say that we do not want to get
20 into the practice of medicine. We want to stay out of that.

21 We would like the nuclear physician, what we call
22 the authorized user, to be in charge and to decide what
23 should happen to this patient, whether it's diagnostic study
24 or a therapy study.

25 So the intention here is just so that there is a

1 thought process that goes on, that someone is convinced, we
2 hope the authorized user is convinced, that this study
3 should be done.

4 The second one, this is a recommendation if you
5 will, that for all therapy cases, that prior to medical use
6 we have a prescription.

7 We have a definition of this word, which I'll go
8 into in just a minute, but it's defined to be a written
9 directive.

10 So if you don't like that word, we'll focus on
11 what it means or what we say it means.

12 We have a prescription for any teletherapy
13 procedure, any brachytherapy procedure, any radio-
14 pharmaceutical therapy procedure or any radio-
15 pharmaceutical procedure, whether or not its first intention
16 was to be diagnostic therapy, but if it involves more than
17 30 microcuries of I-125 or I-131, we have a prescription.

18 So the intention here is for all therapy
19 procedures, first of all, write down what you're going to
20 do.

21 In your handout it's got a definition of
22 prescription. It's on the next -- you may have to flip two
23 pages to your handout now.

24 Basically it says it's a written directive. It's
25 dated and signed by an authorized user.

1 It's not signed by the referring physician but,
2 rather, it's signed by the nuclear physician.

3 For various types of therapy it recommends the
4 content, the minimum content of that written directive.

5 The intent here is if there is something to be
6 done to this patient, let's write it down before we start.

7 Objective 3 is all about diagnostic procedures.
8 Though it says "prior to medical use," we think it's a good
9 idea to have a diagnostic referral.

10 I said "or ,prescription" in parentheses, because
11 you always have that option.

12 We'd like you to have a diagnostic referral for
13 any diagnostic procedure and we note that even if it's a
14 diagnostic procedure, if it involves more than 30
15 microcuries of I-125 or I-131, you have to go back to (2)
16 and have a prescription.

17 In (3), the way that we're trying to arrange this
18 is we're trying to incorporate business as you probably do
19 it.

20 For a patient that's going to get a diagnostic
21 procedure, we envision you having a referral. This comes
22 from a non-nuclear physician, typically, so that the
23 referral is a written directive dated and signed by a
24 physician (that's on your definition page), but it's not an
25 authorized user physician necessarily.

1 The way we envision that this works is the Nuclear
2 Medicine Department, let's say, has a clinical procedures
3 manual.

4 A patient comes through the department with a
5 referral. It says "thyroid scan, liver scan."

6 In the clinical procedures manual, it's defined
7 what the technologist is to do so that the referral and the
8 clinical procedures manual work together in tandem.

9 The way that we are intending to keep the
10 authorized user physician in charge is to have that person
11 approve of the clinical procedures manual.

12 So even if we have a patient that comes from
13 someplace else, from a physician that you've never worked
14 with before, and the patient appears with a referral and it
15 says, "Liver scan with 3 millicuries of I-131."

16 The technologist picks up the referral, goes to
17 the manual and says, "Oh, the manual says something
18 different here. Maybe I shouldn't do that."

19 So we're attempting to keep the authorized user
20 physician in charge by having that manual direct the
21 technologist as to what's to be done.

22 Yes.

23 MR. JANICE: Are you saying that the referring
24 physician is automatically going to have to know how much is
25 used for a liver scan or how much is used for a bone scan?

1 MR. TELFORD: No, not necessarily. Just that we
2 hope that one of the functions of the clinical procedures
3 manual is to prevent the wrong radiopharmaceutical or the
4 wrong procedure from being used, maybe the wrong route.

5 The referral can just say -- Let's look at the
6 definition on the referral.

7 Why don't you read it for me.

8 MR. JANICE: "Diagnostic referral means a written
9 request dated and signed by a physician before a diagnostic
10 medical use that includes the patient's name, diagnostic
11 clinical procedure, and clinical indication."

12 MR. TELFORD: Okay, that's it.

13 MR. WHITE: I'm not sure if it's time for this
14 question or not but in our hospital we don't practice that
15 way.

16 Referrals for nuclear medicine procedures,
17 diagnostic studies, come in one of two ways.

18 A physician in his office will ask his nurse or
19 functionary to call the hospital scheduling office, who will
20 then enter the physician's order into a computer and that
21 appears on a computer in the Nuclear Medicine Department.

22 The other option for inpatients is that a
23 physician will request a similar study and he will either
24 type it in using his code number or the nurse on the floor
25 will type it in using his name as the authorizing physician.

1 Does that sort of thing count? I mean, in our
2 hospital nuclear medicine would grind to a halt if we had to
3 get a referring physician, whose office is five miles away,
4 to write a dated and -- How do you envision that happening
5 in real life?

6 MR. TELFORD: Okay. For the pilot program, what I
7 would like you to do is to say in your quality assurance
8 program how you meet the intent of number (3).

9 The intent of number (3) is to have a written
10 directive given to the technologists, or at least some clear
11 instructions to the technologists, so they know exactly what
12 to do, what's expected.

13 So if in your hospital you don't use written
14 referrals, just say what you do.

15 You may say that, "99 percent of the time we use
16 written referrals but under extenuating circumstances,
17 here's what we do."

18 So for each of you, that's all I ask, is just you
19 to say in your quality assurance program what you do to make
20 sure that the clear instructions get delivered to the
21 technologist, because I don't want anybody's practice to
22 come grinding to a halt.

23 Any other questions on this? Yes.

24 DR. FELDMEIER: I'm kind of ignorant so forgive
25 me. I'm a radiation oncologist, not a nuclear medicine

1 person.

2 It seems to me to be totally inappropriate for a
3 referring physician to send a patient with a specific
4 request of an isotope and an activity, that that's within
5 the realm of a nuclear medicine physician licensee to
6 determine that.

7 I mean, in my mind as a clinician, that's akin to
8 a general practitioner sending a patient in need of
9 gallbladder surgery with instructions to cut on the dotted
10 line.

11 I think that's totally inappropriate and if that's
12 done, I think that's something that the NRC and the states'
13 regulatory commissions and governing boards should --
14 That's something that should be done away with.

15 I mean, as a radiation oncologist, I don't have
16 patients sent to me and say, "Give 600 rads here," although
17 I've heard that in the past that's happened.

18 People will come with drawings and notes stapled
19 to their lapel, you know, "Treat here, give her 25 rads."

20 But I think that the NRC and the corresponding
21 state boards should see to it that only appropriately
22 licensed and trained practitioners should prescribe isotopes
23 and doses.

24 MR. TELFORD: We agree. We agree and that's what
25 we're trying to do is to make sure the authorized user

1 physician is in charge, both of prescriptions and of the
2 diagnostic --

3 DR. FELDMER: Is that a fairly common practice?

4 MR. TELFORD: Well, I gave five or six talks last
5 year and people would tell me horror stories.

6 The authorized users would never question the fact
7 that these things happen. They would just say, "But I want
8 to be the guy in charge."

9 I could always say, "Yes, I agree with you."

10 So I won't comment on its frequency of occurrence.
11 Strange things happen sometimes.

12 Okay. We're up to (4). In (4) we're saying prior
13 to medical use we would like to ensure that either the
14 referral and the manual (they're working in tandem) or the
15 prescription is understood by the responsible individuals.

16 That is, all those folks that need to be
17 communicated with need to be told what's to be done, that
18 they understand what they're supposed to do.

19 I don't mean that every time but you may work with
20 some folks and you find out that this person needs a little
21 more training or you need to quiz them or something.

22 Yes, Tony.

23 DR. TSE: I want to alert the participants if you
24 have any questions or comments on each of these objectives,
25 if you feel it's difficult for you to do these, that you

1 should raise now.

2 For example, the one question over the Objectives
3 (2) and (3) which says, "Iodine-131, Iodine-125, 30
4 microcuries or above, you need a prescription," the
5 definition of prescription is to say you must have your
6 authorized user, meaning the user physician to write a
7 prescription to indicate certain things.

8 Do you have a problem, do you have a concern with
9 those kinds of -- the proposed regulation?

10 If you do, please raise it.

11 MR. JANICE: No, because I feel that now, anyone
12 that comes for something requiring that much is going to be
13 consulted from the referring physician to the nuclear
14 medicine physician, who in turn is going to talk to us.

15 So I think that more or less that's already in the
16 mill somewhere that that's documented.

17 MR. TELFORD: Give him the punch line.

18 DR. TSE: If there's some nuclear medicine
19 technologist here, you know there's many cases involving
20 hippuran, which is more than 30 microcuries.

21 Now, would you have a problem doing these things
22 for hippuran cases? That's the punch line.

23 VOICES: Oh, yes.

24 MR. DADARI: Definitely we do have a problem with
25 it. We already have a policy to require prescriptions for

1 any amount of Iodine-131 which involves 100 microcuries.

2 We require a prescription from the nuclear
3 medicine physician but we do not keep that prescription in
4 our department.

5 We pass it to our pharmacy. The pharmacy will not
6 deliver the dose without that prescription.

7 But in the case of Iodine-131 hippuran, it might
8 come up doing the night. You want to do a radiogram and we
9 don't have access to a prescription.

10 We do have access to a physician by phone. We
11 confirm it and the pharmacy will deliver it to us and we do
12 not consider hippuran as hazardous as Iodine-131 alone.

13 That's why we never required that.

14 MR. JANICE: But in essence, with the definition
15 of prescription, you have an out on exactly what you said,
16 because it says "a written direction or order."

17 MR. DADARI: Well, I believe we have in our
18 prescription or our license the chemical form of Iodine-131
19 requires Iodine-131 alone, not in different chemical
20 properties.

21 MR. JANICE: So if this is what you're saying, if
22 they pick up the phone and say, "Hello there, we want a
23 hippuran study. This is Dr. Joe Blow saying go ahead and do
24 it," as long as that's documented somewhere on the request
25 saying that that patient could have that much?

1 DR. TSE: If you follow Objective (2), then you
2 cannot do it that way. You have to have your nuclear
3 physician to write the prescription to indicate 300
4 microcurie of Iodine-131, because these words do not
5 distinguish between the sodium iodide chemical form of
6 hippuran.

7 I wanted you to think about those and make a
8 suggestion if you don't think you...

9 MR. TELFORD: This is very similar to the question
10 on diagnostic referrals.

11 I'm claiming that the ideal case is to have all
12 diagnostic referrals written, signed by a physician.

13 My answer was for the pilot program, if you don't
14 do that, just say in your quality assurance program what you
15 do, how you handle the cases of when you don't have written
16 diagnostic referrals.

17 MR. JANICE: I suppose we get back more basic.
18 How did you come up with the magic number, 30 microcuries,
19 because you're also talking --

20 MR. TELFORD: Let me answer that question in a
21 minute but I think there's something that needs to be said
22 here, because these folks are over here wondering about,
23 "Oh, what am I going to do with hippuran?"

24 It's a similar answer, you see. For your quality
25 assurance program you would say that, first of all, in your

1 hospital this is a hundred, not thirty.

2 MR. JANICE: Exactly.

3 MR. TELFORD: You do that. Here you would say if
4 it involves hippuran, it can be a verbal order and you would
5 give the conditions under which you would accept that verbal
6 order.

7 Just say whatever it is you do but say it in your
8 quality assurance program.

9 Now back to the question of why did we come up
10 with 30.

11 Well, we noticed a lot of cases of the micro to
12 milli switch.

13 MR. JANICE: That could be done with ten. It
14 could be done with one. It could be done with twenty.

15 MR. TELFORD: Yes.

16 MR. SHARP: But it starts to get serious at 30
17 millicuries.

18 MR. TELFORD: just happens to be that if you
19 switch at 30, that's 30,000 rads to the thyroid.

20 In part, it's arbitrary. We tried to use some
21 rationale.

22 DR. TSE: John, the reason to come up with this 30
23 microcuries started in '87. In 1987 we proposed a proposal
24 regulation.

25 That proposal regulation included all levels of

1 iodine and public comment suggested that there should be a
2 cutoff and should be limited to only above certain
3 microcuries.

4 It was suggested 100 microcuries, 30, 50, and so
5 on.

6 The Society of Nuclear Medicine and other
7 organizations suggested 30 microcuries because they believe
8 most are done acceptable within the 30 microcurie level.

9 That's why we suggested this but the main reason
10 we would stress is if this is adopted, the technologist
11 would know as long as I see 30 microcurie or more of Iodine-
12 131 or Iodine 125, I cannot go ahead unless I check with my
13 supervisor or my physician.

14 That's the reason.

15 MS. WOOD: It doesn't work that way. They are not
16 always available to check with.

17 If you have a written order for hippuran, for
18 hippuran renal study, isn't that an order that needs to...

19 MR. TELFORD: Yes, but as this gentleman pointed
20 out, it's a different chemical form.

21 So all I'm saying is just document in your QA
22 program what you do for the hippuran studies.

23 For the ideal case you have all therapies
24 involving large doses of this, you have it written down.
25 You have a directive from the authorized user physician.

1 While we would like to allow the vast majority of
2 the diagnostic studies involving this to come in via a
3 diagnostic referral, which doesn't require that it be
4 written by the authorized user physician but, rather, the
5 clinical procedures manual here would then say what's to be
6 done and how to do it.

7 MR. SHARP: John.

8 MR. TELFORD: Yes.

9 MR. SHARP: What was the thinking of not adding
10 something to the end of that, such as "as iodide."

11 MR. TELFORD: "As sodium iodide," or something?

12 MR. SHARP: Just didn't want to make it
13 complicated?

14 MR. TELFORD: That's a good point. In fact, it
15 was on one version.

16 By the way, I welcome all kinds of suggestions
17 like that and at the next workshop we will take these things
18 apart and put them back together again.

19 So don't feel bashful about making
20 recommendations.

21 Yes.

22 MR. WHITE: I don't have a lot of experience in
23 designing pilot programs. You're part of it but I'm going
24 to venture an opinion anyway.

25 In our clinic, we do mostly non-iodine studies. I

1 bet 95 percent of our patients receive an isotope other than
2 Iodine-131.

3 So in looking at how the final rule is going to
4 affect us, I'm looking at some of the other steps, and the
5 one that concerns me the most is this diagnostic referral.

6 I know what you said about the pilot program but
7 in the actual execution of the regulations, this is really
8 quite clear.

9 I'm thinking about now arguing with my state
10 inspector about this. This says a written request dated and
11 signed by a physician.

12 What they're going to ask me for is a piece of
13 paper that the referring physician has touched, written on,
14 signed and dated.

15 We have 300 referring physicians, all of whom are
16 not at the hospital.

17 I don't understand how this is going to work and I
18 think of the pilot program, this seems to me to be the
19 biggest stumbling block.

20 MR. JANICE: If the patient is in the hospital,
21 he's going to write the order on the chart. That is your
22 prescription, your referral.

23 All you do, if necessary, just Xerox or copy that
24 sheet and stick it in with your folder.

25 MR. WHITE: That is a cumbersome procedure to go

1 through.

2 MR. TELFORD: Let me see if I understand your
3 point.

4 You're saying at various hospitals around the
5 country people do things differently.

6 Some folks will use a written referral all the
7 time. Some folks will use a written referral half the time.
8 Some folks will never use a written referral.

9 Okay. We have 67 volunteers. To each of them I
10 say, put into your quality assurance program what you
11 actually do.

12 I say the ideal case is to have it written. You
13 tell me under what conditions you were deviate, you would
14 not use a written referral.

15 We're going to have 67 examples of different
16 degrees of use of written referral and that's going to be a
17 powerful piece of evidence as to whether or not other than
18 written referrals will work.

19 MR. WHITE: I understand that.

20 MR. TELFORD: I view it as a very interesting
21 aspect of this pilot program, that if indeed -- Let's say
22 that through our site visits of these 18, we come in and we
23 say, "Let us do a little paper trail audit here. Let's look
24 at some studies that were done."

25 One of the things we'll ask for is for you to

1 record the dose given to these folks.

2 Let's say you've got a large number of diagnostic
3 studies. We would come in and say, "Well, let's take a
4 sample of these. We're only here for a day. Let's take a
5 sample. Let's go back and see what was supposed to be
6 done."

7 If it turns out that in these 18 cases this is a
8 minor problem, very minor things occur here, then we can
9 translate that to the total of 67 programs and see what the
10 programs are telling us to do.

11 On the other hand, if we look at it and we say,
12 "Gee, these folks are using verbal instructions, but that's
13 90 percent of the problem. Whoops. We better insist on
14 written referrals."

15 On the other hand, if it's a minor problem, maybe
16 we'd want to go back and say, "Maybe we don't need written
17 referrals."

18 At the next workshop you will get to tell us your
19 experience of trying to meet the intent of this objective,
20 how you did it, what your experience was and what you think
21 of that objective and, fourthly, how it ought to be changed.

22 I can understand your point of view. You're
23 saying, "Well, what if this were a final rule and I had to
24 face a state inspector all of a sudden based on this."
25 Right, life would change for you.

1 But the pilot program is a giant experiment. We
2 proposed something. We're going to try it. We're going to
3 fix it before it ever sees the light of day in an
4 enforceable regulation.

5 DR. WIATROWSKI: Can I make a comment?

6 MR. TELFORD: Yes.

7 DR. WIATROWSKI: On packages that you get for all
8 the radiopharmaceuticals where the kits you get, those kits
9 are legend items and have a statement that it requires the
10 prescription of a physician.

11 That statement is issued by the Food and Drug
12 Administration. It has nothing to do with the U.S. Nuclear
13 Regulatory Commission.

14 So the interpretation at one of the facilities
15 that I consult at was essentially that since it was a legend
16 item, and legend items required prescriptions by physicians
17 to be dispensed to patients, in fact that item needed a
18 written prescription to be dispensed to the patient.

19 The second point is I think under JCAH
20 Accreditation Manual, they refer to the referral of a
21 patient for a radiologic or a nuclear medicine procedure as
22 a consultation between the referring physician and the
23 physician who is to interpret the scan and in that context
24 require certain clinical information be provided to the
25 physician who is to interpret the scan to improve the

1 likelihood of a correct diagnosis.

2 So I think there's some precedent involved for
3 some sort of written documentation for the referral.

4 The most impressive of that is the fact that I
5 think if you look on the packages where the pharmaceuticals
6 come, they indicate they require prescription by a physician

7 MR. TELFORD: Let me see if I understand.

8 Your bottom line is there's a precedent for
9 written directives?

10 DR. WIATROWSKI: Yes, I think so.

11 MR. HAMMOND: It's coincidental that we've been
12 involved in a process in Texas for about four years now
13 about provision of radiopharmaceuticals by a mobile scanning
14 company to hospitals, where you have circuit-riding
15 radiologists and you have referring physicians.

16 Texas Radiation Control regulations specifically
17 state that unlike x-ray where any referring physician can
18 order the administration of x-rays to a patient, that only a
19 licensed nuclear medicine physician can order the
20 administration of radioactive materials to a patient.

21 So it's a little bit different. Prescription-
22 wise, we've been involved with the Texas Food and Drug
23 folks, U.S. FDA, Texas State Board of Pharmacy and the Board
24 of Medical Examiners and Board of Radiation Control for
25 about four years on the issue.

1 Basically, where we've ended up is the written
2 prescription can be a listing of the exams you're going to
3 do and the amount, routine dose, with exceptions, you know,
4 if it's a pediatric case or it's a possible pregnancy or
5 whatever the exceptions are established by the medical
6 director of the department.

7 Those are signed by that physician or the
8 authorized user.

9 So you have a list of prescription dosages. It's
10 not patient specific. That's my concern.

11 In this, the objectives state that you have to
12 have a written prescription for teletherapy and
13 brachytherapy, but if you look at the definition of a
14 prescription, it includes patient-specific prescriptions for
15 all diagnostic uses, which is going to be cumbersome.

16 I think Wayne's got a valid point that between
17 what's required by existing regulation, at least in Texas,
18 plus the joint Commission requirements, you're going to have
19 most of these bases covered.

20 The interpretation by the NRC is that you have to
21 have patient-specific prescriptions and that changes it.

22 DR. WIATROWSKI: I think the issue is it's not
23 radiation related. In fact, as a pharmaceutical, whether
24 it's tagged or not, that is a legend item and is controlled
25 by those regulations outside of the NRC that govern the

1 administration of pharmaceuticals to humans.

2 If in fact that product requires a prior written
3 order of a physician before administration to a patient,
4 then I don't think the NRC can supersede that requirement.

5 MR. HAMMOND: But the question is whether or not
6 it meets written prescription.

7 MR. TELFORD: Let me see if I understand your
8 point.

9 Let me distinguish between a prescription for
10 therapy and a referral for a diagnostic study.

11 Are you saying that there are existing
12 requirements to have a prescription for therapy studies but
13 they are not patient specific?

14 MR. HAMMOND: No, I'm referring to diagnostic
15 uses. There's a requirement. When you get all of the laws
16 of the State of Texas together and the U.S. FDA standards
17 together, Wayne is correct, there is existing requirement
18 that you have a prescription for that particular patient,
19 that there has to be a prescription for the diagnostic
20 administration of a radiopharmaceutical.

21 MR. TELFORD: And this is a diagnostic study?

22 MR. HAMMOND: Yes.

23 MR. TELFORD: Does it name the patient?

24 MR. HAMMOND: No, the interpretation we've had at
25 the state level and so far, hopefully, we'll get the FDA to

1 agree to it, is that like any other procedure, whether it's
2 a contrast media in radiology, you can develop standardized
3 protocols for operations and standardized criteria for the
4 patients who meet a certain criteria.

5 You use this protocol and this prescription amount
6 of the legend drug to administer this patient.

7 Basically, it goes back to Item (4) about the
8 clinical procedures manual. All these things are laid out
9 and they've got to be approved by the medical staff of the
10 hospital, plus the medical director of the department who
11 assumes responsibility for the administration.

12 So you take a long way around but you end back up
13 essentially with a prescription. It's not on a prescription
14 pad and it doesn't have the patient's name on it and the
15 doctor didn't sign it and put his DEA number at the bottom
16 of it.

17 But essentially you end up with a paper trail of a
18 written prescription.

19 MR. TELFORD: Even for a diagnostic study.

20 MR. HAMMOND: Even for a diagnostic study, because
21 Wayne is right. You cannot administer that, you can't
22 handle that without --

23 DR. WIATROWSKI: You could not administer oral
24 contraceptives to a patient without a patient-specific
25 prescription and oral contraceptives are a legend item,

1 also.

2 So if you are going to require a physician to sign
3 a prescription for Mary Jones to administer oral
4 contraceptives, and you have another legend item, which is a
5 pharmaceutical to which you put a radioactive tag, but it's
6 also a legend item, then you need to have a written
7 prescription that is patient specific.

8 I don't see --

9 MR. WHITE: That's not true of all the drugs.
10 Physicians have nurse practitioners or nurse whom they
11 give the authorization to prescribe, call pharmacy.

12 DR. WIATROWSKI: To get that pharmaceutical out of
13 the pharmacy, if it's a legend item, there has to be a
14 written piece of paper with a physician's name and DEA
15 number and so forth on it.

16 That's a fact. I don't know what you do but I
17 know that --

18 MR. WHITE: Within the State of Texas.

19 DR. WIATROWSKI: I think that's a federal
20 requirement.

21 MR. HAMMOND: At which point does the patient's
22 name get to that prescription or what does that prescription
23 look like is kind of the issue.

24 I agree with Wayne that federal regulations
25 require that you have a prescription for that patient.

1 Whether it's all on one piece of paper or it's
2 done before or after, during the procedure... There's lots
3 of things used in a hospital situation that are a legend
4 drug that there's never a, quote, prescription written for,
5 if it's an IDP or whatever it is.

6 MR. TELFORD: May I ask you to be careful about
7 your terminology because, say it's a diagnostic study, then
8 we're talking about a referral.

9 We have a definition of what we've considered to
10 be the ideal referral.

11 It's written. It's dated and signed by a
12 physician. It's patient specific.

13 Now I think you're saying that you don't do that.
14 You don't necessarily have referrals that are patient
15 specific.

16 MR. HAMMOND: Yes, we do. Referrals, yeah, we
17 have specific referrals, but in Texas that's not enough to
18 do a nuclear medicine procedure.

19 The referring physician can only request a
20 procedure to be done. He can't order one.

21 MR. BOLLI: I think that one of the -- In the
22 NRC regulations, one of the requirements or duties and
23 responsibilities of the authorized user is to select the
24 patient, the appropriateness of the patient for the study.

25 If a patient has suspected metastatic breast

1 cancer and maybe a liver scan doesn't sound like the
2 appropriate thing to do but if it's a metastatic search,
3 then it probably is exactly the thing that needs to be done.

4 So how does breast cancer relate to a liver scan?
5 That's why we need the authorized physician in the loop.

6 The ideal situation would be that any physician
7 would write a referral slip for a patient.

8 The authorized user or his designee, perhaps a
9 senior resident or something, would review it and say,
10 "Yes," initial it in some way and then send it on to the
11 technologist, who will draw up the dose whenever they inject
12 the patient.

13 We realize that that can't be done in all
14 situations, especially probably in your situation, the
15 mobile situation.

16 But what we would like you to do is for you to
17 tell us how you cope with requests or referrals which don't
18 meet our ideal.

19 Maybe there's another way. For instance, when you
20 go to X hospital and X GI specialist refers a patient, you
21 know that guy and you will handle his case differently from
22 some Dr. Smith that you never heard of before, you don't
23 know what his specialty is and you're not even sure if he's
24 associated with the hospital on a regular basis.

25 Maybe that would send a flag to the technologist

1 to do a little extra checking on that doctor, whereas he
2 wouldn't check on the first doctor that he was familiar
3 with.

4 But we'd like you to tell us how you will deviate
5 from some written protocol, like a procedures manual that
6 says, "Liver scan, 3 millicuries, sulfur colloid."

7 If it's a baby, obviously you wouldn't give three.
8 How do you intend to cope with something that appears to be
9 not quite right, if there's a question?

10 MR. HAMMOND: I understand what you're saying but
11 I think Wayne brings up a good point that this whole process
12 can't take place in a vacuum, that there are other existing
13 regulations, whether they're Medicare regulations or
14 whatever, that require a lot of the things that you're
15 talking about.

16 In some cases the things that we're talking about
17 here are duplications of existing Medicare requirements
18 or --

19 MR. BOLLING: JCAH requirements?

20 MR. HAMMOND: JCAH or whatever it is. A lot of
21 these things are already in place, particularly the case
22 that's been brought up twice today about if you don't know
23 the physician.

24 Medicare has a requirement that if you don't know
25 the physician, you have to verify his qualifications before

1 you can admit his patient to your hospital, either as an
2 outpatient or inpatient.

3 MR. TELFORD: Let me see if I understand your
4 point. You're saying that, first of all, you understand
5 what we're driving at here.

6 In the case of diagnostic we would like a written
7 referral.

8 But your point is that this is already required
9 through a collection of other regulations, and that what
10 we're saying here is not in conflict with that but, rather,
11 just duplicative of that.

12 MR. BOLLING: Maybe what you can do is in
13 establishing your QA procedure -- and I before said "book,"
14 but I should have said "folder" perhaps. We don't want you
15 to create any books.

16 In your QA pilot program procedure folder, instead
17 of even Xeroxing the JCAH requirement, perhaps you can just
18 reference it and say, "We believe that we meet Objective (3)
19 through XYZ requirement of JCAH."

20 But you would need to send us a copy of that so
21 that we can see what it is.

22 MR. TELFORD: They need to say what they do.

23 MR. BOLLING: Right.

24 MR. TELFORD: They need to say that -- It's okay
25 to say that's the reason for why they're doing that but they

1 need to say that for these kind of cases, it's not written,
2 and under what conditions is it not written or not patient
3 specific.

4 MR. BOLLING: I think that's why most of us
5 believe that you're doing a lot of those objectives already.

6 It could be that perhaps you don't recognize, or
7 maybe you do recognize that they are coming from different
8 sources.

9 MR. SHARP: I think if you keep in mind the goal
10 of the objectives. The idea is to have an inspectable
11 record, something you can check back with, and so it can
12 take different forms.

13 I think ultimately the word "prescription" and the
14 way they've defined it might need some modification for
15 electronic records, but an inspectable record is the goal.

16 DR. TSE: May I ask a question to people who are
17 familiar with nuclear medicine procedures.

18 If you take a telephone order from a referring
19 physician, do you at some time later ask him to send you a
20 piece of paper of written or that's enough, the telephone is
21 enough?

22 VOICES: Telephone. Telephone.

23 MS. WALKER: Our techs will not touch a patient
24 without a piece of paper with a physician's signature on it.

25 DR. TSE: So different hospitals have different

1 procedures.

2 The question, though, is that if you only
3 telephone, what happens if something goes wrong? How do you
4 verify that's somebody else's problem or your hospital's
5 problem?

6 MR. DADARI: If we have tried to get a
7 prescription but we were very unfortunate on it, we never
8 were able to get it, first of all, we know our physicians.
9 We know this is a cardiologist. Any time he orders it, it's
10 either thallium or...

11 I know five doctors. All are cancer doctors.
12 Bone scan, liver scan, that's it.

13 If I get somebody I don't know that's out of town,
14 usually we call and confirm the order.

15 If it's suspicious, we ask the patient, "What's
16 wrong with you?"

17 They say, "Well, I've got a lump in my neck." So
18 we get the idea where to look in the first place.

19 If it's iffy, we will investigate. So far we've
20 never had any problem with it.

21 But with prescription we had a problem. We've
22 never been able to get any prescription from anybody.

23 MR. JANICE: What we have done is in order to
24 facilitate matters, we have come up with and have had a
25 check-off system and we have distributed to most all

1 physicians that use our facility.

2 Nine times out of ten they will send that
3 prescription back, or whatever you wish to fill it, with the
4 check and indication and that kind of stuff.

5 But it's really not signed by the physician. It
6 has the patient's name and what they're looking for and what
7 exam to do.

8 DR. TSE: So please indicate what you do in your
9 specific QA program for your institution and then we can
10 look at the various different pictures and we can see that
11 much broader picture.

12 To your question, I was wondering whether what you
13 said about non-patient-specific prescription is essentially
14 the term we called clinical procedures manual.

15 That means the nuclear physician would indicate
16 for certain types of procedures what isotope, how many
17 curies are needed.

18 But in addition to that, would you also have to
19 have somebody to say this patient needs what kind of
20 procedure.

21 That is a diagnostic referral we're talking about.
22 Mobile Service certainly does not know what this patient
23 needs. Some physician has to say, "This patient needs a
24 bone scan."

25 MR. HAMMOND: Yes, there is a referral from the

1 referring physician.

2 DR. TSE: Right.

3 MR. HAMMOND: That is patient specific.

4 DR. TSE: Right.

5 MR. HAMMOND: What there may not be is a -- it may
6 or may not be in writing, depending on -- a lot of the
7 hospitals we deal with are teeny-tiny and it may or may not
8 be in writing.

9 It's not in writing to our office because it's all
10 done over the phone, but as far as the actual medication
11 that's given to the patient, that prescription for that
12 drug -- that's where I was using the word "prescription" a
13 while ago -- would be derived from the diagnostic procedures
14 manual that says, "If a patient presents from a physician
15 with this problem, give them this dose, if they're an adult.
16 If not, call me." That kind of thing.

17 DR. TSE: Therefore, except the word "written,"
18 you are essentially doing what this objective says.

19 MR. HAMMOND: Correct.

20 MR. JANICE: In essence, people that are using the
21 unit doses in this case would have two prescriptions,
22 because they would actually have the prescription from the
23 physician requesting it and they would also have the
24 prescription from the radiopharmacy going back and detailing
25 the same thing again, having the patient's name,

1 radiopharmaceutical lot number and everything else.d

2 MR. LOPEZ: Except that the doctor would not sign
3 it. It would be just --

4 MR. JANICE: You could require that he sign it.

5 MR. LOPEZ: You could require it and that could be
6 one of the solutions.

7 DR. FELDMEIERS: The analogy has been made treating
8 radiopharmaceuticals like any other pharmaceutical and the
9 issue has come up, what happens when you call in a
10 prescription.

11 The doctor's office, family practitioner, has
12 someone in his office call the pharmacy and say, "I want to
13 call in a prescription for tetracycline for Patient X."

14 When the pharmacist on the other end of the phone
15 accepts that prescription, in all reality he should be
16 speaking to the physician. It should not be some
17 functionary within the doctor's office.

18 It shouldn't be a nurse. It shouldn't be a
19 receptionist.

20 The pharmacist, if he chooses to take a
21 prescription from some functionary in the physician's office
22 is doing it at his own risk.

23 The pharmacist at the other end of the telephone
24 should be writing all this down and saying, "Dr. Smith has
25 prescribed 40 tetracycline, 250 milligrams, for Ms. Jones on

1 this date."

2 There should be a hard copy of that on a computer
3 or card file or something so that there is a paper trail.

4 If you're going to use that as an analogy for
5 radiopharmaceuticals, as required by the FDA, I think if a
6 doctor calls in and says, "I want Ms. Jones to have a bone
7 scan," it seems to me that at the other end of the phone,
8 within the department, if you record all that and have the
9 appropriate documentation of the name and number and
10 everything of the physician that that ought to fill the
11 requirement for a written...

12 On this case this is a referral. This is the
13 requesting doctor asking for an imaging study.

14 Again, I'm coming at things from the perspective
15 of a radiation oncologist where we do things a little
16 differently.

17 We're giving higher doses. We're generally doing
18 it over a prolonged time. We're not giving a single
19 administration as nuclear medicine usually does.

20 It seems to me that there needs to be for the
21 administration of a radiopharmaceutical, even if it's just
22 ten microcuries of Iodine-131, or something like that, that
23 there needs to be some sort of written indication from a
24 nuclear medicine doc to do that.

25 If not right at the time -- I mean, if this is the

1 middle of the night and you don't want to get your doc in
2 from 25 miles from home, at least on the telephone and the
3 next day he signs it.

4 MR. TELFORD: And the analogy would include -- you
5 started with a pharmacist receiving.

6 DR. FELDMEIER: Right.

7 MR. TELFORD: So we could say a qualified person
8 in the Nuclear Medicine Department should receive it so they
9 would know what might look funny and whether or not it might
10 be an appropriate study.

11 DR. FELDMEIER: Exactly. Sure, because a
12 pharmacist, if you order 100 milligrams of morphine, a
13 trained pharmacist is going to realize that that's a
14 potentially lethal dose and is not going to issue that
15 amount of morphine.

16 DR. WIATROWSKI: Yes, but that relates to the
17 misadministration you had earlier about the 30 millicuries
18 of I-131 up to 30 microcuries.

19 I was pointing out if you had had a qualified
20 nuclear medicine technologist, that person would have
21 identified it.

22 This gentleman then pointed out, "Well, then, we
23 couldn't have these procedures available," which may be the
24 case. I don't know.

25

1 MR. TELFORD: What we're doing is we're fast
2 forwarding to the second workshop.

3 [Laughter.]

4 MR. TELFORD: And, "Gee, I really like this. This
5 is great."

6 I told you that I was going to be the only person
7 in the room that said these were any good. So let me come
8 back to the pilot program and say these are the ideals, that
9 I'm convinced you've got the intentions here.

10 We'd like to have written instructions for what
11 should be done.

12 MR. JANICE: What you're saying is you want us to
13 say what we'll do when we come back in August and say, "This
14 don't work worth a damn."

15 [Laughter.]

16 MR. TELFORD: "This doesn't work. Here's
17 something better, and here's why I think that." I would
18 love it.

19 Yes, Ed.

20 MR. KAPLAN: At Northwest Texas you tried getting
21 these written referrals and it didn't work. I'm just
22 curious why it didn't work and what happened.

23 MR. DADARI: Okay. Most of the doctors who order
24 these tests are on the road. He's calling from his phone in
25 the car, and he says, "Well, David, I'm sending so-and-so

1 over there, and I need a bone scan."

2 MR. JANICE: He talks to you directly, though. He
3 doesn't call the receptionist or that kind of stuff.

4 MR. DADARI: If I'm not available, it's going to
5 be the receptionist. That's for sure.

6 Or a patient had a surgery fifteen days ago and
7 now has chest pains and is a possible PE over there.

8 Or "I'm sending somebody over."

9 And we have real problems. I mean, we basically
10 -- we try to implement that, but it's practically
11 impossible.

12 Now, something else bothers me. If our next thing
13 is CAT scan. If an individual had a CT -- an incident of CT
14 tests, they say, "Oops. Well, let's do this."

15 They do not go to anybody. And it may be a lot
16 more radiation than three millicuries. They're not required
17 to have a prescription, but we are being forced.

18 Well, I will understand on the therapy problem a
19 hundred percent, and we require it.

20 But in diagnostic it's -- I believe it's a lot of
21 too much push to nuclear medicine to require that and slow
22 all of the procedures down.

23 We have to wait till patient comes in, now get the
24 prescription, order the drug, inject the drug, wait for it,
25 do the scan.

1 So we're talking about a whole day's work for a
2 patient. It slows down what is already a slow process.

3 MR. TELFORD: You used the word "force." I don't
4 think --

5 [Laughter.]

6 MR. JANICE: John, I don't think there isn't a one
7 of us sitting here that's not like Colorado Springs. The
8 physician doesn't pick up the phone and it comes into
9 central office, and we don't know about it until late that
10 afternoon or the next morning what we're going to do on a
11 patient.

12 So by that time it's kind of late to start
13 investigating what's going to take place.

14 But if we get schedules in mid afternoon where we
15 can look over schedules and say, "Hey, this exactly doesn't
16 sound right. What are we going to do about that?"

17 Then we can start doing some calling. I may be
18 wrong, but like I said, I feel everyone of us gets telephone
19 orders through a receptionist pool or through one scheduling
20 person and that's it.

21 MR. WHITE: Well, it sounds like you've worked it
22 out at your hospital, so you don't have to --

23 MS. WALKER: It's a product of the VA being very
24 slow.

25 [Laughter.]

1 MS. WALKER: And not having outside reference.
2 In other words, if we get a call --

3 MR. WHITE: You can't lose patients because....

4 MS. WALKER: That's right. We can do whatever we
5 want.

6 MR. WHITE: I don't want to make it sound like our
7 hospital is ignorant. We purposely located central
8 scheduling in the nuclear medicine department. That has
9 really made a difference because we do get a lot of
10 questionable scans.

11 The woman who is an RN and does the scheduling
12 sits next to the chief of nuclear medicine technologist,
13 because he knows about x-ray, knows about ultrasound.

14 I don't want to sound like a whiner about this.
15 We have looked at that problem and made a shot at it.

16 But I think you need to understand that something
17 that we try to do on a voluntary basis or to meet JCH
18 requirements is very, very different than Nuclear Regulatory
19 Commission requirements.

20 If I were to take what we do and put it into our
21 QC program, I would have to document that when that RN was
22 sick, another RN was there, you know. If we wanted to move
23 the scheduling across the hall, I'd need a licensing
24 amendment.

25 I mean, doing it is real different than having it

1 as a regulation license. I think that's something else the
2 pilot program will clarify.

3 MR. TELFORD: Keep in mind that we're not forcing
4 anybody to do this. I'm saying this is the ideal, to have
5 written referral.

6 I want you to say in your QA program what you do
7 and we'll find out how well it works.

8 Then if you're one of the eighteen, we'll confess
9 to you what we think of it, in a no-fault kind of way.

10 [Laughter.]

11 MR. BOLLING: John, I think that your comment, and
12 comments from anybody else in the room, you can put down
13 what you do. But if you have some strong opinions, in
14 addition to that, as to why the written prescription would
15 give you a headache, we want to know that, too.

16 We want to know if you think it's going to double
17 the time that it takes for you to handle the patient, that
18 we need to know.

19 MR. TELFORD: Yeah. When we get to the discussion
20 on the evaluation forms, you'll see that we have blanks and
21 lines for you to fill in, what's wrong with it and how to
22 fix it.

23 MR. SHARP: John, before you move on, one last
24 point. One thing, at least in this state, that will
25 confound this situation is that the pharmacies are able to

1 deliver a dose without a patient name on it.

2 They can legally deliver a dose that says "for
3 physician use only." They've got that written into the
4 nuclear medicine section of the Board of Pharmacy Act.

5 That may be true of other Boards of Pharmacy
6 around the country. So there's one bit of paper evidence
7 that you're not going to have here, so don't build it into
8 your system.

9 MS. WOOD: It's there to fill in.

10 MR. SHARP: But it's not required here. They can
11 issue "for physician use only," and not name the patient on
12 the dose.

13 MS. WOOD: But you write in the patient's name;
14 you fill in the blank.

15 MR. SHARP: We don't make them write that in. I'm
16 with the State.

17 MR. DADARI: Well, ironically, our pharmacist has
18 been building a code for this, and decided for this reason,
19 because they had been required -- The State required him --
20 the State of Texas required him to issue every dose on an
21 individual patient basis.

22 You have to have the full name. We have right now
23 problem with that.

24 MR. SHARP: Well, the Board of Pharmacy couldn't
25 have done that because it's their rule.

1
2 MR. DADARI: The State of Texas has done that just recently.

3 MR. SHARP: All right. We'll work on it.

4 MR. TELFORD: Okay. Let's see if we can get
5 through these next four objectives before lunchtime.

6 Number five says to ensure that the medical use is
7 in accordance with either the referral in the manual or
8 prescription. The intention here is to have the
9 administered dose to be as prescribed, or as described in
10 the referral in the manual.

11 Six is to ensure prior use. the patient's identity
12 is verified, as individual names on the referral or the
13 prescription.

14 You've seen a lot of cases now where the patient's
15 identity is mistaken. So I think that's a good idea, to
16 verify the patient -- that patient's identity.

17 Seven is ensure that unintended deviation from
18 either the referral in the manual or the prescription is
19 identified and evaluated.

20 Now, the intention here is to -- like in the case
21 of teletherapy, if the patient is prescribed to get 200 rads
22 per day as the fraction. So if you're documenting, "Okay,
23 the patient got 210 today." Tomorrow you write down that he
24 gets 180, et cetera.

25 So you're identifying this unintended deviation,

1 however minor.

2 The purpose of this -- the intention here is to
3 have this information available for the audit at the end of
4 the year.

5 You know, this is in the world of having this be a
6 final rule.

7 So for the pilot program, you won't have to go
8 through an annual audit. But it will be sufficient to have
9 the prescribed dose, or the dose for the diagnostic study to
10 be written down, and then what was administered to be
11 recorded, so that any deviation could be identified and
12 evaluated, which is something that we can do for the
13 eighteen sites.

14 MR. BELLEZZA: Excuse me. Did I misunderstand
15 what you're saying there? For seven, in therapy you want
16 deviations done on -- noted on a daily basis?

17 MR. TELFORD: In teletherapy that's correct.

18 MR. BELLEZZA: So, for instance, if someone got
19 ten rads too much today, then that should be noted even
20 though it's going to be made up tomorrow?

21 MR. TELFORD: No, not noted. You would simply
22 record the dose administered, dose or dosage. I'm not
23 attaching any significance to the deviation.

24 There's no --

25 MR. BELLEZZA: Just write it down?

1 MR. TELFORD: Yeah. There's no reporting
2 requirements associated with the pilot program. There's
3 very few record requirements or ... that we request.

4 We're using the funny phrase here of "unintended
5 deviation," meaning this is like a slight, slight mistake.

6 DR. PICCONE: I can think of another example for
7 unintended deviation from diagnostic referral. If, when you
8 get a diagnostic referral, either written or oral, and a
9 physician says, "I want a bone scan on Mrs. So-and-So," and
10 he requests that you do the bone scan with 50 millicuries.

11 Well, when you're looking at that referral, you're
12 not going to use 50 mil'icuries, or you're going to talk to
13 the physician and find out why is the 50 millicuries there.

14 Most frequently they don't put any dose, do they?
15 They say "bone scan" or tell you they want a bone scan.

16 But in the case where you get written referrals,
17 you may have a physician who puts an activity on there.

18 Like one of the misadministrations that occurred,
19 the physician requested -- I don't recall the particulars --
20 100 microcuries instead of 10 microcuries or whatever.

21 Well, in your review of that diagnostic referral,
22 you're not going to do that. That's going to, hopefully,
23 turn on a light.

24 You're going to either talk to that physician, or
25 you're going to do something, and you're going to deviate

1 from the diagnostic referral.

2 And you're going to have a reason why you're
3 deviating. The physician, you know, he did -- he thought
4 that was the right dose, but -- you know, whatever you say
5 needs to be done, that's what I want done.

6 So there could be those kinds of deviations as
7 well.

8 DR. FELDMER: A referral by a nonlicensed, a
9 cardiologist or endocrinologist, I mean they could ask for
10 something really bizarre and ridiculous.

11 They can ask you to -- I think that just because
12 your referring doc is not very sophisticated in the ways of
13 radiation safety, nuclear medicine, radiation oncology,
14 diagnostic radiology, I don't think that it should be a
15 burden on the nuclear medicine department, the radiation
16 oncology, diagnostic radiology to have to answer for the
17 naive mistake of a referring physician.

18 I think if number seven is being interpreted to
19 include such a deviation from the request of the referring
20 physician that there is a substantial difference.

21 You know, if the guy sends you a patient with a
22 note attached to the lapel that says to use 150 millicuries
23 of Iodine 131 for a thyroid scan, I mean, I don't think that
24 the nuclear medicine department should be held accountable
25 for his naivete.

1 So it could be in the case of nuclear medicine,
2 either diagnostic or therapy. It could be teletherapy; it
3 could be brachytherapy.

4 It could be it was brachytherapy, and you were
5 supposed to load two twenties and two tens, and you loaded
6 two twenties, a ten and a five.

7 All right. So maybe it's a big deal; maybe it's
8 not.

9 The intention of number seven is just to record
10 the fact that you loaded two twenties, a ten and a five.

11 In the pilot program we're attaching no
12 significance to the deviation. But the intention is to have
13 the department itself to be able to know how well it's
14 doing.

15 Tony.

16 DR. TSE: John, I want to make two points on this
17 objective number. One is the word "unintended deviation."
18 That means the intended deviation. If a physician wants to
19 change a prescription after -- or for some reason, if he
20 said, "No, I want to change it," that's fine. That's not
21 including those.

22 Second --

23 MR. TELFORD: And that will become clear this
24 afternoon when Tony goes through the reg guide. This would
25 be our vision for how prescriptions could be changed,

1 I think that -- you know, for the prescription,
2 yes. If the nuclear medicine physician prescribes a certain
3 activity of Iodine 131 and there's a deviation from that,
4 then, yes, that's a mistake that the nuclear medicine
5 department should be accountable and attributable for.

6 But referring physicians not having the
7 wherewithal, not having the sophistication to know what's
8 appropriate -- you know, there are exceptions -- but in
9 general not having at least the responsibility and not
10 having the licensure, I don't think that the nuclear
11 medicine department should be held accountable for that type
12 of mistake.

13 MR. TELFORD: Yeah, we agree.

14 What we're really trying to catch is -- not
15 "catch," but we're trying just to be able to identify --

16 MR. JANICE: A play on words there.

17 MR. TELFORD: I used the wrong pronoun there.

18 What I want is for the department itself to be
19 able to interpret how well it's doing.

20 I mean, the intent of seven is to say, after each
21 administration of a dose or dosage, you record it so that
22 the department itself can say, "How well are we doing."

23 So it's the deviation from what they were supposed
24 to do, as directed by the department in one way or the
25 other, as directed by the authorized user physician.

1 especially for brachytherapy.

2 DR. TSE: Second, if the intended deviation is
3 greater than the -- the dose -- let's say the dose is
4 greater than the misadministration or wrong
5 radiopharmaceutical, it fits in the misadministration, then
6 that becomes a misadministration.

7 If it's less than the criteria of
8 misadministration, then this objective it's essential to ask
9 the licensee to take a look to see whether you have any
10 problem.

11 That's the purpose.

12 MR. TELFORD: Okay. Number eight is rather
13 straightforward.

14 The intention is to have the treatment planning,
15 either for brachytherapy or teletherapy, to be in accordance
16 with the prescription.

17 I know it's another way of using that the
18 authorized user physician is in charge.

19 Let me -- Yes.

20 MS. RUDOLF: I have a question.

21 The wording is different from the information that
22 was sent to us on the objectives and what's here that you've
23 posted.

24 MR. TELFORD: Right.

25 MS. RUDOLF: I can interpret them slightly

1 different. For instance, number four refers only -- on the
2 new one we were handed today, refers to a diagnostic
3 clinical procedures manual.

4 But if I interpret what was mailed to me on number
5 four, it looks to me like -- I deal strictly with therapy.
6 I would interpret number four with the stuff that was
7 mailed, to say that I have to ensure that prior to any use,
8 the prescription and the clinical procedures manual is
9 understood, meaning I should have a therapy clinical
10 procedures manual.

11 MR. TELFORD: Which gives you a big problem.

12 MS. RUDOLF: But over here it doesn't say that, so
13 now I'm confused.

14 MR. TELFORD: This is what we intend: a
15 diagnostic clinical procedures manual. We did not visualize
16 a clinical procedures manual - therapy.

17 MR. JANICE: You're off the hook.

18 MR. TELFORD: So you can breathe a sigh of relief.

19 MS. RUDOLF: I was wondering how you thought we
20 could do that in a month.

21 MR. TELFORD: You know the old adage about getting
22 smarter as you go along? See, this is our fourth workshop.

23 When people have said, "What? You want me to have
24 a procedures manual for therapy? I can't write that."

25 MR. JANICE: I think we ought to go to San

1 Francisco.

2 MR. TELFORD: Also, in number two, you'll notice a
3 little bit of difference. We have (a), (b), (c), (d).
4 Well, that's for the same purpose.

5 We're trying to clarify that yes, we mean a
6 prescription for (a), for (b), for (c), for (d), for all
7 these cases.

8 In the actual writing of the verbiage that either
9 appears in the Federal Register notice or what was sent to
10 you, we had a committee working on that. That included two
11 lawyers. They helped us write things, you see.

12 So it's clear to them --

13 [Laughter.]

14 MR. JANICE: Enough said.

15 MR. TELFORD: -- how it should be written.

16 So for the pilot program and for the workshops, we
17 said, "Okay. We'll be simple. We'll drop back: (a), (b),
18 (c)."

19 That's not a reflection on you, but rather on the
20 fact that we tried to write in more simple language.

21 So the purpose here of me discussing all of this
22 is so you really understand the intention of what we're
23 shooting at, what we're trying to do.

24 So it's this language --

25 MS. RUDOLF: I should address this?

1 MR. TELFORD: Yes.

2 MS. RUDOLF: On the definitions, those haven't
3 been changed. What we were sent in the mail is still what
4 we're to go by?

5 MR. TELFORD: Yes.

6 Ed.

7 DR. KAPLAN: One of the things that seems to have
8 evolved over the course of the workshops is that objectives
9 number four and seven are diagnostic related.

10 DR. TSE: Not seven.

11 MR. TELFORD: Seven is anything.

12 DR. KAPLAN: Maybe we have to think about that --

13 DR. TSE: If prescription is for the therapy, (b)
14 is a prescription, is for the therapy.

15 (a) is for diagnostic.

16 DR. KAPLAN: Let's spell that out. Let's be
17 specific about that today.

18 DR. TSE: In the objective two, prescription is
19 needed for therapy at iodine greater than 30 microcuries.

20 For objective three, either prescription or
21 diagnostic referral for diagnostics.

22 In objective seven, it says --

23 DR. KAPLAN: Now four.

24 DR. TSE: Four is for diagnostic.

25 DR. KAPLAN: Diagnostic, right.

1 DR. TSE: But seven, I'm talking about seven. (a)
2 is for diagnostic referral and (b) prescription, meaning all
3 prescription.

4 DR. KAPLAN: Okay. Now, I just want to point out
5 that the new wording of four -- because you brought this
6 out, and this is the first time we're using the new wording.
7 What I sent out to you was different.

8 So the new wording of four and the new wording of
9 seven are very similar to the one in nine.

10 DR. TSE: Modification; correction.

11 Four is also for diagnostic and also for therapy.

12 DR. KAPLAN: What Tony is -- If I can paraphrase
13 what you're saying is that in four and seven, (a) means
14 diagnostic procedure, (b) means therapy.

15 DR. TSE: Right.

16 DR. KAPLAN: We have to be clear here that we're
17 covering both.

18 So in answer to Carrie's comment, seven is almost
19 the same. She doesn't need a clinical procedures manual --

20 MR. JANICE: It seems to me like you've got five,
21 four and seven.

22 DR. KAPLAN: But I think we should clarify this.

23 MR. TELFORD: Okay. Seven applies to both
24 diagnostic procedures and therapy procedures.

25 DR. KAPLAN: As does four.

1 MR. TELFORD: So the only change is, is that which
2 -- from which there is a deviation, like for therapy you
3 would be deviating -- a deviation could be from the
4 prescribed dose or dosage.

5 For the diagnostic you would be deviating -- a
6 deviation would be from the combination of the referral and
7 the manual.

8 But I'm glad you brought that up because that's
9 what we're trying to do, is clarify the language. So what
10 we handed out today I think is more understandable.

11 And in a minute I'll ask everybody else if they
12 understand it.

13 R. KAPLAN: Let me pursue this just a little bit
14 more.

15 MR. TELFORD: Okay.

16 DR. KAPLAN: Because I want to get to Carrie's
17 point.

18 Number seven, if unintended deviation in therapy
19 is from a prescription -- is only from the prescription,
20 that's the only deviation that we're talking about, whereas
21 for diagnosis we have a referral and a procedures manual.

22 I think that clarifies that point.

23 MR. TELFORD: Yeah. For example, if it's a liver
24 scan and you're using technetium, maybe they didn't use --
25 you could deviate from the referral.

1 The referral asks for a liver scan. They say they
2 didn't do a liver scan; they did a bone scan. Well, that's
3 a deviation from the referral.

4 What if they used the wrong amount of the
5 radiopharmaceutical or the wrong radiopharmaceutical?
6 That's a deviation from the manual. Right?

7 MS. WOOD: It's a misadministration.

8 MR. TELFORD: Don't use that word.

9 [Laughter.]

10 MR. TELFORD: Maybe if you get the wrong
11 radiopharmaceutical, that's the part that s the
12 misadministration. All right. I mean, that's just an
13 example of a deviation then.

14 MR. JANICE: Unintended deviation.

15 MR. TELFORD: Unintended even.

16 Yes.

17 DR. TSE: We did not use the word "diagnostic" or
18 "therapy" in (a) or (b) because it's more complicated than
19 that, because there's 30 microcuries of Iodine 131, which is
20 a diagnostic procedure, but requires a prescription.

21 So you need to refer back to objectives two and
22 three to see which one requires a prescription and which
23 ones are permitted to have diagnostic referral.

24 It's not a simple cut. Otherwise, it would be
25 simple.

1 DR. KAPLAN: I was hoping to clarify it.

2 [Laughter.]

3 MR. JANICE: Get the lawyers back into it.

4 MR. TELFORD: Well, we've come to the time where I
5 want to ask if everyone understands this well enough so that
6 they can implement a modified QA program that meets 35.35.

7 So is there somebody that doesn't understand? Or
8 maybe I should go the other way.

9 Does everybody -- Oh, you have a question.

10 MS. WOOD: I have one question. What do you use
11 Iodine 125, 30 microcuries --

12 MR. TELFORD: For? You may not.

13 But if you do -- Well, there's one strategy here,
14 and that says that if you're ever going to use a very large
15 amount of iodine -- that's sodium iodine really -- we want a
16 prescription.

17 So each time that occurs in a department, it's
18 done under a prescription, just so people get into the
19 pattern of doing it the right way.

20 That's really the intent behind including 125 in
21 there, particularly when we see a lot of switches from 123
22 to --

23 MS. WOOD: I-123.

24 MR. TELFORD: I-123 to I-131.

25 MS. WOOD: Not I-125.

1 MR. TELFORD: That's true. I mean, they just
2 haven't seen those yet.

3 And it may be that's a radiopharmaceutical not of
4 choice, not used.

5 Can somebody else comment on that?

6 MS. WALKER: The only thing I've seen it used for
7 is some metabolic studies that are research oriented.

8 MR. TELFORD: See, we have to include it for
9 completeness because NRC regulates 125 and 131, but not 123.

10 MR. GOMEZ: 123 is the cyclotron?

11 MR. TELFORD: Yes.

12 MR. GOMEZ: It's not regulated by NRC.

13 DR. TSE: But the reason Iodine 123 is not
14 included is -- Let me put it the other way.

15 Iodine 123 provides a much smaller dose to --

16 MS. WOOD: Correct. You can use larger amounts.

17 DR. TSE: Right. You use millicuries amount. It
18 wouldn't provide as large a dose, maybe one thousand times
19 less than as Iodine 131. Therefore, it's not necessary --

20 MS. WOOD: My question is that we don't use Iodine
21 125, so why was it included?

22 DR. TSE: The answer is that somebody, somewhere,
23 sometime may use it.

24 MR. TELFORD: If they do --

25 MR. DADARI: Iodine 125, glow fill, for renal

1 studies. We get it once a year, and we try to refuse it.

2 [Laughter.]

3 MS. WOOD: 125?

4 MR. DADARI: 125.

5 MR. TELFORD: You don't have to go out and get
6 any.

7 MS. WOOD: I don't want any.

8 MR. TELFORD: Yes.

9 MR. WHITE: Any intention -- How do we apply
10 this to bone densitometers and lexisopes and things like
11 that?

12 MR. TELFORD: Those are diagnostic studies?

13 MR. WHITE: Yes.

14 MR. TELFORD: Ideally it should have a referral, a
15 written referral. But just document in your program what
16 you do with it.

17 MR. WHITE: Is dosage a question? I mean, usually
18 the technologist essentially decides on the patient dose by
19 deciding on the colimeter size and scan speed.

20 Are you intending to address that?

21 DR. TSE: I think you could say, if you use those
22 diagnostic devices, you could say how you want them to
23 improve your QA program.

24 MR. TELFORD: The only records that we would
25 request for the pilot program are the prescriptions. In

1 other words, just retain -- Over the 60-day period, retain
2 your prescriptions someplace; the referrals and administered
3 dose or dosage.

4 Just retain those. I'm not saying make extra
5 copies, and I'm not saying create any extra records.

6 Like if those are in the patient's chart, and the
7 chart is in the central file, you've got it. Just keep a
8 record so that if you're one of the eighteen sites, then we
9 can come and look at those.

10 Okay.

11 MR. JANICE: How are you going to determine who
12 your eighteen sites are? Pick them out of a hat?

13 MR. TELFORD: Yes, sir. One of which will be from
14 Texas.

15 MR. JANICE: Oh, good. Let it be Houston.

16 [Laughter.]

17 MR. JANICE: Or Northwest.

18 MR. DADARI: It's too cold to go there.

19 MR. JANICE: It will be the middle of summer, so
20 forget it.

21 MR. TELFORD: We're being overwhelmed with
22 volunteers.

23 Okay. Do we need more discussion on these
24 objectives, or do you really understand them well enough to
25 implement a program to meet...

1 Okay. Everybody can implement? No, nobody can?

2 [No response.]

3 MR. JANICE: We'll write something down.

4 MR. TELFORD: Well, I mean the question is about
5 the understanding of what these are supposed to say. If
6 you're up with me to this place --

7 MR. JANICE: I would suppose, as you said earlier,
8 you would want something written following those general
9 guidelines --

10 MR. TELFORD: Oh, yes.

11 MR. JANICE: And if it does not work, then you
12 come back and you say why it does not work.

13 MR. TELFORD: Well, no, the first part of what you
14 said was a key point.

15 What we're asking is that you take your current
16 program and modify it so that you can say it meets 35.35.
17 We didn't ask for a manual. We didn't ask for anything
18 glorious.

19 Just take your program. It may be in six parts.

20 The one thing that we'll ask for is a one-page
21 outline that says, "Section X of my program as it exists
22 today" -- and you're looking at the copy here -- "meets
23 number five here. Section Y over here in some other place
24 meets number six."

25 That's all we'll ask for. And the purpose is so

1 that when we go through all these programs -- all 67 of
2 them, we don't spend an eternity trying to find everything.

3 That will be like a road map that will show us
4 where to go to look. That's all we'll ask for.

5 Well, let me go around the room. Does anybody
6 want to ask more questions about the intentions of these?

7 No? Any more questions?

8 [No response.]

9 MR. TELFORD: Can I get somebody's attention over
10 here? Any more questions about these?

11 MR. GOMEZ: The only thing, if we're saying Puerto
12 Rico, the Department of Health there they do not care for
13 any nuclear material. They do care just for x-rays. That's
14 all.

15 MR. TELFORD: Okay.

16 MR. GOMEZ: So anything related with nuclear
17 energies is controlled by NRC. Okay.

18 But you are controlling the use of a cyclotron.

19 MR. BOLLING: We're going to talk about that after
20 lunch.

21 MR. GOMEZ: Okay. So my point is that the state
22 is not controlling the use of any other nuclear material
23 different, if you want to include in the QA program those
24 materials also?

25 MR. TELFORD: I don't think so. I mean, we only

1 want --particularly since you're an NRC licensee, you would
2 only include those materials that are regulated by NRC, not
3 x-rays, not 123.

4 MR. GOMEZ: No, excluding some of these, the
5 nuclear material that was used by the cyclotron, Iodine 123
6 and Thallium 201.

7 MR. TELFORD: We don't regulate 123. And Lloyd
8 promises to cover more of that after lunch.

9 But do you have any questions about -- any more
10 questions about these eight objectives?

11 MR. GOMEZ: No, I don't.

12 MR. TELFORD: Any more questions?

13 MR. BELLEZZA: So long as you get to brachytherapy
14 and how the prescription changes --

15 MR. TELFORD: All in the reg guide. Okay. We
16 will talk about that this afternoon.

17 But this basically says the ideal case is to have
18 a prescription for brachytherapy. We haven't said yet when
19 that's done.

20 MR. BELLEZZA: Sometimes the prescription develops
21 as the implant is going on.

22 MR. TELFORD: Right. We'll cover that.

23 Anything else?

24 MR. JANICE: If as these things are being written,
25 and some light bulb goes up for a question, is it possible

1 to call one of you --

2 MR. TELFORD: Most definitely.

3 MR. JANICE: -- and get some information?

4 MR. TELFORD: Most definitely.

5 MR. JANICE: Are you going to give us a list of
6 telephone numbers of this mecca of information?

7 MR. TELFORD: Well, let's get it organized a
8 little bit.

9 You already have Ed's phone number --

10 MR. JANICE: Watch out, Ed.

11 [Laughter.]

12 MR. TELFORD: -- because he has probably called
13 you several times.

14 I'll give you my phone number. Tony's phone
15 number is in the Federal Register. We'll give you a copy of
16 that this afternoon.

17 So if you would call one of the three of us with
18 any question you have, we'll get you an answer.

19 MR. JANICE: Thank you.

20 MR. TELFORD: Any more questions?

21 MS. WALKER: No.

22 MR. TELFORD: Any more questions?

23 DR. FELDMEIERS: Not right now.

24 MR. TELFORD: Okay. If there are no more
25 questions, let's break for lunch.

1 Let's go off the record.

2 [Whereupon, at 12:10 p.m. a luncheon recess was
3 taken, to reconvene at 1:15 p.m. of the same day in the same
4 place.]

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 AFTERNOON SESSION

2 [1:15 p.m.]

3 MR. TELFORD: Okay. We'll get started.

4 This afternoon, as you can tell by the agenda, we
5 want to talk about first the particular aspects of
6 conducting a pilot program within an agreement state.7 We want to make sure that everybody understands
8 what to do if they have any requirements that might be
9 either in addition to, or potentially conflict with the
10 objectives of the pilot program.11 So Lloyd Bolling is going to talk to us about
12 that.

13 I'll turn it over to Lloyd.

14 MR. BOLLING: Okay. I was making some notes this
15 morning, and some of the things that were said were from my
16 little talk this afternoon. But I'll go over them just a
17 little bit again.18 The first thing I'd like to say is that the
19 agreement states were informed about this and have been
20 brought on board more than a year ago.21 We didn't discuss the program in any great detail
22 with them at that time, because we were still formulating it
23 ourselves.24 We have visited the Organization of Agreement
25 States, which meets annually.

1 We also had presentations before the Conference of
2 Radiation Control Program Directors, which also meets
3 annually in May.

4 As I said, we were designing this program to have
5 as little impact as possible as far as personnel and dollars
6 on the agreement states while encouraging participation as
7 they were able to do so.

8 Although at this time we don't see any unanimous
9 agreement with the entire QA program on the part of the
10 agreement states, the general level of cooperation has been
11 as we expected; that is, the states have been providing us
12 information on who their licensees are, where they are, the
13 size of the institution and characterizing them in that way.

14 We will be inviting agreement state persons,
15 hopefully senior persons, to go out on the six site visits
16 at agreement states.

17 Just before we broke for lunch, there was a
18 question about accelerator-produced isotopes and lin accs,
19 linear accelerators.

20 As you know, the Atomic Energy Act is mute on the
21 point of radioactive materials that are not produced by the
22 reactor, as well as radiation from electronic sources. So
23 we will not be addressing that in this rule.

24 One of the arguments put forth by some of the
25 people who thought early on that this rule was not such a

1 good idea was, "Well, we're going to have a dual set of
2 requirements for essentially a modality of therapy that's
3 the same."

4 In one of the tours that the Chairman of the NRC
5 had at the NIH facility in Bethesda, Maryland, strangely
6 enough, the two units were in the same room: the cobalt
7 unit right alongside a lin acc.

8 They didn't look a whole lot different, but
9 obviously the sources of radiation are different.

10 We hope that the QA procedures that will be
11 developed as a result of this rulemaking process will extend
12 to the accelerator-produced area and the lin accs. But
13 we're not going to insist on it, even when we invoke the
14 magic compatibility with respect to the agreement states.

15 Compatibility is invoked on regulations where NRC
16 believes that there is some health and safety significance
17 to them. So it has been determined very early that this
18 rule is of health and safety significance, as two-thirds or
19 so of the medical license facilities in the country are in
20 agreement state territory. So compatibility was invoked.

21 Another question was raised this morning, as well
22 as in one or two of the other meetings of this type that
23 we've had in the other regions, and that was the training of
24 agreement state inspectors and their reliance on the
25 regulatory guide as being a regulation.

1 Now, we hope that the regulatory guide is not
2 being used as a regulation. It is our intent that the guide
3 will be just that, a model that can be used, but that
4 alternates can be used as well.

5 We're getting away from these prescriptive
6 requirements as much as possible, and the guides are to be
7 used just as guides.

8 As far as training of the agreement state
9 inspectors, we have a very active program which last year we
10 trained about 320 or so agreement state and non-agreement
11 state inspectors and licensing personnel.

12 We spent some \$625,000 doing that, and we hold
13 workshops as well. So we have a very aggressive program on
14 training.

15 Obviously, when new things come up, like the
16 revision of Part 20 and the metrication process where we're
17 going from the English system to the metric system, we will
18 be doing a lot of training of agreement state staffs for
19 that as well.

20 Another thing that came up this morning was
21 references to the misadministration reports which are
22 generated yearly. And there's also a composite report that
23 summarizes all the data that we've collected between 1980
24 and '88.

25 If any of you would like to have a copy of that

1 composite report, I can perhaps take your cards and get some
2 copies made and sent out to you.

3 That kind of data is very useful in training
4 technologists and your other staff members on what are the
5 root causes of misadministrations and how they can be
6 avoided.

7 Are there any specific questions on the role of
8 the agreement states in this process?

9 [No response.]

10 MR. BOLLING: Okay. As I said a little earlier
11 this morning, if you have any questions whatsoever as to
12 whether or not the requirements of your license and your
13 regulations and your state conflict with what you're doing
14 in the pilot program, please call your state agency right
15 away.

16 You will still be held to the commitments you made
17 in your license applications. However, I believe that the
18 requirements of the pilot program are icing on the cake.

19 We think that anything you'll be doing in the area
20 of the pilot program will be far above and beyond what your
21 minimum requirements are in your license.

22 So we don't foresee any problems. But if they
23 should arise, please contact your state agency right away.

24 Any questions?

25 [No response.]

1 MR. BOLLING: Okay. Thank you.

2 MR. TELFORD: Thank you, Lloyd.

3 Next we will have Dr. Ed Kaplan from Brookhaven
4 talk about the questionnaire. It's really a draft of a set
5 of questions and an evaluation form that we will ask you to
6 use at the end of your 60-day trial so that you can tell us
7 what you think of each of the objectives and how to make
8 them better.

9 I'll turn it over to Ed now.

10 DR. KAPLAN: First, let me say -- and I'll say it
11 again later on -- that I really want to thank you for
12 agreeing to participate, because without you this program
13 wouldn't be possible.

14 I've seen a lot of skeptical faces here today and
15 in the other three workshops that we've had, where people
16 who are volunteers don't believe that this is really a
17 performance-based rule -- proposed rule.

18 People want to know, "Just what is it you want us
19 to do, and we'll try to do it."

20 We're trying to say to you, "No, no, no. You've
21 got" -- As Lloyd just said, you're probably doing eighty-
22 five to ninety percent or maybe even a hundred percent of
23 what it is that we're talking about.

24 So you tell us how your plans fit into what these
25 objectives really are. What we're really trying for here is

1 an optimal set of objectives.

2 We really want your input. This is a proposed --
3 It's really just a proposed rule right now.

4 This is one of the few times where a regulatory
5 agency is coming to the potentially regulated community and
6 saying to you, "Tell us what you think, and not only that,
7 try it for a short period of time. If you like it, fine.
8 If you don't like it, tell us what's wrong."

9 So much thanks to you. And you've been nice --
10 you and your institutions -- when I've been calling.

11 As John said, we tried a stratified random sample
12 based on certain attributes. For example, are you in an
13 urban or rural area? Are you large or small? Are you
14 public or private?

15 And we've had -- You know, we got information
16 from the agreement states. We have information from the
17 NRC.

18 We truly tried to do this as randomly as possible.
19 And it involved a great deal of trial and error, choosing an
20 initial group of institutions, calling, finding the right
21 group.

22 That brings me to another point which we discussed
23 over lunch. I'd like to point it out to you, and maybe you
24 can be of some help later on.

25 Many of you represent institutions which are

1 involved in more than one activity, you know, maybe the
2 brachy or teletherapy or what not.

3 But we'd like to know what parts of
4 institution are participating, because we've visited your
5 institutions on the basis -- on the institutional basis, not
6 on whether or not you're doing brachytherapy, for example.

7 That came into the stratified random sample. But
8 basically it's an institutional license that we've got.

9 So we need to know just which groups within your
10 institutions are participating, and I'm sure you'll let us
11 know. We'll get on to the schedule later on, when you send
12 us back or you give me today what you brought -- the quality
13 assurance.

14 I hope you got the letter. We sent the letter out
15 last week on Monday.

16 So you may not have gotten it, but it was sort of
17 a reminder to bring something here with you, to bring some
18 type of QA plan.

19 Okay. Let's see.

20 We're talking about our -- Up until now we've
21 been talking about our evaluating your plan. We have two
22 ways we're going to do that.

23 One, of course, is you're going to give us your
24 plan. We're going to sit down in an office setting and
25 evaluate each and every one of your plans.

1 Then there will be another random selection
2 process where eighteen institutions, private practitioners
3 or hospitals, will be chosen and visited.

4 But this is your opportunity to evaluate us. So
5 this is where we're going to get your input.

6 We're doing it in the form of a questionnaire, so
7 that within a few weeks -- a couple of weeks, you'll get a
8 finalized version of a questionnaire.

9 But we're talking to you now about what the
10 elements are that the questionnaire will have.

11 And what we're talking about is this. There are
12 eight objectives.

13 We want you to tell us what you think, based upon
14 your experiences, of these eight objectives. We want you to
15 look at it in the form of both an overall grade (we call it)
16 -- and I'll talk in a moment about the procedure for
17 grading.

18 But think of it both in terms of just, generally
19 speaking, what do you think of this objective. But also
20 think about it -- Give us a little fine structure and
21 think about it in terms of benefit to prevent mistakes,
22 whether or not there's an incremental cost associated with
23 meeting these individual objectives, and are we putting a
24 strain on your personnel or aren't we?

25 Do you have enough people available to you to do

1 this particular -- to meet these particular objectives?

2 So for this part over here, and for these boxes
3 over here, this is what we'd like you to do. We'd like you
4 to think of things in terms of a grade -- a letter grade:
5 A, B, C, D, F.

6 For example, if you're interested in telling us
7 whether or not objective three is of benefit to prevent
8 mistake., grade us from A, which is very likely to prevent
9 mistakes, it's a good objective and it's very likely to
10 prevent mistakes; or if you think it's worthless, just tell
11 us it would not prevent mistakes.

12 Now, I will say this: If you give us grades that
13 are more towards the C, D and F side, we've provided you
14 with this second part over here.

15 So if you think -- if you really feel strongly,
16 particularly in the negative sense -- we'd like it also in
17 the positive sense, but if you feel particularly negative
18 about something, and you give us a letter grade of an F or a
19 D or whatever, please fill this part out down here.

20 Tell us precisely what it is that caused you to
21 think of the objective in that way. It's very important to
22 us.

23 So this part of the questionnaire that you'll be
24 getting will give you an opportunity to look at the eight
25 objectives and to think about them both in some specific

1 ways, which are close to your own hearts, and also in a
2 general way to tell us what you think.

3 So we can use that at the second workshop to tell
4 -- to talk to you about what you think about this in
5 relationship to your colleagues.

6 Then there's a little bit more information that
7 we'd like you to provide to us. Here, for example, question
8 number three, we're talking about objective one. But we
9 really mean, do this for all the eight objectives.

10 For example, does your existing QA plan meet
11 objective number one, number two, number three, all the way
12 down to number eight. So let us know.

13 Now, of course, you're going to let us know by
14 providing us with this road map that we talked about
15 earlier, because we're going to take your QA plans and we're
16 going to evaluate them.

17 So, please -- and I'll mention this later on
18 again, just to repeat this -- but we need a road map to tell
19 us where in your QA plan, if at all, you've met the
20 objectives.

21 And if you do meet the objective, on this
22 evaluation sheet let us know to what extent it already
23 exists. So that's the first thing here.

24 This, of course, will go for all eight. So you're
25 going to repeat these for the eight.

1 Now, as I mentioned before, we're looking for an
2 optimal set of objectives here. So we would like you to
3 answer again for each of the eight objectives.

4 There are three particular things that we're
5 interested in.

6 First of all, do you think enough of this
7 objective to retain it? If you do, please let us know
8 why.

9 If you'd like to retain it, you think it's
10 important from a professional standpoint, but it needs
11 modification, let us know.

12 Likewise, if you don't think this objective is
13 really worthwhile, by all means put it on the front part of
14 this evaluation sheet and put it over here, too.

15 So in section four there will be eight of these
16 where you'll provide us with whatever your thoughts are on
17 these objectives.

18 Of course, we may have forgotten something. If we
19 have, and you've included it in your existing QA plan or in
20 the QA plan that you've designed as a part of this process
21 here, let us know.

22 Let us know if there's something that you think is
23 important that we have not included.

24 Then, lastly, we'd like to know for information
25 purposes that relate to how we selected you in the first

1 place, we'd like to know how many patients you processed
2 during this 60-day period in each of these areas over here.

3 So please keep some notation somewhere in your
4 folders, and let us know at the end how many patients you
5 processed during this trial period, and how many mistakes
6 did you catch.

7 As opposed to misadministrations, just mistakes.
8 How many mistakes were caught before they became a
9 misadministration during this trial period, if any.

10 We would like -- This, of course, is very
11 important for us; and we'd like to know that.

12 Then, of course, if you had any
13 misadministrations, if you could just give us a brief
14 description as to what they were, we'd like to have that,
15 too.

16 What this whole thing will give us then is an
17 opportunity to evaluate not only the objectives that have
18 been discussed -- the eight of them, but whether or not you,
19 through your own practice over the 60-day period, think that
20 they're of any use or not, whether they're redundant,
21 whether or not they put too much of a burden on the delivery
22 of medical care, whether or not they've helped you in your
23 practice, and whether or not we've neglected something.

24 So we're looking to you to provide us with this
25 type of feedback. And then at the next -- The post --

1 How did you phrase that?

2 The post workshop, the two-day workshop, that's
3 when we're going to all get together, and you'll share
4 verbally your experiences.

5 But we'll have these that we can use to compare
6 you all as one large population and talk to you about,
7 generally speaking, what are the responses of all of you --
8 all 72 participants in the program.

9 So this is what we have in mind. You'll be
10 getting a questionnaire very shortly of this nature.

11 Any questions?

12 MR. BELLEZZA: How are you going to count therapy
13 patients? Like if you treat 30 patients a day times the
14 number of treatment days, or are you counting individuals?

15 DR. KAPLAN: That's a good question.

16 MR. TELFORD: Count the person. Whether or not
17 it's a current patient or a new patient. You don't count --
18 We didn't visualize that you would count daily fractional
19 doses, but that might be helpful because that would be sort
20 of a number of administrations. I think it's an interesting
21 index.

22 So what we had visualized is you would count
23 patients. But if you wanted to, say, during this period,
24 these patients got 20; this guy got 30 and this guy got 10,
25 that would be helpful, too.

1 Any more? Yes, John.

2 MR. SHARP: I'd like to ask Ed something.

3 When you were setting up the study, presumably you
4 were working with some number of expected misadministrations
5 per, say, hundred-patient cases.

6 How did you design the study so that contributions
7 from, say, a smaller institution where they only have a
8 fraction of the workload of a larger institution occurring
9 in this two-month period would be still a significant
10 contribution to your overall result in terms of -- well,
11 with respect to, say, patient load or study load?

12 DR. KAPLAN: Well, on a region-by-region basis, we
13 looked at the distribution of institutions that fit into the
14 each of the three categories I mentioned: the rural/urban,
15 large/small, public/private, and we tried to reproduce the
16 sample by drawing from the larger sample, so we would get
17 roughly the same type of distribution inside the pilot
18 project.

19 But we didn't look at it from the point of view of
20 what is the optimal number of participants to catch
21 misadministrations.

22 If we did -- This is one of the first things we
23 did very early on in the project just as a mental exercise.

24 It turns out we would need a much larger
25 population of participants.

1 MR. SHARP: I'm thinking too -- let's say for
2 argument -- do you expect one misadministration or one
3 interesting occurrence to occur per thousand patient
4 studies. In a smaller institution in the two months, they
5 may only do 50 patient studies.

6 DR. KAPLAN: You're right.

7 MR. SHARP: So they haven't got much of a chance
8 of helping you on that side of it; that is, how their trial
9 QA program would address a misadministration.

10 I'm wondering if they can emphasize the design and
11 setup, because they may simply in that time period not have
12 the experience to actually put anything into operation.

13 MR. TELFORD: I would look at the cumulative
14 number of patients treated by all such small -- so-called
15 small licensees; and there's a goodly number of them.

16 Of the 67 participants, there's a large number
17 that are small.

18 So I would look at the cumulative number of
19 patients for that subset.

20 MR. SHARP: Because otherwise the experience of a
21 smaller licensee may not be properly taken into account
22 here.

23 MR. TELFORD: Okay. We tried to get their
24 experience in by the proportional representation.

25 If you look at all the number of licensees we

1 don't have -- The NRC has maybe 2000 licensees, and most
2 of them are not the broad-scope kind of licensees. They
3 don't do everything.

4 Some people just do nuclear medicine; some people
5 just do nuclear medicine plus lin acc.

6 And, of course, to us the most interesting cases
7 are the people in the larger institutions, the teaching
8 hospitals that do everything, you know, because we go there
9 and we can find about all their programs.

10 But we couldn't just concentrate on them so we got
11 a proportion of each type. So I think through the gross
12 number of each type, we'll have that experience.

13 But I once calculated that there will be something
14 like 5000 to 10,000 patients that will be seen by the
15 volunteers during sixty days.

16 But we're not really after just
17 misadministrations, you see. We're after -- I think it
18 would be a big payoff if we could detect procedures or
19 objectives that would catch mistakes that are intermediate-
20 step kind of mistakes that don't become misadministrations.

21 To me that's more interesting than catching one
22 misadministration. I mean, I would rather prevent -- See,
23 that's the whole emphasis of the proposed rule is to prevent
24 misadministrations.

25 So I think it would all be successful if we could

1 at the end say, you know, "We understand what it is. We
2 tried it. It was, we think, helpful in preventing these
3 intermediate step kind of mistakes."

4 But what I wanted to get was -- There's two
5 points. One is I hope you can tell from the questionnaire
6 that we have so far, that what we're asking you to do is to
7 both grade what we have and then, you know, you're making up
8 your own.

9 You can just turn this one inside out. You can
10 say, "No, I don't like this one; I'd throw it away. I don't
11 like this one; I'd throw it away. I'd keep this one, but
12 I'd greatly modify it. I'd keep this one, but I modify it."

13 So each volunteer has the opportunity to tell us
14 what they would do.

15 It is kind of non sort of routine way to do
16 business for a regulatory agency to come to you and say,
17 "How would you write your own rule?"

18 But, collectively, that's really what we're doing.

19 Now, Ed talked about the questionnaire for the
20 eight objectives. We will have a separate questionnaire,
21 structured almost identically to the way this one it is, but
22 it's for the reg guide.

23 So for any of you who use any part of the reg
24 guide, then we will give you a similar opportunity to
25 evaluate each section of the guide that you used.

1 I had a hand over here. Yes.

2 MR. BELLEZZA: It was that topic. So far the
3 focus has been on the eight objectives and how we would
4 develop our own program to meet these objectives.

5 Yet, in the same package there was this guide,
6 which hasn't been addressed at all. Are we supposed to take
7 this guide and implement or ignore it?

8 MR. TELFORD: Oh, no, that's next.

9 DR. KAPLAN: We paid him to ask that question.

10 [Laughter.]

11 MS. RUDOLF: That's your transition.

12 MR. TELFORD: You're foreshadowing to the next
13 topic on the agenda.

14 So that's next is my answer.

15 Any other hands?

16 DR. TSE: The pilot program is not really
17 specifically designed to detect whether we can prevent
18 certain misadministrations, because the frequency or the
19 probability of a misadministration is very low.

20 However, we still want to try hard because this is
21 a set objective and to see whether it would interfere with
22 certain medical practice, which are those questions we have
23 this morning.

24 Plus, we can say -- we have a question of how many
25 mistakes -- mistake which is not misadministration -- how

1 many errors this specific QA program will be able to detect.

2 That may not be a misadministration, but there's a
3 lot more little errors than the misadministration.

4 So the question there we prepared essentially is
5 how many mistakes you catch. We did not say how many
6 misadministrations did you catch.

7 But if somebody has a misadministration, please
8 indicate that also. But the chances is very low.

9 MR. TELFORD: Well, Tony, I think you're being
10 called. They want to hear about the guide.

11 So next Dr. Anthony Tse will talk about the reg
12 guide.

13 We published a notice that the guide was available
14 when the rule was published on January 16th of this year, I
15 believe.

16 We intend this guide to be for your use, if you
17 want to use it. In this case, because it's a performance-
18 based rule, we do not mean this guide as a prescriptive
19 rule, but rather than setting you off on your own, in the
20 case that you need guidance, we thought we would provide
21 something.

22 Dr. Tse.

23 DR. TSE: This morning somebody asked the question
24 about where to call and who to call. So John and I put our
25 business cards in the back there on the table. Whoever

1 would like to pick up a card, please help yourself after the
2 meeting.

3 We already emphasized that this is a performance-
4 based group, which is different than our proposal published
5 in 1987, which specifically said one, two, three, what you
6 should do.

7 Here, as we discussed this morning, we only
8 provide objectives -- proposed objectives, how we approach
9 the problem of reducing essentially human errors.

10 And that's -- It's difficult to understand what
11 these simple objectives mean. Therefore, we prepare a more
12 detailed guidance to explain a little bit more fully what do
13 we mean.

14 And, of course, you can use those guidance to
15 prepare your QA program or you can use other guidance to
16 prepare your QA program, as long as you think it will meet
17 those objectives.

18 But the guide will give you a little more detailed
19 insight what we think about it.

20 I think each one of you already has a set of this
21 guide. I believe you have had a chance to look at it.

22 So today I will go through briefly on each section
23 and see if anybody have any questions, comments,
24 suggestions. Then please raise them at that time.

25 The first page of the guidance essentially says,

1 "This is only a guidance." You can have your program
2 tailored to such as that to fit your own specific situation.

3 We ask for public comments, and we will modify
4 that.

5 Let's go to second page. It's stated, "The guide
6 may contain more specific QA procedures," if somebody
7 suggests or supposes it would be good during the pilot
8 program.

9 So that's the first part of so-called Section A.
10 Does anybody have a problem or questions?

11 [No response.]

12 DR. TSE: No. Then we go to Section B,
13 Discussion. We essentially tried to briefly state what the
14 purpose of this -- because of misadministrations, and we
15 discussed that most of these misadministrations we hear are
16 due to simple human errors, mainly somebody misunderstood
17 the prescription or miscommunicated between the two persons
18 and so on.

19 Then page three. Again we emphasize this is a --
20 We provide the flexibility needed for the medical community.
21 We propose a performance-based rule.

22 Also, in the last paragraph of Section B, we
23 indicate that this we called basic quality assurance program
24 is not a comprehensive one. We're only dealing with a
25 specific portion, mainly related to the human errors.

1 In our regulations there are other quality
2 assurance requirements already required. We also have an
3 advance notice on comprehensive quality assurance program
4 published also in 1987, but that's way down the line.

5 Any questions on Section B?

6 [No response.]

7 DR. TSE: No. Let's go to Section C. Section C
8 is called the "Regulatory Position." We said that again --
9 We iterate that this is a guidance for you to develop your
10 QA program.

11 You may use other guidance as well to develop your
12 program.

13 Then the next page --

14 MR. WHITE: Excuse me, a question.

15 You're making this procedure a matter of -- What
16 do they call it with the agreement states?

17 DR. TSE: Compatibility.

18 MR. WHITE: You also consider making the statement
19 that this regulatory guide is a guidance as a matter of
20 compatibility. Do many states find it easier to take NRC
21 guides and require them?

22 MR. BOLLING: We're trying to convince them not to
23 do that, especially in this case, because we want you to
24 have programs that are easily adapted to your situation.

25 And to the extent that agreement states will use

1 this guide as the law, we want to discourage that as much as
2 possible.

3 MR. WHITE: But discourage is different than make
4 it a matter of compatibility. I mean, you're not
5 encouraging NRC states to adopt this program. You will
6 require them to do so.

7 MR. BOLLING: Yes.

8 MR. WHITE: And what I'd like to suggest is that
9 you require them to show evidence that they have in fact
10 reviewed other QC programs and analyzed them on their merits
11 with the same stringency, same audit enthusiasm that you
12 have required to show that they -- because I see that as a
13 big problem with acceptance in the medical community.

14 I'd feel a lot different if I thought you guys --
15 the two of you -- were going to be looking at my license
16 application, but neither of you will.

17 DR. TSE: We will have -- Like John said this
18 morning, we will develop a so-called QA program evaluation
19 criteria. That will be -- could be used in the future as a
20 precursor of the licensee --

21 DR. WIATROWSKI: I think the point that you're
22 making is an excellent point even for an NRC licensee.
23 Although when you propose a regulatory guide, you don't
24 intend that to be a regulation, the license reviewer who
25 looks at my license, however, accepts that as law.

1 If I ask for an exception to what was stated in
2 the licensing guide or provide what I think is an
3 alternative program, it's very difficult to get that
4 approved.

5 DR. TSE: Well, that's one of the reasons here, to
6 state that if you identify an alternative which may satisfy
7 certain objectives, we may want to include that as part of
8 the regulatory guide.

9 That will avoid those questions -- I mean, your
10 problem.

11 If we say to meet an objective, you can either do
12 one or two or three or four.

13 DR. WIATROWSKI: Or we can add number five, which
14 is mine, or somebody else's proposal. But that still gives
15 me a problem with the licensing reviewer who doesn't have
16 your --

17 DR. TSE: Doesn't have my guide?

18 DR. WIATROWSKI: Doesn't have your perspective on
19 the fact that this is a guide and not intended to be
20 prescriptive.

21 You removed the prescriptive requirements from the
22 first proposal that was published in 1987, and many of those
23 prescriptive requirements are contained in this draft
24 document. I checked against the '87 rule, as a matter of
25 fact.

1 DR. TSE: Right.

2 DR. WIATROWSKI: So now I'm going to go ahead and
3 I'm going to file a QA program. And some license reviewing
4 official in Dallas who doesn't know you and doesn't know you
5 is going to come and they're going to look at my quality
6 assurance program.

7 They're going to pick up the licensing guide,
8 which is your final document; and I'll bet they're going to
9 review my license application using this as a prescriptive
10 document because that's what has happened to me for the last
11 fifteen years. That's pretty commonplace.

12 I think everybody is shaking their heads, and they
13 know what it's like to try to get a license review.

14 DR. TSE: Well, if --

15 MR. TELFORD: If I understand this correctly,
16 you're saying it would be difficult if the reg guide did not
17 contain alternatives which you found to be acceptable in
18 meeting these objectives.

19 So I think that should be something that we should
20 strive for in the next workshop is to make sure that we have
21 sufficient alternatives in the guide that would meet your
22 needs and that then could be looked at by your peers here
23 and say, "Yeah, we think that's good."

24 We could all go on the record and say, "Good.
25 Let's have that in the guide."

1 Therefore, any licensing body would have to say,
2 whatever is in the guide -- if they're going to use the
3 guide, they'd either have to say those alternatives are
4 acceptable or say why not.

5 So I think that would solve your problem.

6 DR. WIATROWSKI: Well, I guess perhaps partially.
7 I mean, you can put more than one alternative in a licensing
8 guide.

9 My feeling is, after fifteen years of writing
10 license applications for the U. S. Nuclear Regulatory
11 Commission, as well as the State of Texas, I always find
12 that unless I specifically agree with the regulatory guide,
13 I am going to get a multitude of questions back on that
14 application.

15 My feeling is, if this is implemented, it's going
16 to be the same thing.

17 And although your intent, as stated here, is not
18 prescriptive, the effect of even publishing this document
19 will be to make this prescriptive.

20 MR. WHITE: I think it's even easier than you're
21 suggesting. The intent of this, as I understand it, is to
22 allow us some flexibility to propose specific steps that
23 will meet your intent.

24 And what we're saying is that that's fine when
25 we're talking to you folks, but when we're trying to get

1 something approved by a guidance state who, quite frankly,
2 doesn't understand what goes on -- I mean, doesn't have a
3 medical background and hasn't spent two years looking into
4 this; he's going to look at this document and say, "It has
5 got to say this."

6 It's no longer a guide. It's a regulation.

7 What I'm suggesting is you can fix that by
8 enforcing that compatibility as vigorously as you enforce
9 other compatibility.

10 I talk to the guys at the State, and they say,
11 "You need to wear red tennis shoes because the NRC says in
12 their regulation red tennis shoes."

13 I want to be able to say, "The NRC said that you
14 need to consider alternatives. That's regulation. If you
15 don't consider my alternative, I'm going to call them and
16 have them cite you for that in the next compatibility
17 inspection," because you're going to cite them if they don't
18 make me calibrate my survey meters on a schedule or if I
19 don't have a teletherapy expert.

20 I want you -- or I suggest that you cite states
21 for noncompatibility for states that use guides as
22 regulations, and that will end that. The first state that
23 gets cited for that, it will essentially end that problem.

24 MR. BOLLING: Let me say that compatibility really
25 refers only to regulations, not to procedures per se.

1 But I think how we can get around it is by talking
2 about it constantly and by your challenging it.

3 You know, be gentlemanly about it, but insist that
4 -- you know, the whole foundation for this operation here is
5 flexibility.

6 If we lose that through somebody's insistence upon
7 using a set of written procedures in the guide, then, you
8 know, what we're all doing here is for naught.

9 MR. JANICE: I have never seen the reg, but isn't
10 there a foreword or something that says exactly what they're
11 about, that they're intended to be a guide more than a law?

12 MR. BOLLING: I think they all say that.

13 DR. WIATROWSKI: But it's the use by the reviewing
14 officers. And I think that's something the NRC needs to
15 emphasize with its own ranks, really.

16 MR. BOLLING: Well, these regulatory guides are
17 basically for the licensees.

18 Now, we do have internal procedures which are
19 directed to the licensing people. Maybe we need to get into
20 those -- you people generally don't see those -- and
21 underline in red or something, "guide only," so we have a
22 set of --

23 DR. WIATROWSKI: I think that would be excellent.

24 MR. BOLLING: -- very specific procedures, how to
25 license a well logging operation, how to license a

1 brachytherapy operation.

2 And so somebody with a general science background
3 and a B.S. degree, with a little bit of HP training, can do
4 those operations until they become really proficient.

5 But I wouldn't expect you'd have trouble like that
6 out of John.

7 MR. SHARP: No. Ask Wayne.

8 MR. BOLLING: I think I understand what you're
9 saying, though. A junior-level person doing that kind of
10 licensing could possibly get into a rut -- and probably they
11 are getting into ruts where it's much easier for them to go
12 along with one of these guides and say, "This is from the
13 NRC. Therefore, this is what you have to do."

14 But in the past we had prescriptive regulation.
15 We're trying as much as possible to get away from that,
16 especially in the medical area.

17 This is a real departure from what we've done
18 before.

19 I think the fact that we're all here is something
20 brand new in the medical area.

21 Now, in proposing new regulations we have hearings
22 in the uranium mill area; we have hearings in the low level
23 waste area; we have hearings having to do with reactors. We
24 very seldom have workshops like this where we can get
25 together and talk about medical things.

1 So I think we're really serious about getting your
2 input and using your input. And to lose it by somebody's
3 dogmatically using a reg guide would just wipe us out.

4 I guess we're going to have to use education to
5 reinforce that.

6 MR. JANICE: Or a two by four, whichever comes
7 first.

8 MR. LOPEZ: I just wanted to say also that this is
9 a draft --

10 DR. TSE: It is a draft.

11 MR. LOPEZ: -- and it will probably be modified to
12 include whatever resolutions come out of this pilot program.
13 So....

14 DR. TSE: Right.

15 There's two points I want to make. One is that
16 compatibility is generally to say that the states should
17 have a regulation at least as restrictive as the NRC.

18 It doesn't say you cannot be more restrictive than
19 the NRC. So the states, based on their local conditions,
20 they always can impose additional regulations that are more
21 restrictive than the NRC even in the compatibility.

22 Therefore, it's depending on individual locality.

23 Second, even when we put in big letters, "for
24 guidance only," and you put the yellow, red, black, whatever
25 lines, people may still misuse it.

1 The best solution is just like everybody has said
2 here. Let's come up with the good alternatives. Let's put
3 some alternatives acceptable in the guide.

4 If I have five alternatives -- we may miss the
5 sixth one, but we certainly do not miss the two, three,
6 four, five.

7 Let's minimize the impact that somebody may use
8 this as a regulation. Hopefully, that will not occur, but
9 it's difficult to tell ahead of time.

10 At least that's not the intention.

11 Any questions on this particular point?

12 [No response.]

13 DR. TSE: Okay. Now we go to the details on page
14 four.

15 The first item is a general QA -- kind of a
16 general item. 1.1, of course, says that the licensee shall
17 say who's responsible, who has the authority and so on.

18 1.2 says that you shall have audit of your QA
19 program within twelve months -- an annual audit essentially.

20 Now, does anybody have questions on these two
21 items?

22 [No response.]

23 DR. TSE: This, of course, applies to every
24 licensee. Generally, the QA program always starts with
25 that: who has authority, who has responsibility.

1 John has already talked quite a bit about
2 feedback, the annual audit, the management review of all the
3 corrective actions, is to let the licensee correct himself
4 before it gets into much of a big problem. So to keep track
5 -- keep tracking on the QA issues with the institution.

6 Any questions on these two?

7 Yes.

8 MR. BELLEZZA: On management, who is management?
9 I mean, is the physicist who is doing the QA, and maybe the
10 only one understanding what it's all about, is he the
11 management person reviewing his own quality assurance? Or
12 do you have an administrator --

13 DR. TSE: No, you can't --

14 MR. BELLEZZA: -- who doesn't know what you're
15 talking about?

16 DR. TSE: Well, when you make the audit it should
17 be somebody knowledgeable to make the audit, except you
18 don't audit yourself.

19 If I make some mistake one way, if I audit myself
20 I keep making the same mistake because I did not even see
21 that mistake. It has to be another qualified person check
22 on me.

23 But the management review of the audit is the
24 hospital administration, because some action -- after your
25 audit you may recommend certain action to be taken, and that

1 would be the management would -- could have authority to ask
2 the department head to take certain actions.

3 So that's the -- The management may not have to
4 be an expert in radiation safety, but he has authority to
5 take the actions.

6 Any other questions?

7 Yes.

8 MR. WHITE: It says, "Audits will be conducted ...
9 by qualified personnel," as you mentioned, "not involved
10 with the activity being audited."

11 DR. TSE: Right.

12 MR. WHITE: It seems to me that would be a problem
13 in many hospitals.

14 DR. TSE: That's a thing which I'm talking about.
15 Suppose I come up with a procedure, teletherapy procedure
16 myself.

17 And then I check on myself. I would be auditing
18 myself. It would be difficult to find out what error I
19 made.

20 So if I can ask you to come, say you maybe in
21 another department within the institution or another
22 physicist or somebody -- If I don't have another person, I
23 go to another hospital.

24 I say, "You check on me; I check on you." That's
25 what we mean here.

1 Now, would you think a person who developed this
2 procedure himself, checked himself, would be able to find
3 the errors he made?

4 MR. WHITE: Maybe I don't understand what an audit
5 is. Is an audit going through the charts and rechecking the
6 calculations, or is the audit --

7 DR. TSE: No, the QA procedures.

8 MR. WHITE: To see that the procedures were
9 followed.

10 DR. TSE: Or the correct procedures.

11 MR. BELLEZZA: It's not clear to me then how a
12 physicist from another institution, first of all, could take
13 time away from his responsibilities to come over to my
14 institution?

15 DR. TSE: If you don't have another physicist or
16 you do not have another person who's qualified to check on
17 your procedures --

18 MR. JANICE: It doesn't have to be a physicist.
19 Either that or a qualified individual.

20 DR. TSE: Right. Qualified individual, but it's
21 somebody who has to be knowledgeable in the performance of
22 whatever the procedures are.

23 Like nuclear medicine, for example. You really do
24 not have to have a physicist to check that.

25 But if you have elaborate treatment planning --

1 teletherapy treatment planning, then somebody else maybe --
2 monodometry can check that. It may not be physicist, if
3 he is qualified in the management's view.

4 Or if you have only one person in that particular
5 institution, then you need to try to find somebody else to
6 check it for you.

7 Now, that's the suggestion.

8 MR. SHARP: These are some of the problems of
9 performance-based guides and rules. You don't dare say more
10 than that because you limit the licensee's options to come
11 up with something that represents a qualified, independent
12 check, anything.

13 DR. TSE: And note we did not say independent --
14 We did not say from outside organization. So inside the
15 organization, if you have another person who you believe is
16 qualified -- or management believes is qualified who could
17 check the procedures.

18 MR. SHARP: You might have to sort through five or
19 six different possibilities that you can think of to find
20 one that works for you.

21 But if Tony says more in the guide, he has limited
22 somebody else's options.

23 MR. GOMEZ: A physician from the same institution?

24 DR. TSE: Yeah, a physician may be --

25 MR. GOMEZ: A technologist --

1 DR. TSE: Right. It depends on which procedure,
2 how much involved it is.

3 MR. JANICE: It's just like any set of guidelines
4 you're writing. These are broad-based guidelines. You can
5 plug in anything to see how it works.

6 DR. TSE: But the ideal is that if I made the
7 procedure a check on myself, I'm liable to make the same
8 error.

9 And if I did not find it, I did not see my error.
10 Just like I write a paper, and I read -- I did not
11 even see my spelling errors or whatever type of errors.

12 John always finds them for me. I say he's much
13 smarter than I am. How come he always finds my error?

14 Actually it's just I do not see it. In my mind I
15 always think it's a correct way, so I did not see my error
16 -- my own.

17 DR. WIATROWSKI: I think the issue is -- and I
18 think the issue was raised correctly. In doing the audit,
19 for example, most of us have been through a JCH
20 accreditation and a physician comes in to audit.

21 He comes in, and they want to look at quality
22 assurance data in physics.

23 They say -- well, he has a checklist, or she has a
24 checklist -- "Show me this."

25 Give them a piece of paper. They check it off;

1 they don't know what they're looking at, and they don't know
2 whether that document actually fulfills the requirement.

3 And that physician -- and am I correct? I mean, I
4 think most of us have been through this situation -- goes
5 down the list and identifies -- because, you know, if you
6 have a hematologist looking in radiology he or she may not
7 have the foggiest idea what you're looking at.

8 I think what you were getting at is the point, you
9 can get somebody who really is just marginally qualified.
10 Management says, "All right. You are qualified to do the
11 audit."

12 Show that person pieces of paper, and we have a
13 meaningless exercise that fulfills your paper requirement,
14 but doesn't significantly contribute to quality assurance at
15 all.

16 DR. TSE: That's depending on how responsible is
17 the management.

18 DR. WIATROWSKI: Well, it depends on the
19 availability of qualified personnel and whether you want to
20 bring in an outside consultant.

21 These are some very significant issues. You just
22 can't say, "Well, management will identify somebody who is
23 qualified." That person may not be available.

24 DR. TSE: Well, let me ask Dr. Feldmeier.

25 DR. FELDMEIER: I think it's a very difficult

1 problem. You know, you have situations with isolated
2 practices with perhaps a physician and a part-time
3 physicist.

4 And how you're going to arrange an audit in that
5 situation, I don't know.

6 We have sort of a unique situation where we have
7 five or six different groups practicing within one center.
8 If these quality assurance procedures are taken -- and we
9 have some independent physicists -- and it's a situation
10 where actually these people are in competition with each
11 other.

12 So I mean, you don't want your competitor auditing
13 your records.

14 MR. SHARP: Probably get a good audit.

15 [Laughter.]

16 DR. FELDMEIERS: So I mean, I don't think there's a
17 simple solution to this situation.

18 DR. TSE: No, where there isn't -- where it would
19 be useful to conduct an annual audit.

20 DR. FELDMEIERS: I think that as a compromise
21 position about all that you can sort of universally
22 establish or have broad guidelines, I think that what you
23 can do is make sure that the paperwork exercises are being
24 done.

25 You know, whether they have substance or not, I

1 guess is dependent upon who is doing the paperwork
2 exercises.

3 It has been my experience in quality assurance
4 programs in the past, a lot of times all you do is you get a
5 stack of papers and you say, "Well, was this audit done?"

6 "This audit was done."

7 You know, your regulation says that you should do
8 this quarterly, and make sure that was done quarterly.

9 You go through a paperwork exercise that doesn't
10 necessarily have a very close relationship to ensuring
11 quality work or quality assurance or good calibrations or
12 good dosimetry.

13 But to have a program that's going to be
14 universally acceptable and a program that is going to be
15 able to be widely conducted, sometimes I think that's all
16 you can settle for.

17 DR. TSE: But do you do your teletherapy or
18 brachytherapy audits some --

19 DR. FELDMEIERS: See, it's fairly easy for us. I
20 know like the guidelines that the American College of
21 Radiology has put out in regard to just something as
22 simplistic as checking a radiation therapy portal, make sure
23 you're treating the field that you want to treat, the
24 guidelines that have been published for the ACR for quality
25 assurance is that someone other than the treating physician

1 should check the portal to make sure that it corresponds to
2 the simulation film.

3 Well, if you're in a multi-person group, it's
4 fairly easy. I work in a medical school, so we have several
5 staff doctors.

6 You know, I put films up, and my colleague looks
7 at it and says, "Yeah, looks all right to me."

8 He puts one of his films up and I say, "Yeah,
9 looks okay to me."

10 But in a situation where you have a solo practice,
11 especially in an isolated situation or you have -- in our
12 situation at the Cancer Center where you have a lot of solo
13 practitioners or small groups that are competitors, it's --
14 I don't know.

15 To come up with universal guidelines for how you
16 audit and how you have qualified people.

17 You know, the intent -- I mean, the intent is
18 obviously a very constructive thing. You want to have
19 someone with like credentials, like qualifications, like
20 abilities, looking over your work to make sure that you're
21 not making stupid errors.

22 As you were using the example of proofreading a
23 paper, you know. I write a paper, and I leave a comma out
24 one time. I proofread it a second time, and I don't think
25 the comma goes there -- I leave it out the second time,

1 third time, fourth time.

2 Someone else looks at it and they might find that
3 comma very easily.

4 But if it's your competitor, or if you're in a
5 situation where the best person that you can get -- or the
6 best qualified person is not someone of like qualifications
7 or comparable qualifications, I think the intent of the
8 program really -- you get sidetracked and you get into a
9 paperwork exercise.

10 But I don't know what -- I don't think there's
11 an easy answer, and I don't have any suggestions for a
12 solution.

13 (Transcript continues on page 156.)
14
15
16
17
18
19
20
21
22
23
24
25

1 DR. TSE: At some point, that's a performance-
2 based rule, we have to trust the hospital. In fact, we do
3 trust the hospital management to make a right decision but
4 this is one area we must trust the hospital management to
5 make a right decision.

6 But if you have any good suggestions, please let
7 us know during the pilot program period.

8 Any other questions on this?

9 [No response.]

10 DR. TSE: Okay. Let's go to the second item,
11 "General Elements for All Medical Use." I hope this is not
12 conf... "Diagnostic and Therapy."

13 This morning we had a little problem relating
14 diagnostic and therapy prescription and referral.

15 The difficulty is that prescription is not one-
16 to-one corresponding to therapy.

17 All therapy, plus certain diagnostic, requires
18 prescription, based on the proposed objective.

19 Then for the rest of the diagnostic, we mean
20 iodine more than 30 microcuries -- Let me start again.

21 All diagnostic, except those diagnostic procedures
22 with 30 microcuries of Iodine-125 or -131.

23 For those you could even use a diagnostic referral
24 or a prescription.

25 You have a problem? For the diagnostic

1 procedures, other than the high dose rates (we take that
2 out), you could either use diagnostic referral or you could
3 use a prescription.

4 MR. JANICE: Maybe I misunderstood everything,
5 because my understanding was that anything above 30
6 microcuries of I-125 or I-123 needed a prescription.

7 DR. TSE: Right, that's what I say, except those.

8 MR. JANICE: That's not what I just heard.

9 DR. TSE: Okay. Let me repeat again.

10 For diagnostic procedures involving all
11 radiopharmaceuticals, except those diagnostic procedures
12 involving more than 30 microcuries of iodine (take that
13 out), for all the others you could either use diagnostic
14 referral or your physician could write a prescription, too.

15 It cannot be only tied in to diagnostic referrals,
16 because in some cases the nuclear physician writes it
17 himself.

18 So it's not so clear-cut, therapy tied in to
19 prescription; diagnostic tied into referral is not that
20 clear-cut.

21 We were trying to write clear and we tried it one
22 time and still somebody confused. So I just explain it.

23 That's the intention. The wording, we can always
24 change it later.

25 Here we do the same thing. You will see those

1 words.

2 Now, 2 is applied to all procedures. This is
3 essentially motherhood kind of statement.

4 2.1 says records have to be clear and legible.

5 2.2, if you don't understand, if a technologist
6 sees micro and milli, it's close enough, that a physician
7 did not write clearly, you should stop and ask first before
8 go ahead.

9 2.3, if you see something obviously that's not
10 right, then go ahead first and ask first.

11 And No. 4, essentially you have to follow the
12 prescription, you have to check.

13 So that all applies. These are the four items
14 applied to all procedures, but for diagnostic procedures,
15 other than those Iodine-131 30 microcuries, those are all
16 there are. There's no more.

17 But for therapy, for iodine with 30 or more
18 microcuries, there are more suggestions in the later
19 sections.

20 So this Section 2, does anybody have any question?

21 Yes, please.

22 MR. SHARP: Tell me what this is not. 2.4, verify
23 you don't expect records of that?

24 DR. TSE: I don't think we said that we need that.
25 Your procedure may say you need to doublecheck the patient's

1 identity.

2 So the technologist should be trained to say, "Mr.
3 Jones." You've got to ask where you live, how old are you
4 or something or your social security number.

5 Somehow they are to check so that Mr. Jones is
6 not -- maybe two Mr. Jones are sitting there.

7 MR. SHARP: One of the reasons I ask is that one
8 of the precepts of compliance work is that you don't ask the
9 licensee to do something that you don't ask him to make a
10 record of so that you can verify he's doing something, but
11 here we're off into the area where we're suggesting that
12 licensees do quite a bit and yet to really make this
13 practical I don't think you can have records at most of the
14 stages of it.

15 DR. TSE: Well, later you will see some place we
16 think records are needed

17 MR. SHARP: Right, some.

18 DR. TSE: We will say, "Record needed in this
19 case."

20 Maybe the licensee wants to say, "I want a
21 record," but in this case it is not required.

22 MR. SHARP: When you say "verify" and other things
23 in this guide, you are not assuming that we are reading
24 "verify and record"?

25 DR. TSE: No. The specific items says "record."

1 Any other questions?

2 [No response.]

3 DR. TSE: Then let's go to No. 3 on Page 5.

4 Item No. 3 is additional elements for
5 radiopharmaceutical therapy, nuclear medicine therapy and
6 diagnostic involving more than 30 microcuries of iodine.

7 So additional elements are proposed.

8 Item 3.1, the nuclear physician should personally
9 review the patient's case, meaning that if somebody sends a
10 referral that says that this patient needs ten millicuries
11 or thirty millicuries of Iodine-131 for his thyroid, the
12 nuclear physician should not take that as given.

13 He should first look at the patient to make sure
14 that's a correct dose, a correct indication and correct
15 dose.

16 3.2, you need a written prescription.

17 3.3, as we mentioned this morning, the physician
18 could change the prescription for some reason. He changes
19 the judgment. After examination he may change it, or
20 whatever. He could change it.

21 That's not unintended deviation, because the
22 doctor intended; because of his judgment he intended to
23 change it.

24 3.4, we emphasize again that you need to have the
25 right patient.

1 3.5, here comes the record. It says that after
2 administering the dose, you should write down how much you
3 gave to the patient.

4 MR. JANICE: The question was raised on that. Our
5 practice is that as the nuclear medicine physician is
6 dictating a report, the amount given to the patient is
7 dictated onto the report.

8 Does that satisfy this requirement?

9 DR. TSE: You mean you have a written report or
10 tape?

11 MR. JANICE: Pardon? Oh, it's a typed report.

12 DR. TSE: Typed report, sure.

13 MR. JANICE: The interpretation of the test and in
14 the interpretation he says, "X amount of millicuries of MDP
15 was injected IV," and that kind of stuff.

16 Does this satisfy this portion of the report?

17 DR. TSE: I think so, but signed --

18 MR. JANICE: You think so?

19 DR. TSE: Yes, because it says under the
20 supervision or himself. Sometimes, we understand, the
21 physician is busy. His hands are busy so he wants someone
22 else to write down what he does.

23 MR. JANICE: We actually put it on the request
24 that the patient receive so much of what but he reiterates
25 it in his report?

1 DR. TSE: Yes, I think so.

2 MR. DADARI: I believe it's a requirement to put
3 amount of dose, isotope and chemical form on the report.

4 DR. TSE: Right.

5 MR. JANICE: What I was looking at was not another
6 report to have to generate.

7 DR. TSE: No. If you already have a sheet of
8 paper and somebody signs it where the doctor has written
9 down and says this is how many millicuries I administered to
10 this patient, that meets this particular item.

11 I did not say "requirement."

12 Why I say "probably" is that I'm not sure exactly
13 what -- this says you have a date and signed. Now, if the
14 report is not --

15 MR. JANICE: I read all that. That's the reason I
16 raised the question.

17 MR. TELFORD: The answer is yes.

18 DR. TSE: Yes. In that case it will be yes.

19 There's several words after this. I wonder if
20 anybody has any questions.

21 It says toward the end of Page 5 this patient will
22 record agreement or lack of and so on.

23 Do you have any questions on these few phrases or
24 you have no problem?

25 [No response.]

1 DR. TSE: Okay. The question raised by other
2 people in the workshops is they say do they have to have
3 another column after they write down the dose given to the
4 patient, another column to say whether he agrees or does not
5 agree, say another few words.

6 The answer is that certainly is not necessary,
7 because you can already compare the prescribed dose versus
8 the administered dose.

9 You need to compare that but it's clear whether
10 agrees or doesn't agree; you don't really have to say "yes,
11 agree," or "no, doesn't agree."

12 MR. SHARP: But are you saying that both pieces of
13 data need to be there in that record, because that might not
14 be occurring.

15 They might have recorded, for instance on this
16 patient report, simply the dose administered, not the dose
17 prescribed.

18 MR. DADARI: Exactly.

19 DR. TSE: In the teletherapy you always have dose
20 prescribed/dose administered.

21 DR. FELDMIEIER: On our charts, yeah.

22 DR. TSE: Yes, on the charts.

23 In the radiopharmaceuticals, in that case
24 some --

25 MR. DADARI: The administered dose.

1 DR. TSE: But this particular item is to say you
2 need to compare with the prescribed dose to see whether they
3 are the same.

4 If not the same, either if exceeds the
5 administration criteria, then it becomes a
6 misadministration; if it is lower, is it unintended?

7 MR. DADARI: I'm getting kind of confused.

8 DR. TSE: Okay, please.

9 MR. DADARI: If I see it's not the prescribed dose
10 while I'm giving . . . to the patient, it's a live action. I'm
11 catching myself while I'm doing it.

12 I have a prescription in my hand for 7 millicurie
13 Iodine-131 and my dose calibrator shows me 15 millicurie.

14 Obviously, I'm not going to give it.

15 DR. TSE: Right.

16 MR. DADARI: Why do I have to write down it was 7
17 millicurie but anyway I gave him 15 millicurie and this is a
18 misadministration?

19 DR. TSE: Correct. If you find it's 15
20 millicuries, you may not give it to him.

21 If somebody did not look at -- you looked at your
22 prescribed dose and you said, "This is different. There's a
23 discrepancy."

24 Somebody may not look at that. If he didn't, he
25 writes it down.

1 Later somebody compares the two doses and "Ha,
2 that's different."

3 Then at least he discovered that s different.

4 MR. DADARI: So in the patient's report, if you
5 see just one item, it says "7.5 millicurie, Iodine-131," it
6 means it's prescribed dose and administered dose exactly.

7 So we don't deal with two numbers, have to write
8 two numbers, if both of them are the same.

9 DR. TSE: Right. If both are the same, there's no
10 problem, but before you check it, you are not too sure.

11 MR. JANICE: More and more I'm hearing that we're
12 going to need another piece of paper to satisfy this.

13 That's the reason I raised the question as to
14 whether or not on the report would satisfy it and what I'm
15 hearing, it's not satisfying what this says.

16 MR. SHARP: Well, consider what you want. Yo
17 want a quality assurance step, which by itself means you're
18 comparing one against another.

19 At whatever point in the cycle of this you do that
20 comparison, you've satisfied this.

21 DR. TSE: Right. You need to compare.

22 MR. SHARP: But indeed, it may be in some of these
23 that it's not really being done.

24 In other words, you looked on the syringe. It
25 said "7 millicuries." You injected.

1 In a way, you don't have a QA step there at all.
2 You've assumed that the printing was right and you didn't
3 check it.

4 Now, if you look at 7.0, you put it in the dose
5 calibrator, 7.2, then you've got a before and after there.

6 It didn't happen to occur or even need to occur
7 after administration, but at least that was a QA step that
8 met the intent of this.

9 If you don't have that step in your cycle, then
10 you don't have a QA step.

11 MR. JANICE: Then why did we say earlier, though,
12 that you are not required to drop it in a dose calibrator.

13 MR. SHARP: Well, that's Texas' rule.

14 MR. JANICE: That's what I'm saying.

15 MR. WHITE: There's nothing here that requires
16 that.

17 MR. SHARP: No, but you would be trying to develop
18 a QA step and that might be it.

19 For those licensees who have set it up according
20 to our rules, where we haven't required double-ended
21 calibration, they may need to come up with something.

22 We haven't, indeed, required anything like this.

23 DR. TSE: The idea is to check administered dose
24 against the prescribed dose and there should be a record of
25 this.

1 If not the same, it either becomes
2 misadministration or becomes an intended deviation and you
3 need to look at the procedure.

4 MR. JANICE: But what you said, though, Jon, just
5 looking at the label, that says that you've checked it.

6 MR. SHARP: Would you call that an independent
7 check? For instance, what are you checking that against?

8 If you don't have a second bit of data there, it's
9 not really a check against anything.

10 If you're checking against what you ordered from
11 the pharmacy and you got back that, that's a check.

12 A dose calibrator is even a better check, because
13 that's a physical check.

14 MR. JANICE: Or you go back to your procedures
15 manual and it says thus and such.

16 MR. SHARP: But I think in this sense "check" does
17 imply two numbers. Somewhere you've got to pull that other
18 number in and check in.

19 MR. JANICE: That's what I'm saying. This says
20 that you're going to have to have another sheet of paper
21 somewhere saying that you've done that.

22 MR. SHARP: No, it doesn't require --

23 MR. TELFORD: I think what this says is you have
24 a -- think of it as a prescribed dose and you have an
25 administered dose

1 What this is really saying is you have your
2 choice. You can write down both of those numbers or you can
3 write down the fact that in this gentleman's case he has the
4 administered dose and, yes, it agreed with the prescribed
5 dose.

6 MR. SHARP: Yes.

7 MR. TELFORD: You've done the check and you say
8 "yes."

9 MR. SHARP: If it's not self-evident by two
10 numbers there on the paper, then you need to say, "Yes, it
11 agreed."

12 MR. TELFORD: One way is you write down both
13 numbers and it's self-evident that there's agreement.

14 Therefore, some folks in previous workshops have
15 said, "Look, I've got both numbers on the paper. It's
16 obvious they agree. Why do I have to say they agree?"

17 Okay, you don't.

18 On the other hand, you can write down the
19 administered dose but the prescribed dose is not there on
20 your report.

21 Therefore you could say, "Yes, it agreed," or, "It
22 disagreed by a tenth of a microcurie but who cares."

23 All right, and you've done it. So that's the only
24 point here.

25 DR. TSE: Any questions on this

1 radiopharmaceutical therapy and iodine greater than 30
2 microcuries?

3 [No response.]

4 DR. TSE: Let's go to teletherapy then. Wait a
5 minute. The next one is brachytherapy, Item No. 4.

6 Again, the first two are the same, like Item 3.

7 4.1 says the nuclear physician -- the oncologist,
8 radiation oncologist should personally review the patient's
9 case and an oncologist should write a prescription.

10 DR. FELDMEIERS: As we were talking about nuclear
11 medicine prescriptions, when a nuclear medicine prescription
12 is written, it's generally written for an activity,
13 millicuries, microcuries.

14 When we write a prescription, we write a
15 prescription for an absorbed dose, rads or centrad.

16 One of the problems we have, especially if it's a
17 permanent implant and we drop in 30 millicuries of
18 encapsulated Iodine-125, we're not going to get our
19 dosimetry back until after the seeds are dropped in.

20 Any resemblance between what we end up with in,
21 say, a prostate gland and what our preplan indicated is
22 sometimes not much more than coincidental.

23 I don't know how we write a prescription in that
24 case. If we can write a prescription in terms of number of
25 millicuries we put in akin to what nuclear medicine does, I

1 have no problem writing the prescription.

2 If I need to write a prescription in terms of dose
3 to the minimum tumor volume in the prostate gland, then I
4 have a problem.

5 DR. TSE: Right. If you look at the page related
6 to definitions, under "prescription," there are some
7 specific items related to each different modality.

8 In terms of brachytherapy, we said that either
9 dose, and then you have a parenthesis -- who has that?

10 What does it say, brachytherapy?

11 MR. JANICE: The total dose entry; in brackets are
12 treatment time, number of sources and combined activity.

13 MR. WHITE: I think the point is oftentimes none
14 of those are determined prior to the application.

15 Many times all of those things are determined
16 after the application.

17 DR. TSE: Okay. Now, let me ask Dr. Feldmeier
18 where he, as oncologist, do you normally write something
19 ahead or time or you don't write it ahead of time?

20 DR. FELDMEIER: Yes, we do a preplan where we
21 determine how much of the isotope we're going to order and
22 generally how we're going to approach it, but until you
23 actually -- This would be sort of akin to a surgeon saying
24 how many sutures he's going to use to close a wound.

25 I mean, until you actually get there and you do

1 the -- I did a prostate just yesterday. How many needles I
2 can get in is determined on the patient's anatomy and when
3 you're bouncing needles off the pubic symphysis, you don't
4 always get all the needles in.

5 If I had written anything prior to actually going
6 and doing the implant, it would not reflect what was really
7 done.

8 DR. TSE: That's correct and that's why we have
9 another item.

10 DR. FELDMEIER: 4.4, for a change in the
11 prescription?

12 DR. TSE: 4.6, to reflect the actual loading. We
13 realize that's a problem of the brachytherapy oncologist, so
14 we permit the changes after you implant and you reflect
15 actual loading.

16 The reason, in my view, why we need a prescription
17 ahead of time is such that you can convey to your people,
18 whether technologists or whoever, or purchase agent, to get
19 you the proper number of sources and proper curies that you
20 need.

21 You will not be able to check when they give you
22 the source whether it's one millicurie, ten millicurie or
23 five millicurie. You wouldn't know.

24 DR. FELDMEIER: Right.

25 DR. TSE: But if you give them an order, verbal

1 order, they may remember for a while, and then after while
2 they have forgotten and they give you a source, which is not
3 easily verifiable at the time when you insert, when you
4 implant.

5 Therefore, if you can write down what you like to
6 do, how many sources, what kind of sources, what kind of
7 activity you want, that's good enough for this particular.

8 DR. FELDMER: For something like this, since we
9 generally try to do -- and I think this is state of the art.
10 You try to do a computer preplan.

11 You do the dosimetry. You show the isodose curves
12 with your ideal implant, what you'd like to do.

13 Would such a preplan satisfy the requirements of
14 this 4.2? I would hope so because that's as accurate and as
15 precise as we can possibly be in this situation.

16 DR. TSE: Right. If you have a preplan, either
17 you say the dose or you say what kind of sources are needed,
18 what kind of location I want to put it in.

19 That would meet the prescription definition. That
20 certainly satisfies that but, also, you have to sign it and
21 so on, because the prescription has to be signed.

22 MR. JANICE: What it's going to do is just mean
23 more paperwork in the end, because you'll write a
24 prescription and then you'll have to go back and change the
25 prescription.

1 DR. TSE: But otherwise, what do you do? If you
2 don't have a written sheet, how are you going to convey that
3 information to the purchase agent? He's supposed to go out
4 and buy the sources for you for a certain date.

5 MR. BELLEZZA: You just send him a piece of paper
6 that says that on it, but that piece of paper doesn't go in
7 the patient's chart.

8 MR. WHITE: Sometimes we'll buy two or three
9 hundred iridiums. We'll buy 20 ribbons of iridium and keep
10 them for a month and cut them up as we need them.

11 It's not always for an individual patient. We
12 don't do many implants.

13 DR. TSE: Okay, but then you still want to send a
14 technologist downstairs in the vault to pick up those
15 sources.

16 Like this morning we saw the misadministration
17 where somebody picked up the wrong sources.

18 Will the physician be able to tell right at the
19 time, "This is not what I want because this is the five
20 millicuries"?

21 MR. WHITE: I think those are different kinds of
22 implants. I think what the doctor was suggesting is that
23 there are some implants that you don't know ahead of time
24 what you're going to do.

25 If you're suggesting that any written record of

1 the physician's intent is added to the patient prescription,
2 then saying to deliver 2,000 rads by interstitial boost
3 would be acceptable, I would think.

4 DR. TSE: Right.

5 DR. FELDMEIER: Yes, if something as non-specific
6 as that is okay or a preplan is okay, I think the intent of
7 this is all right, but if you do something like a permanent
8 iodine or permanent gold seed implant, it's a guesstimate.

9 Generally what you do is you make up the
10 difference by -- since this is almost always done in
11 conjunction with external beam radiation therapy, if there's
12 any disparity between what you end up with and what you
13 wanted to give, you can touch it up a bit by adding or
14 subtracting to your external beam radiation therapy plan.

15 MR. WHITE: You will have one misadministration
16 balanced out by the other is the way the inspector will look
17 at it.

18 [Laughter.]

19 MR. SHARP: Misadministration is unintended.

20 DR. TSE: We specifically said that when a
21 physician implants the sources, sometimes -- if you put it
22 in a computer, you can precisely XYZ-1, XYZ-2 and so on,
23 location and how many seeds he needs.

24 But when the physician starts to insert, starts to
25 have the operation insert, you really cannot say, "I'm going

1 to put this exactly in this location."

2 He does the best he can under the circumstances
3 and he doesn't have to worry about whether I'm going to call
4 it NRC misadministration.

5 He should be concentrating on putting the best he
6 can on the sources.

7 If he ordered 30, he used 10, that's fine. That's
8 the best he can do at the time.

9 And if he orders 30 and he wanted 20 more, that's
10 fine, too.

11 All he has to do is later, after he finishes, he will
12 put in his so-called actual load. He can say, "I ordered 30
13 but I only used 15."

14 That's not an intended or not misadministration
15 because of physician's judgment or whatever at the time.
16 That's the best he can do.

17 DR. FELDMEIER: I think the objection is really
18 before administering the byproduct material.

19 It would be much more flexible and I think would
20 prevent some duplication of paperwork exercises if it could
21 be somehow allowed to be broadened to say either before or
22 immediately after an implant procedure is done, the
23 physician will specify the brachytherapy prescription,
24 something like that.

25 DR. TSE: Right, but the problem is whether you

1 will get the right sources you want. That's a problem.

2 You think the technologist or whoever goes down to
3 the vault and picks up some sources for you. You think it's
4 a 15 millicurie each.

5 MR. JANICE: They need a written order.

6 DR. TSE: Right. It not easily can be told that's
7 15 millicurie. If that's the case, that would be fine.

8 DR. FELDMEIER: Well, is that what the word
9 "prescription" here means is that the activity of the
10 isotope you're ordering is what you want to utilize?

11 The problem with "prescription," when you talk
12 about it in medical terms, when you talk about it in terms
13 of a drug or you talk about it in terms of a radionuclide or
14 you talk about it in terms of external beam radiation, it
15 means slightly different things.

16 If a prescription in this case means that you have
17 ordered the activity of the radioisotope that you want -- if
18 I say that I want to get three-millicurie gold seeds and I
19 call up Best Industries and they don't have three-
20 millicurie seeds. They have 3.4-millicuries seeds.

21 Is that a departure from the prescription?

22 DR. TSE: Then you write another one. You just
23 change your prescription.

24 DR. FELDMEIER: Then I do three or four different
25 paperwork exercises for one procedure.

1 MR. WHITE: That's a problem therapy people are
2 going to have with this. I don't want to seem like we're
3 picking nits.

4 That's the problem therapy people are going to
5 have with this concept is that therapy prescriptions are
6 done differently than radionuclide prescriptions.

7 For a large part, most of these procedures and
8 many of the regulations in the Code don't fit clinical
9 practice today.

10 You're saying two different things. You're
11 telling him that, yes, he can prescribe a rad dose ahead of
12 time but, yes, he also has to prescribe a seed activity
13 ahead of time.

14 Those two things are not consistent, I don't
15 think.

16 DR. TSE: No, I think the definition did not say
17 you've got to prescribe both. You can do one or the other.

18 MR. WHITE: If you can do one or the other, you
19 can't make a mistake doing it. If he says 2,000 rads and
20 orders 3.4 millicurie seeds, which of those does he have to
21 change later on?

22 DR. TSE: You mean which one is your prescription?

23 MR. WHITE: Yes, which is the prescription?

24 DR. FELDMEIER: Yes. If this could be modified to
25 say something like before administering the byproduct

1 material, the authorized user or the physician under the
2 supervision of the authorized user will personally select
3 and see to it that the proper activity of the radioisotope
4 is ordered, words to that effect, then I wouldn't have any
5 problem with it.

6 DR. TSE: Let me suggest another case.

7 Some physicians maybe say, "I want 5,000 rads to
8 this particular region or to the contour."

9 So the technologists or dosimetrists take your
10 ordered prescription, go to make a dose calculation.

11 Then he made an error. The error may be by a
12 factor of two.

13 So, therefore, he calculates 24 hours or 48 hours
14 implant but instead of 5,000, he had 10,000 rads, except the
15 calculation shows only 5,000 rads.

16 Now, if the physician says, "This looks good.
17 Let's do it," after finishing it's too late. It becomes
18 misadministration because the person, dosimetrist, made an
19 error, missed it by a factor of two and the patient received
20 twice as much dose.

21 That's a misadministration. Without your
22 prescription, if you don't have a prescription, how would
23 the dosimetrist know what he's going to plan for.

24 So you need some kind of written down information
25 to tell the dosimetrist you plan for 5,000 rads contour.

1 DR. FELDMER: But without doing the plan you
2 don't know what the contours are.

3 There is a big difference between looking at an
4 external beam isodose contour that you can look at at your
5 leisure and you can control and you can modify and you can
6 add a few more seconds of cobalt time, and having a
7 procedure, especially with a permanent implant.

8 With a temporary after-loading implant, you always
9 have the element of time that you can partially control
10 things but if you're going to put a permanent implant of
11 iodine or gold seeds in, even though you have the most
12 wonderful intents and even though you do the most careful
13 preplanning, what you get at the time of the procedure only
14 approximates in most cases what you set out to.

15 For me, if I want to give a prostate 2500 rads and
16 I drop in 50 or 60 millicuries total activity, and instead
17 of getting 2500 rads, I get 2800 rads, it's not a big thing
18 clinically, because I can mop up the difference by modifying
19 the external beam, even though it might be off by well more
20 than a factor of ten percent.

21 I think that with brachytherapy it's going to be
22 very difficult to be rigid in defining this type of
23 prescription prior to the procedure.

24 I think we have to have some flexibility and we
25 have to have the realization that in most cases clinically

1 we can adjust to this very readily by just adjusting the
2 external beam dose.

3 I can get around this because all I have to do is
4 have my physicist do an isodose plan for me and if you're
5 going to hold my feet to the fire and say 2500 rads, I can
6 just pick an isodose curve that gives me 2500 rads.

7 I can go subterfuge the whole process by selecting
8 an isodose curve, even though it's not the one that I would
9 normally pick that I would think would be indicative of the
10 dose that the tumor volume got.

11 MR. TELFORD: You would make a preplan then. You
12 would say --

13 DR. FELDMEIER: If it's a complex implant, we
14 always try to do a preplan.

15 MR. TELFORD: So you would rather do a preplan
16 than a prescription?

17 DR. FELDMEIER: Yes.

18 MR. TELFORD: That's what you're basically saying?

19 DR. FELDMEIER: I think the word preplan is a much
20 less precise word that allows for some flexibility and
21 interpretation.

22 I mean, we're always going to do some sort of a
23 preplan. It might not always be a computer-generated
24 isodose preplan, although in many cases it is.

25 It might not always be that.

1 The Baylor group does a lot of gold seed implant.
2 Although you try to be absolutely as precise as you can, you
3 just can't do it with the precision that we can be held to
4 in external beam radiotherapy.

5 MR. TELFORD: You would specify the treatment
6 site.

7 DR. FELDMEIER: Yes.

8 MR. TELFORD: You would specify the radioisotope.

9 DR. FELDMEIER: Yes.

10 MR. TELFORD: Would you specify the dose, the
11 total dose?

12 DR. FELDMEIER: Not necessarily.

13 MR. TELFORD: Okay. Would you specify --

14 DR. FELDMEIER: Not within ten percent.

15 MR. TELFORD: Would you specify treatment time and
16 number of seeds, then?

17 DR. FELDMEIER: No.

18 MR. TELFORD: Would you do that approximately?

19 DR. FELDMEIER: Yes, but it depends on -- it
20 really hinges on how much leeway you're going to give me
21 with an approximation.

22 MR. BELLEZZA: You might ask for so many seeds.

23 DR. FELDMEIER: It really depends upon the type of
24 implant.

25 MR. TELFORD: You would ask for a certain number

1 of seeds to be brought to you at a certain activity.

2 DR. FELDMEIERS: Yes. I might have 20 brought into
3 the OR and I might use 8 or I might use 12 or I might use
4 15.

5 MR. TELFORD: Okay, we've got it. We understand
6 what you are saying.

7 DR. FELDMEIERS: But that's a permanent implant.
8 Now, with the temporary implant, you can control
9 the element of time.

10 The thing is, if I do a perineal template and I
11 have maybe 50 holes that I can push needles through but the
12 patient's anatomy gets in the way and I can't drive through
13 bone.

14 So if it's a lady with a large cervical tumor that
15 I want to implant from sidewall to sidewall, I put as many
16 needles as I can.

17 But I don't have any way of telling anybody
18 beforehand how many needles I'm going to use.

19 I am going to specify the activity of the iridium
20 and how many iridium seeds per ribbon but I have no way of
21 telling you how many ribbons I'm going to use because I
22 don't know how many needles I'm going to be able to insert.

23 MR. TELFORD: I think what we're searching for is
24 some sort of written directive so that exactly what you
25 asked for is brought to you in the OR.

1 DR. FELDMEIERS: I understand. The problem I have
2 is with the term "prescription," because I think
3 prescription has a fairly precise connotation.

4 If you say a preplan or a range of dose or --

5 MR. BELLEZZA: What they're describing I wouldn't
6 even call a preplan. It would be more of a requisition, an
7 isotope requisition to the curator.

8 MR. TELFORD: I think that's a misdirection, in my
9 opinion. I think preplan is very close to the mark.

10 We've heard about this word prescription here in
11 other workshops as being a little too tight.

12 Let us take this under advisement. What sounds
13 very good is the description of a preplan where you talk
14 about the site and the radioisotope and the number of seeds
15 that you want and specific activities, because we want to
16 get those to the OR exactly as directed.

17 We want a written directive from the nuclear
18 physician that says, "This is what I want."

19 MR. BELLEZZA: To the isotope curator who is going
20 to deliver the isotope.

21 MR. TELFORD: No, no. Forget where it comes from.
22 Forget where it comes from.

23 DR. FELDMEIERS: You're just saying there needs to
24 be a written record.

25 MR. TELFORD: A written directive that says,

1 "Bring these to the OR."

2 MR. BELLEZZA: Where does that piece of paper
3 ultimately have to wind up?

4 MR. TELFORD: Anywhere where it needs to go. I
5 don't care.

6 MR. BELLEZZA: It doesn't have to be in the
7 patient's chart. It could be in the isotope --

8 MR. TELFORD: All we need is a written directive
9 that anybody who needs to look at it can follow.

10 MR. SHARP: That can check against what happened.

11 DR. TSE: It doesn't have to be in the patient's
12 chart.

13 MR. SHARP: As a brief end point of this, I hope,
14 what kind of tolerances would you expect to be successful
15 with a preplan?

16 DR. FELDMEIER: It really depends. If you're
17 talking about iridium, you can be pretty precise. A hundred
18 rads, perhaps, out of --

19 MR. SHARP: No. Permanent?

20 DR. FELDMEIER: With permanent, it can vary quite
21 a bit. You can vary a thousand rads pretty easily.

22 MR. SHARP: Fifty percent?

23 DR. FELDMEIER: Fifty percent would be -- I would
24 hope that we could stay within 50 percent tolerance.

25 DR. TSE: But in any case, the guide is

1 structured, supposed to be the intention to permit a
2 physician to have that flexibility.

3 Maybe the word "prescription," in your view, has
4 too specific connotation in the medical field.

5 DR. FELDMEIERS: Yes, right.

6 DR. TSE: John suggests different words or perhaps
7 we can use "written directive," or something, which still is
8 a written kind of order for those sources that's needed such
9 that the people who take the sources to the OR know what to
10 do.

11 MR. TELFORD: Let's see if we can get through
12 Section 4 here and then maybe take a break.

13 DR. TSE: Okay. And 4.3 is somebody to verify the
14 sources are the ones which the doctor has required.

15 4.4 is a change. If the physician needs to change
16 something, they can change their prescription without
17 limitations.

18 4.5, do you have a problem with 4.5?

19 DR. WIATROWSKI: Yes, for templates we normally
20 don't --

21 DR. TSE: Right. I think we already -- we just
22 did not put that the common sources. It should be common
23 sources in there, also, with that.

24 DR. WIATROWSKI: Yes, but for the template
25 implants, you take the template pattern that's built into

1 the computer program.

2 You don't need the radiograph type to generate the
3 isodose lines.

4 You may want to verify that the needles are
5 approximately parallel but the actual dosimetry is not done
6 off the radiograph in the template, such as a prostate that
7 John was talking about a few moments ago.

8 DR. TSE: I see, but then you still need a
9 radiograph to show that --

10 DR. WIATROWSKI: Yes, but it does not form the
11 basis of dosimetry.

12 DR. TSE: Does not form the basis, okay.

13 MR. WHITE: The same thing for GYN after loading.
14 We put in dummy sources, take films and then load
15 the application in the patient's room. Is that unusual or
16 do other people do that?

17 VOICES: No, not at all. That's standard.

18 MR. WHITE: So we would fail to meet that and in
19 fact I would think there would be a decline in radiation
20 safety if we -- I would normally forbid this particular
21 thing to be done in my hospital for most of our implants.

22 DR. FELDMER: What you're saying is rather than
23 reading "after implanting the brachytherapy sources," we
24 ought to say something like, "after installing the
25 appliance," or in the case of a permanent implant,

1 "implanting the brachytherapy sources," because many --

2 MR. WHITE: Why not leave out the first phrase?

3 MR. SHARP: Why not just say "dosimetry
4 radiograph"?

5 MR. WHITE: Just say "radiographs will be
6 obtained."

7 DR. FELDMEIER: Just take out that "after
8 implanting the brachytherapy sources."

9 With an after-loading system, whether it's a
10 fletcher suite or iridium template or something like that,
11 you don't take the localizing radiographs with a hot isotope
12 in place.

13 The appliance is in place but the isotope is not.

14 DR. TSE: Does the appliance include a dummy
15 source?

16 MR. BOLLING: May or may not.

17 MR. SHARP: Some are visible without it.

18 DR. TSE: Okay. Then 4.6, that's essentially what
19 Dr. Feldmeier is talking about, that you could change a
20 prescription after the implant. Update your prescription
21 after the implant.

22 4.7 is record of administered dose. It's the same
23 as Section 3.

24 Next page. 4.8 is a doublecheck of the
25 calculations of computer program inputs before 50 percent of

1 the prescribed dose has been administered.

2 Anybody have any questions on this?

3 DR. WIATROWSKI: I guess that would be almost
4 irrelevant in a permanent implant, since you're not going to
5 go back in after the sources anyway.

6 DR. FELDMEIERS: It's actually pretty liberal for
7 Iodine-125 because that gives you 60 days to do it.

8 DR. TSE: That's for permanent implant.

9 Any other questions?

10 MR. WHITE: I have a question about the
11 misadministration.

12 DR. TSE: Yes.

13 MR. WHITE: In the actual regulation it talks
14 about a 20 percent window for acceptability.

15 For some brachytherapy placements where the
16 reference point is quite close to the --

17 DR. TSE: Excuse me. John is signaling.

18 MR. TELFORD: Go ahead and ask your question, but
19 that's the next workshop.

20 MR. WHITE: Okay.

21 DR. TSE: I'm going to hand out a proposed
22 regulation, which includes the misadministration provision
23 of administration to you all before today's workshop is
24 over.

25 The intention is that today we are concentrating

1 on the quality assurance aspect.

2 The other, when you have a chance to read it,
3 think about it, when you come back next time, we'll have
4 more time, two days.

5 Then you can offer your comments. Those comments
6 will be in the record and will be part of the public
7 comment. We still would consider those comments for
8 formulating the final.

9 Any more questions?

10 [No response.]

11 DR. TSE: Then 4.9 is an emergency case. We say
12 in an emergency case, you just go ahead and do it first
13 without worrying about checking and doublechecking of a dose
14 calculation, but you need to do it after two days of the
15 completion of the treatment.

16 Any questions?

17 [No response.]

18 DR. TSE: Ten minutes break and then we'll come
19 back and finish the teletherapy.

20 [Recess taken.]

21 DR. TSE: Let's resume.

22 We now go to Page 8, Item 5 for teletherapy. 5.1,
23 5.2, 5.3 and 5.4 are the same as previously in the
24 brachytherapy.

25 5.5 is a weekly check. That's because of the

1 different fractions involved. This is a special item in the
2 teletherapy.

3 5.6 is a calculation doublechecking the
4 calculation again.

5 MR. JANICE: On your 5.5, who actually does the
6 weekly check?

7 DR. TSE: I think we did not say who. It's
8 without saying it has to be a qualified person, people who
9 can add or who can detect an error.

10 Maybe a technologist, maybe a dosimetrist, maybe a
11 physicist or a physician.

12 Any other questions on this page?

13 [No response.]

14 DR. TSE: Then go to Page 9. This first portion,
15 also, the part of the doublecheck procedures.

16 5.7 is different. 5.7 says after full calibration
17 measurements you should do an independent check.

18 This full calibration measurement does not include
19 annual measurement. The annual measurement can be checked
20 against the decay but if you change a source or there's some
21 problem with the spot check, then you need to check the --
22 independent check of the calibration.

23 It can be done in two ways and 5.7.2 is either by
24 an independent person with independent instrumentation or by
25 a TOD, like M.D. Anderson kind of check.

1 Anybody have a problem with this one?

2 [No response.]

3 DR. TSE: It's okay? Okay.

4 [Laughter.]

5 DR. TSE: Then 5.8 is another item related to
6 transmission factor.

7 This item says that the annual full calibration
8 measurement should include the transmission factor for beam
9 modifying devices.

10 During the year something could happen, that
11 pieces could drop and so on. The transmission factor may be
12 modified or changed.

13 An annual full calibration to check it and make
14 sure they are correct.

15 Any problem with that? Physicists?

16 MR. BELLEZZA: Does, for instance, blocks,
17 transmission factors -- you're just looking at your complete
18 block library and picking out a representative sample of
19 blocks and checking transmission factors for them, or do you
20 have to get really picky and start going through every
21 single block?

22 DR. TSE: What would you think?

23 MR. BELLEZZA: Hopefully, just a representative
24 sample.

25 DR. TSE: How about wedge?

1 MR. BELLEZZA: Wedges can shift on the device that
2 they're mounted on but I would not expect a blocked
3 transmission to change.

4 DR. TSE: To change that much, right.

5 MR. BELLEZZA: Normally, I wouldn't check that
6 transmission at all on any block.

7 DR. WIATROWSKI: That's right.

8 MR. BELLEZZA: So I'm not sure why I'm doing it in
9 the first place, if I'm not doing it all?

10 DR. TSE: Maybe not necessary?

11 MR. BELLEZZA: Yes.

12 DR. TSE: Maybe that's a chance for you to say so,
13 if you want to make it your evaluation and say for whatever
14 the reason, blocks are not necessary to be measured.

15 Do you measure them when you purchase them? Maybe
16 you want to make a suggestion like that.

17 However, would you think that wedge should be
18 modified -- sorry -- should be measured every year?

19 VOICES: Yes.

20 DR. TSE: Okay. This pilot program is a chance
21 for you to make suggestions of the proper way of doing this.

22 Any other questions on 5.8?

23 [No response.]

24 DR. TSE: 5.9 is if for some field size and you
25 have not measured it before and you have to use it, you

1 should measure, also, but it gives a 25 percent dose time.

2 So you could start doing that but then later you
3 need to measure it.

4 Does anybody have a problem or a question?
5 Please.

6 MR. WHITE: I guess I'm not sure about the intent
7 of 5.9 when it says "measurement of the output."

8 Can you give me an example of what you had in mind
9 for that?

10 DR. TSE: What's a measurement of output in rads?

11 MR. WHITE: No, give me an example of when you
12 would want to do the 5.9 task.

13 DR. TSE: For example, you have a certain field
14 size. You measure certain field size during your annual
15 calibration.

16 If you need to use a field size which is outside,
17 not inside -- suppose you measure from this mini amount to
18 this maxi amount during the annual calibration.

19 Suppose you want to use some field size outside
20 that range. Now you need to measure that again before 25
21 percent dose is given.

22 DR. WIATROWSKI: I think realistically it would
23 only be for extended treatment distances, like for TBI, for
24 total body irradiations.

25 That's the only time, because normally you

1 wouldn't measure your output as a function of field size out
2 to the largest field size of the machine at isocenter or
3 SAD.

4 So the only time that this would come into play, I
5 think, is if you're doing a whole body irradiation against
6 the wall or you have some other strange extended treatment

7 It would be rare and many institutions wouldn't
8 even do those sorts of procedures.

9 DR. TSE: But if you've already measured those
10 distances during annual calibrations, then you don't have to
11 worry.

12 But if you never did it, you should do it.

13 Do you have a problem with that?

14 MR. WHITE: No.

15 DR. TSE: Anybody else?

16 [No response.]

17 DR. TSE: 5.10 is a computer program. John this
18 morning mentioned that the hospital cannot change their
19 computer program after they change the source.

20 Here the item says that if you use first time your
21 computer program or after you change a source or some
22 modification of your machine, you need to do a calculation
23 under certain conditions and then make a measurements in the
24 same conditions and then compare the two.

25 They should be pretty close.

1 Just to verify that the computer program
2 coincides, that the calculation made by the computer program
3 matches the measurement.

4 That's the intent. Anybody have a problem or have
5 question?/?

6 DR. WIATROWSKI: This is awful detailed. It makes
7 me feel real uncomfortable with this.

8 MR. HAIDER: I do have a question on number two.
9 You want to check against a phantom measurement, in a field
10 to do that at the greatest angle in water, at a 45-degree
11 angle.

12 First of all, how am I going to measure at a 45-
13 degree angle in water with a wedge and without a wedge.

14 DR. TSE: Okay. Let me put it this way.

15 MR. HAIDER: And what is the intent to it?

16 DR. TSE: Let me put it this way. The suggestion
17 of this one, two, three, all these examples of conditions
18 were suggested by some oncologists and ACR's and so on.

19 We have the same kind of questions from the
20 earlier workshops.

21 My response is that the intent is to measure,
22 check the measurement versus calculations. How should we do
23 it?

24 Some people suggest that we use that. You are the
25 oncologist. What do you think of to suggest?

1 Or everybody else, maybe you can give us some
2 suggestion.

3 DR. FELDMEIER: What do the physicists say?

4 DR. TSE: Physicists say that they don't
5 understand.

6 [Laughter.]

7 DR. TSE: Do our oncologist friends understand?

8 DR. FELDMEIER: I'm not sure I understand the
9 intent of this.

10 DR. TSE: Let's do it this way. If you think this
11 is a good item, you may not even do it, because you may not
12 even have a new program or so on.

13 MR. HAIDER: I don't know how to do it. I need to
14 learn how to do it at 45-degree angle coming in and have a
15 water phantom without being tilted and worrying about water
16 falling.

17 DR. FELDMEIER: If you have a real narrow phantom,
18 you've got to think practical if you're taking these
19 measurements. This is not real practical.

20 DR. TSE: How do we change this such that we will
21 fulfill our intent?

22 DR. WIATROWSKI: We're not even sure this is
23 required yet. Obviously, some check against the computer's
24 generation of isodose lines against the actual radiation
25 pattern is important but, clearly, this is so detailed.

1 I think if you referred this to the AAPM, for
2 example, they wouldn't agree necessarily that this is at all
3 essential.

4 So my judgment is, this is not all essential.

5 DR. TSE: Right, but what suggestions can you make
6 such that the check can be made?

7 DR. WIATROWSKI: A different kind of check.

8 DR. TSE: Right.

9 MR. TELFORD: The situation is you have got a new
10 computer program or you've made modifications to a computer
11 program or, secondly, you've just changed source.

12 I told you about the Cumberland event this
13 morning.

14 This is an attempt to say here are some checks you
15 can do that you can --

16 DR. WIATROWSKI: I agree with the intent. There's
17 no question about the intent. Most people do that and most
18 Board-certified physicists do that.

19 The question is, these particular suggestions I
20 don't think came from a physicist because I don't know
21 anybody who does this.

22 MR. TELFORD: It won't bother me a bit if you say
23 this is overkill.

24 What I would like to hear is what you would do in
25 your institution or what you would recommend to your clients

1 that they do for an overcheck so that, number one, you're
2 checking out the machine and, number two, you're checking
3 out the ability to deliver a dose using the wedge and,
4 number three, you've got some sort of hard case.

5 The basic question is, are you getting what you're
6 supposed to be getting. That's really the intent.

7 So if you could give us some suggestions of what
8 you would do, we'd greatly appreciate it.

9 MR. SHARP: On the first one, is that an attempt
10 to be a check on the unit or the computer program?

11 DR. TSE: I think this Item 5.10 is a check of the
12 computer program because the unit has been checked under
13 full calibration.

14 MR. SHARP: Then why eight angles? What are you
15 looking for?

16 DR. TSE: I said that's a suggestion from certain
17 people. I'm not sure. I really do not understand myself,
18 because I'm a nuclear engineer instead of a physicist.

19 It's your suggestion. We want to listen to see
20 how we can modify to be more understandable and practical.

21 MR. SHARP: I think if we knew what the agenda was
22 behind these, the suggestions would be more to the point.

23 DR. TSE: I don't think I understand it. Maybe
24 Dr. Feldmeier could --

25 DR. FELDMEIER: No, I don't.

1 MR. WHITE: I would fear that my question -- I'll
2 go back to both 5.9 and 5.10.

3 DR. TSE: Yes.

4 MR. WHITE: I asked about 5.9 a minute ago.

5 I now find myself in the unusual position of
6 suggesting that these two things, rather than being too
7 detailed, are probably woefully inadequate to assure the
8 functions that I think the NRC is trying to assure.

9 If someone purchased, for example, a new treatment
10 planning system, the items outlined in 5.10 would, I think,
11 be trivial and/or irrelevant tests of the treatment planning
12 computer's function.

13 In 5.9 the output measurement for even moderately
14 sophisticated blocking devices or demodifiers is really not
15 nearly as important as the depth of those characteristics
16 and profile and all sorts of other things like that.

17 The down side of these is that people may read
18 these, buy a treatment planning computer, and say, "Oh,
19 gosh, I've checked my wedge at four different angles."

20 In fact, that's not something that the computer is
21 even sensitive to in many cases. They think they have done
22 something that's going to guarantee patient safety.

23 You want to have regulations that guarantee
24 patient safety.

25 On the other hand, you don't want to have

1 regulations that give the appearance of providing adequate
2 level of medical care.

3 You've written in two whole paragraphs here things
4 that if you read the medical physics literature occupy, I'm
5 just going to guess, two, three, four or five hundred pages
6 of effort of different people.

7 I would question whether this is a task that can
8 be outlined in this kind of document.

9 DR. TSE: To respond to your question, first, the
10 intention of 5.10 is just that, to check the computer
11 program to your output, whether they are in certain
12 conditions of geometry such that they match each other.

13 The detailed software check is not included in
14 here. That will be in what we call the basic quality
15 assurance and, therefore, it's only the most important
16 things.

17 The other detailed checks will be addressed
18 elsewhere.

19 MR. WHITE: Just that the output of the cobalt
20 source is properly --

21 DR. TSE: No, that the computer calculation
22 results matches the output of your cobalt unit.

23 MR. WHITE: When you say "output," that's the
24 thing that I find confusing.

25 When I prepare a treatment plan for a physician,

1 it's an isodose plot that contains somewhere between 1,000
2 and 4,000 separate dose points.

3 We like to think that most of those are reasonably
4 correct.

5 What this is suggesting is that we choose one
6 point on the central axis under a wedge.

7 DR. TSE: Right.

8 MR. WHITE: Is that right?

9 DR. TSE: That's it, because the intention of this
10 is trying to avoid the problem generated in the Maryland
11 case.

12 MR. SHARP: But what he's saying is, you can have
13 an equally severe error if --

14 MR. WHITE: The Maryland case could have been
15 prevented by somebody checking a ten-by-ten field.

16 DR. TSE: Maybe.

17 MR. WHITE: If that's your goal, that's clearly
18 the thing to do.

19 DR. TSE: Right, but if you did detailed computer
20 software, it's much more complicated than this.

21 That's not addressed. I think AAPM and some other
22 people have those, but it is not addressed here. Here is to
23 address to avoid those human errors.

24 DR. WIATROWSKI: Why don't you request a
25 suggestion from the AAPM? They would be the appropriate

1 people to go to.

2 We're talking about people who have protocols that
3 we all use in practice.

4 DR. TSE: We have discussed it with Dr. Tong and
5 Nash but they have not yet made suggestions on this.

6 DR. WIATROWSKI: You need to talk to Dr.
7 Fullerton, who is president of the AAPM in San Antonio.

8 Their suggestion, I think, would be appropriate.

9 DR. TSE: Yes, we'll work on it. Your suggestions
10 are important, too, because you are a practical physicist.

11 You know the intent. How do we get to the intent?

12 DR. WIATROWSKI: Well, I don't want to speak for
13 my fellow physicists.

14 I think the appropriate thing to do is to go to
15 the professional organization, because there are existing
16 protocols.

17 What you need to do is reference the existing
18 protocols and get rid of this, is what you need to do.

19 Go to the president of the AAPM and say, "I need
20 some help to get this done. This is important to all of the
21 physicists."

22 So let me make that as a suggestion for the
23 project.

24 DR. TSE: Okay. Any other problems on this or
25 questions?

1 Yes.

2 MR. BELLEZZA: Just a question on the wording of
3 No. 1 on 5.10, "an open field in air."

4 I'm not sure what that means.

5 DR. TSE: We already said that.

6 You can make a good suggestion yourself when you
7 provide evaluation and, also, the suggestion made here for
8 us to talk to AAPM and try to modify these to be more
9 appropriate.

10 MR. BELLEZZA: I guess I don't understand
11 what --

12 MR. TELFORD: You're saying it should have been
13 water?

14 MR. BELLEZZA: The sentence makes no sense at all.

15 DR. WIATROWSKI: We usually don't calculate dose
16 to air. I don't know what they're getting at. I have no
17 idea what that is. It's nonsensical to a physicist.

18 DR. TSE: All right. We understand your concerns.
19 I don't think I will be able to answer the question here, so
20 we will check with AAPM.

21 MR. SHARP: Would you suggest, then, that they
22 simply propose their own alternative for the pilot study?

23 DR. TSE: Oh, yes. If you want to, you can
24 propose your own suggestion how to meet the intent, how to
25 best in your case to meet it.

1 MR. BELLEZZA: Just sort of an over-all question.

2 DR. TSE: Yes.

3 MR. BELLEZZA: During the pilot study, do you
4 necessarily want us to go through all of this calibration if
5 it's normally not on our regular schedule during the 60-day
6 period?

7 DR. TSE: No, I don't think so.

8 But if you happen to have these scenes, like you
9 change your source, maybe you want to try it out on these.
10 Then you can give us your experience.

11 If nobody tries it, then we still are thinking
12 process and do not have actual experience.

13 So if somebody wants to try it, please do, but we
14 will not request you to purposely create a situation to try
15 this.

16 5.11, again, is emergency exemption similar to the
17 brachytherapy case.

18 The "Implementation" essentially says that this is
19 guidance, repeats the guidance again.

20 Anybody have any over-all or any other comments,
21 questions, suggestions on the guide?

22 [No response.]

23 DR. TSE: Okay, John.

24 MR. TELFORD: Thank you, Dr. Tse.

25 I'm referring to the agenda. We've come to the

1 point on the agenda where we would like to review the
2 schedule of future activities.

3 There's a couple of points that I would like to
4 remind you of.

5 During the pilot program the only records that
6 we'd like to ask you to keep are those that you saw up here
7 on the evaluation form.

8 That is, how many patients did you treat; what
9 instructions went with this patient; keep the prescription
10 or keep the referral or whatever directive that you're
11 using; keep the record of the administered dose or dosage so
12 that there can be a comparison.

13 Have your clinical procedures manual so that if
14 you're one of the 18, we can look at it.

15 The pilot program, the actual 60-day period, will
16 be from May 14 to July 13.

17 Anybody have a problem with that? We can all do
18 that?

19 [No response.]

20 MR. TELFORD: So the clock starts May 14 on the 60
21 days.

22 You implement your modified program and try it out
23 for 60 days. The 60 days is over July 13.

24 Now, July 13 to July 31, we'll ask you to do the
25 evaluation prior to the next workshop.

1 The next workshop will be two days. It will
2 hopefully be during the month of August.

3 We will try to avoid conflicts with all society
4 meetings. It will be back here in Dallas for this meeting.
5 Ed, you had your hand up.

6 DR. KAPLAN: May 7.

7 MR. TELFORD: Oh, okay. The letter that you
8 received initially from Brookhaven and the reminder letter
9 that you got probably this last week asked you to bring a
10 copy of your quality assurance program to this meeting, to
11 this workshop.

12 If you didn't do that, we would ask you that if
13 you need to make some changes to your program or you need to
14 go back and do one now, then go do it and send us a copy no
15 later than May 7.

16 The reason for May 7 is that is your program is
17 one of the 18, our QA team will be scrambling like mad to
18 review those programs in depth before they come to your
19 location, to your site.

20 So they need a couple of weeks to go through those
21 18 programs.

22 MR. GOMEZ: Where do we send the program?

23 MR. TELFORD: Ed.

24 DR. KAPLAN: I will see you later.

25 MR. TELFORD: Please send your copy of your

1 program.

2 If your program is sort of many pages and if
3 you're pulling together parts from one procedure manual or
4 forms you use or other descriptions, then we would greatly
5 appreciate this one-page outline that just kind of directs
6 traffic.

7 It says, "For this Objective No. 2, go over here
8 to these sections. For Objective 3, go over to these
9 sections."

10 So that would just expedite our review.

11 So I've touched on May 7, May 14 to July 13, and
12 July 31.

13 By then you have filled out your evaluation so
14 that you're ready for the next workshop.

15 Did I miss any dates? Anybody have any questions
16 about those dates or anything else?

17 MR. SHARP: For those states where you're going to
18 do the followup, will you inform them at least two or three
19 weeks in advance if they're going to accompany?

20 MR. TELFORD: You mean the site visits?

21 MR. SHARP: Site visits.

22 MR. TELFORD: We will notify the state of the fact
23 that we've chosen Licensee A in Texas as soon as we know it.

24 MS. WALKER: Will you notify just the state or
25 will you also notify the victim?

1 [Laughter.]

2 MR. TELFORD: Notify the licensee?

3 MS. WALKER: The person you are coming to.

4 MR. TELFORD: Oh, definitely. Definitely.

5 In the words of one of the QA team members, we'd
6 like to give that person as much advance notice as we can.

7 We don't want any surprises here. We will
8 definitely notify you.

9 There will be six agreement state licensees. I
10 can almost guarantee there will be one from Texas.

11 Any other questions? Oh, yes.

12 We'll hand out the copies of the Federal Register
13 notice and the guide.

14 The purpose of handing you this is because
15 contained in this publication are the recordkeeping and
16 reporting requirements for diagnostics and therapy
17 procedures.

18 That's not really a subject of your trial program
19 or the pilot program.

20 However, come final rule time, I would really like
21 to know how to improve those reporting requirements.

22 Every time I gave this talk last year, most of the
23 smoke and the heat and the fire came from those reporting
24 requirements.

25 So I absolutely know that you're going to have

1 really good suggestions for how to fix those things, because
2 that's probably something you won't really like a lot.

3 So that's why I'm giving this to you.

4 At the next workshop we'll have a block of time
5 and we'll talk about those things and how to fix it.

6 That was your question about 20 percent for
7 brachytherapy. Since we have experts here, they can tell us
8 a better number.

9 Any other questions?

10 [No response.]

11 MR. TELFORD: What we're going to do last is the
12 concluding remarks.

13 So I just go around the table again and let
14 everybody have five or ten minutes, if that's what they
15 want, or one minute, if that's what they want.

16 Before we start, I would like to say that I than..
17 you very much for coming.

18 I think we're going to enjoy this. I think we're
19 going to learn a lot.

20 I really, really appreciate your participation.

21 You're going to find out that at the next
22 workshop, that we're going to listen very carefully and
23 we're going to try to adopt your suggestions, and that's why
24 your participation is so important, that we can develop a
25 record of what you think is the optimum program that has

1 minimum impact to your institution but yet meets the intent
2 of what we're trying to do.

3 So, again, thank you. I'll let everybody talk and
4 I'll start right over here.

5 MR. LOPEZ: Just to reiterate the fact that we
6 always hear gripes about the regulations after they have
7 been published and this is the time to do it before that.

8 I hope that everybody takes advantage of the
9 opportunity.

10 MR. DADARI: I don't have basically any remarks.
11 I'm hoping to digest the whole thing in the next month or
12 so.

13 Basically we have everything in place, I would say
14 99.9 percent, but it needs a little bit of attention to
15 bring it together and evaluate to see what's going to be a
16 benefit to the patient in the situation.

17 Our particular situation, kind of a rural
18 hospital, it's going to be a little different.

19 MR. HAIDER: I think it's a really great program
20 but a lot of confrontation could be avoided if we somewhere
21 put the word "commonsense applied," and that would have
22 taken a lot of argument out.

23 But it's great. Appreciate the NRC for looking
24 into it.

25 MS. WOOD: I'd have to sort of reiterate that.

1 It's nice to have a say-so in what happens to us.

2 MR. WHITE: Yes. I think most of the things that
3 you've talked about today are good ideas.

4 It will be interesting to see if the program shows
5 that they also get to be regulations and I think it's really
6 impressive.

7 Being able to participate in this is really a nice
8 thing and I think that clearly on the professional side,
9 those of us who are physicists need to find out why the
10 AAPM, again, seems to be asleep at the wheel and if we can
11 get them to put in some substantial input into these
12 regulations, which apparently you have asked for and not
13 received.

14 MR. GOMEZ: Let me say that this is a very good
15 program and for me this is a good compliment for any
16 radiation safety program.

17 I think that the most important is getting the
18 instructions to the people, to the physician, to the
19 technologist and to the managing people in order that
20 everybody will help to implement the program.

21 I have found out that anything which will put more
22 work on the people, they will not collaborate on.

23 Implementing this program, they will have much
24 more work to do.

25 MR. BELLEZZA: I second what's already been said.

1 I personally find it enlightening to see how the
2 process of developing regulations goes on. It's been a nice
3 experience.

4 MR. SHAFFER: I guess I'd just like to say I
5 appreciate the opportunity to participate in the program and
6 I think our Medical Center, after going through the
7 exercise, will be one step ahead of the others who get the
8 actual regulations, in that we will already know what to
9 expect.

10 I appreciate being able to participate.

11 MR. JANICE: Did you hear the one about -- No, I
12 don't have anything to add.

13 MS. WALKER: No comment.

14 DR. TSE: I want to thank you for coming and
15 giving us a comment and I'll expect to see more comments
16 next time when we have the second workshop.

17 DR. KAPLAN: I'd like to reiterate that I'm really
18 impressed with the professionalism displayed by joining into
19 the program, especially taking the opportunity to
20 participate before something becomes finalized.

21 This is a good opportunity for some of you to
22 update your formalized QA programs.

23 To make our life simpler, really, that road map
24 that John talked about is very important to us.

25 If you have a QA plan, I'll take it today. If you

1 don't have one or if you want to wait until you formulate
2 this road map, that's okay, too.

3 Remember, May 7 is the cut-off date so that we can
4 get started right away.

5 One other thing, if you could also let us know
6 what departments are participating, it would be very useful.

7 Thank you.

8 MR. BOLLING: I would also like to thank all of
9 the volunteers for participating and I would like to say
10 that your participation in this rulemaking I hope would
11 extend to your participating in rulemaking in your states,
12 because in many cases there is an opportunity for the public
13 to review and comment on regulations before they go into
14 effect.

15 Quite often the states will have the equivalent of
16 what we have in the Federal Register. They'll have some
17 kind of a state record which announces regulations that are
18 coming up for revision or to be implemented.

19 It's typically a 90-day period and if you'd scan
20 those records from time to time or maybe call your State Rad
21 Control Office and ask if there are any new regs coming down
22 the road, you might be able to get some input and have some
23 regulations that you can live with.

24 DR. PICCONE: I'd just like to reiterate as well
25 the time that you've spent today and more so the time you

1 are going to spend in the next three months in this effort
2 to help us in this rulemaking.

3 As the first gentleman pointed out to you, it is
4 just so much easier to use your comments and your
5 suggestions -- to take them into account on the front end
6 of the rulemaking than it is to try to make changes once the
7 rule has become final.

8 I'm looking forward to reviewing some of your
9 programs and visiting your facilities as well.

10 MR. LEE: I'd like to just thank the Government
11 the opportunity in letting rural America participate in this
12 rulemaking.

13 Anybody can see the major hospitals and the big
14 universities getting a hand into this and I'm from a small
15 hospital and several of the others here are from small areas
16 and I appreciate the opportunity to participate.

17 MR. DESAI: I think this is a great idea. We all
18 do basically everything that is listed on this list in
19 pieces and bits.

20 This is going to be a good comprehensive program,
21 I think, and I really appreciate and thanks for inviting me
22 here. Thank you.

23 MR. HAMMOND: I think I just wanted to reiterate
24 that I think this is an excellent idea for the pilot
25 program, as opposed to having regulations handed to us on a

1 platter or what was proposed in 1987.

2 It definitely gives us an opportunity to have a
3 hand in our future and to interact proactively to decide
4 what's going to happen to us.

5 A lot of us probably have some of these things
6 already in place. We do.

7 For us in the mobile business, it's going to be
8 really unique to try and figure out a way to get the client
9 hospitals to participate in this program where we can't be
10 on site to make sure they do but we'll be charged with their
11 compliance as their contractor.

12 MR. SHARP: I don't think I have anything to add,
13 except that the meeting has been unusually productive for
14 this many groups put together and I think it's a real good
15 beginning.

16 MS. RUDOLF: I just have one comment about the
17 objectives.

18 At our facility the physics staff and dosimetry
19 staff spend quite a bit of time chasing down prescriptions
20 and we were thankful to see this coming along because
21 perhaps this may save us a bit of time.

22 DR. FELDMEIER: I agree with everything that's
23 been said generally. I think it's a good concept to be able
24 to interact with this prospectively and have some impact on
25 the regulations.

1 The only thing I might suggest is when you have
2 your post-trial meeting, that you might want to, rather than
3 regionally divide it up, perhaps divide it up along
4 specialties and maybe it might lead to a bit more productive
5 input if you had several nuclear medicine physicians and
6 several physicists coming from the same perspective.

7 I think one of your intents is to have a
8 manageable group, which I think you need to have but I think
9 if you had -- and I'm prejudiced -- several radiation
10 oncologists, you might have a more productive interaction in
11 that situation.

12 DR. WIATROWSKI: I think maybe the only comment I
13 would make is to reiterate what I said before.

14 If you're going to include specific technical
15 requirements in a Regulatory Guide that are related to the
16 radiotherapy physics, then I think you need to solicit the
17 input from the appropriate professional societies.

18 MR. TELFORD: I would like to respond to that
19 comment. We have issued requests or invitations, if you
20 will, in public several times to every medical society,
21 AAPM, ACNP, SNM, the whole alphabet soup.

22 If there exists a medical society that wants to
23 discuss this rule and this guide, we will do it.

24 You name the place; you name the time; we'll be
25 there.

1 Again, thank you.

2 Meeting adjourned.

3 [Whereupon, at 3:56 p.m., the workshop was
4 concluded.]

5 - - -

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

REPORTER'S CERTIFICATE

This is to certify that the attached proceedings before the United States Nuclear Regulatory Commission in the matter of:

NAME OF PROCEEDING: Pilot Program Objectives (35.35)

DOCKET NUMBER: None

PLACE OF PROCEEDING: Irving, Texas

were held as herein appears, and that this is the original transcript thereof for the file of the United States Nuclear Regulatory Commission taken by me and thereafter reduced to typewriting by me or under the direction of the court reporting company, and that the transcript is a true and accurate record of the foregoing proceedings.

Betty Morgan

BETTY MORGAN
Official Reporter
Ann Riley & Associates, Ltd.

