

# OFFICIAL TRANSCRIPT OF PROCEEDINGS

Agency: Nuclear Regulatory Commission

Title: Meeting: NRC Quality Assurance  
for Medical Use - Pilot Program  
Workshop

Docket No.

LOCATION: San Francisco, California

DATE: Friday, April 20, 1990

PAGES: 1 - 154

ANN RILEY & ASSOCIATES, LTD.

1612 K St. N.W., Suite 300

Washington, D.C. 20006

(202) 293-3950

9012110337 901205  
PDR PR  
35 MISC PNU



1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

UNITED STATES OF AMERICA  
NUCLEAR REGULATORY COMMISSION

- - - - -

MEETING: NRC QUALITY ASSURANCE  
FOR MEDICAL USE - PILOT PROGRAM  
WORKSHOP

- - - - -

Days Inn  
777 Airport Boulevard  
San Francisco, California

- - - - -

Friday, April 20, 1990  
9:20 o'clock a.m.

- - - - -

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

ATTENDEES:

- Joseph Hellman, Madigan Army Medical Center
- Donna Marciano, UCLA Medical Center
- Donald Bunn, CA Department of Health
- Elbert Huen, Coastal Radiation Oncology
- Sandra Sulloway, San Joaquin General Hospital
- Anthony Tse, NRC
- Edward Kaplan, Brookhaven National Laboratory
- Jack Hornor, State Agreements Office, NRC Region V
- Darrel Wiedeman, NRC Region III
- Jim Montgomery, NRC Region V
- Terry Frazee, State of Washington, Radiation  
Protection
- Dean Chaney, NRC Region V
- Beth Riedlinger, NRC Region V

## P R O C E E D I N G S

[9:20 a.m.]

1  
2  
3 MR. TELFORD: Good morning. My name is John  
4 Telford. I'm from the NRC in Rockville, at Headquarters.  
5 I'm the guy that's responsible for this rulemaking, and a  
6 few others that you may have heard of.

7 We're here today to talk about the pilot program  
8 and by the end of the day, I hope that you all understand  
9 what it's all about, what everybody's role happens to be.

10 We usually start out these workshops by letting  
11 everyone introduce themselves. That's the topic here, the  
12 first topic on the agenda. What we ask is that you give  
13 your name, your title, your hospital or the organization  
14 you're from, its size in terms of the number of beds it has,  
15 what combination of practice that you represent here today,  
16 whether it be teletherapy, brachytherapy, nuclear medicine,  
17 therapy or diagnostic, or a combination of those.

18 I note that we have several folks from Agreement  
19 states, as well as some Region V NRC folks. I'll just go  
20 around the table and let everyone introduce themselves.  
21 Start here.

22 MR. HELLMAN: I'm Joe Hellman. I'm the Medical  
23 Physicist out of Madigan Army Medical Center in Tacoma,  
24 Washington. We're a 450-bed hospital; primarily therapy,  
25 but I'm here representing kind of the whole thing.

1 MS. MARCIANO: I'm Donna Marciano. I'm the  
2 Administrator for the Nuclear Medicine Clinic at UCLA, which  
3 is a 711-bed hospital.

4 MR. TELFORD: What combination is it? Is it all  
5 the modalities, therapy --

6 MS. MARCIANO: No, no. Just nuclear medicine.

7 MR. TELFORD: Just nuclear medicine. Just wanted  
8 to clarify that.

9 MR. HUEN: I'm Albert Huen. I am a Chief  
10 Physicist at Coastal Radiation Oncology. It's a  
11 freestanding group of physicians. We have about seven or  
12 eight clinics along the coast in Southern California, as far  
13 as to Westlake Village and as far north as to Salinas. And  
14 we do really radiation therapy and brachytherapy.

15 MS. SULLOWAY: I'm Sandra Sulloway. I'm from San  
16 Wakine General Hospital near Stockton, California. We have  
17 a 250-bed hospital. I do nuclear medicine only.

18 MR. TSE: I'm Anthony Tse. I'm from NRC in  
19 Washington, D.C. I'm the Program Manager for this program.

20 MR. KAPLAN: My name is Edward Kaplan. I've been  
21 in touch with many of you. I'm with Brookhaven National  
22 Laboratory.

23 MR. HORNOR: My name is Jack Hornor. I am the  
24 Regional State Agreements Officer here in Region V, over the  
25 Agreement states.

1 MR. WIEDEMAN: I'm Darrel Wiedeman. I'm the  
2 Technical Assistant to the Director for Radiation Safety and  
3 Safeguards for NRC Region III Office in Chicago, and I'll be  
4 one of the persons in the Site Team visits.

5 MR. MONTGOMERY: I'm Jim Montgomery. I'm with the  
6 local NRC Region V Office from here in Walnut Creek, and I  
7 am a Materials Licensing Inspector for the Region.

8 MR. FRAZEE: I'm Terry Frazee from the State of  
9 Washington, Division of Radiation Protection. We have 350  
10 licensees overall, of which approximately 100 are medical  
11 licensees. As an Agreement state, we would adopt the final  
12 rule as a matter of compatibility with NRC.

13 MR. BUNN: I'm Donald Bunn. I'm with the  
14 California Radiation Program. I am the Senior Health  
15 Physicist in Compliance. We conduct inspections of all  
16 licensees in California. Like Terry, we would adopt the  
17 rules here as an Agreement state.

18 MR. CHANEY: I'm Dean Chaney, Acting Chief of  
19 Nuclear Materials Safety, Inspection, and Licensing in  
20 Region V.

21 MS. RIEDLINGER: I'm Beth Riedlinger and I'm the  
22 Licensing Reviewer in Region V.

23 MR. TELFORD: Thank you. Let me go through the  
24 agenda so you'll understand what's coming and when. First,  
25 I'll talk about the pilot program to kind of give you an

1 overview of its objectives and the outline of the entire  
2 thing. what you can expect to be asked to do and what you  
3 can expect to receive from all of this, and basically go  
4 over some current misadministrations to show you the kind of  
5 problems that we're trying to address.

6 Then I'll talk about the proposed Rule 35.35 and  
7 certain records to keep. Now, let me carefully distinguish  
8 that the subject today is the proposed 35.35, just the  
9 quality assurance rule itself.

10 I'm sure you've looked at the Federal Register and  
11 you've noted that there are two other sections; for  
12 instance, 35.33, which are the recordkeeping and reporting  
13 requirements for diagnostics, and 35.34, which are the  
14 recordkeeping and reporting requirements for therapy.

15 Those will be on the agenda at the followup  
16 workshop, which we would like to hear suggestions for how to  
17 modify or otherwise improve those reporting requirements.

18 We'll have a brief session following after luncheon.  
19 It will cover any potential conflicts that the volunteers  
20 may have with the state requirements. Then we will discuss  
21 the evaluation forms that we'll use to give you an idea of  
22 the kind of questions and the range of questions that we  
23 would be looking for answers to on your experience and your  
24 suggestions on the pilot program; in particular, how to  
25 modify or improve the proposed 35.35.



1           Then we will discuss the regulatory guide and give  
2 you a chance to comment on that. The real purpose today is  
3 to give you an understanding of the intent of proposed  
4 35.35, as well as the guide.

5           While we are not trying to fix it today, if you  
6 have any suggestions, we would certainly like to hear them.  
7 But in the next workshop, that will be the focus, is how to  
8 improve both of those things.

9           So we're after an understanding and intent so that  
10 the volunteers can carry out their trial program of their  
11 quality assurance program.

12           Then we'll review the schedule one last time at  
13 the end of the day. And you'll notice that we have little  
14 breaks here for questions and answers after each topic. So  
15 if you think of something as we go along, feel free to ask,  
16 but I guarantee you you'll have ample opportunity to ask all  
17 the questions you'd like.

18           Let me give you a little bit of a background.  
19 Some of you are familiar with this, but back in the fall of  
20 1987, our five Commissioners -- when I refer to the  
21 Commissioners, I'll say the Commission, and I'd like to make  
22 a careful distinction between the staff proposes and what  
23 the Commission approves.

24           I can think of them in the corporate world or  
25 analogue with the Board of Directors a company. But the

1 Commission requested a rulemaking in 1987. In the fall of  
2 1987, the Notice of Proposed Rulemaking on the basic quality  
3 assurance program was posed, as well as advanced notice on a  
4 comprehensive quality assurance program, rule.

5 The basic quality assurance rule is where we are  
6 today. This one is still there, but it's on hold. The way  
7 I think of this is the basic quality assurance rule doesn't  
8 do it, then my feeling is the Commission will ask us to look  
9 into the comprehensive rule and see what else needs to be  
10 done.

11 From today through the next several months until  
12 March of 1991, we'll be focusing on the basic rule. The  
13 staff provided this final rule to the Commission in 1988,  
14 and it was a prescriptive rule and some of you are familiar  
15 with that.

16 The medical community made their views known to  
17 the Commission in 1988, and basically they said that they  
18 were not terribly enthralled with this rule because it was  
19 too prescriptive; it not only said what to do, but how to do  
20 it. One of the conclusions was that they should not be told  
21 how to do it.

22 So the staff provided rulemaking options to the  
23 Commission that gave them the option of doing a proposed  
24 rule. Now, with this proposed performance-based rule, the  
25 staff had meetings with the Advisory Committee, Quality

1 Assurance Subcommittee of the Advisory Committee on the  
2 Medical Use of Isotopes.

3 We had workshops with the medical licensees. That  
4 was in January of 1989. We met with the American College of  
5 Radiology primarily because they were developing a model  
6 quality assurance program that could be used voluntarily by  
7 their members, of course, for therapy.

8 The staff briefed the Commission in June of 1989  
9 and provided essentially the proposed rule that you have  
10 now. The Commission had a lot of deliberations about this  
11 particular proposed rule and asked for at least a couple  
12 iterations. They said to us, why don't you fix a couple  
13 things and we'll take another look at it.

14 We did that in August. The Commission finally  
15 gave us a directive in December of 1989, and the proposed  
16 rule was published in the Federal Register on January 16 of  
17 this year.

18 Part of the directive from the Commission for this  
19 proposed rule was to conduct a pilot program. The basic  
20 thought is you propose something, you have a strawman, why  
21 don't you try it out and fix it, bring it back to us as a  
22 final rule. And the Commission has requested the final rule  
23 in draft form as a staff proposal to them in March of 1991.

24 So that's the timetable. I'd like to give you  
25 just a thumbnail sketch, an overview of the pilot program.

1 The first topic here is probably the key ingredient. What  
2 we said to the Commission was that we wanted -- if we're  
3 going to do a pilot program, we would like to make sure that  
4 we have proportional representation from each NRC region, of  
5 which there are five, each Agreement state, of which there  
6 are 29, each class of facility, whether or not you do  
7 teletherapy, brachytherapy or nuclear medicine, and each  
8 type of location, whether you're urban or rural, and  
9 basically whether you're kind of public or private or  
10 whether or not you're a government kind of facility, or  
11 whether you're a large, what might be called not-for-profit  
12 institution, but you're fairly large nevertheless.

13 So there are about 2,000 NRC licensees and about  
14 4,000 Agreement state licensees. We were able to get  
15 authorization, you might say, to have 24 NRC volunteers and  
16 48 Agreement state volunteers, for a total of 72 to  
17 represent this population of licensees.

18 I might add including Army, Navy, Air Force and VA  
19 hospitals. So we went through an elaborate selection  
20 procedure. Dr. Ed Kaplan is the one who deserves the credit  
21 for having pulled this off, because it was a lot of work to,  
22 first of all, go through the selection procedure to follow  
23 the arcane criteria that we had to give him in order to say,  
24 I've got to have one of these and one of these and one of  
25 these. and don't give me one of those in the selection.

1 procedure.

2 So it was an iterative process, but he did it.

3 I'll say a little bit more about that in a minute.

4 Basically, the pilot program, after the volunteers have been  
5 identified, the volunteers have a month to "develop their  
6 quality assurance programs and a month to implement;" that  
7 is, to train and fix up any daily procedures that they have.

8 They'll have two months, a 60-day period with some  
9 actual trial period for using this modified program, and  
10 then we'll have one month to collect the results and hear  
11 all the suggestions.

12 As part of this, we'll have workshops before the  
13 trial period and workshops after the trial period. As part  
14 of this, we'll have what we call the QA Team of four people  
15 from NRC that will do an in-depth review of the subset of  
16 all of these programs, there are 72; both for a program  
17 review and a site evaluation, and I'll be saying more about  
18 that.

19 Let me back up to the agenda so you can keep track  
20 of where I am. Next, I'm going to go into these three  
21 topics here for the pilot program, a little more in detail.

22 When I call these the pilot program objectives, I  
23 don't mean that the objectives are limited to these, but  
24 they're probably among the more important ones.

25 We want to understand how the volunteers structure

1 a program, how they do it, to meet the objectives of 35.35.  
2 The groundrules here are that this is a performance-based  
3 rule, so all you'll hear from us is here are the objectives,  
4 here are some worthy objectives that we think your program  
5 should meet.

6 How you do it is totally up to you. All I'll ask  
7 from you is that you tell me that your program meets the  
8 objectives of 35.35 and we'll be satisfied. So it will be  
9 very interesting to find out how 72 volunteers do their  
10 program.

11 Number two is how they carry it out in actual  
12 practice; what does it look like within their hospital; how  
13 is it really implemented. And what individual procedures  
14 they use would be something else that we would like to  
15 understand.

16 Three, we'd like to find out if the objectives  
17 that we have have the desirable effect of catching what you  
18 might think of as intermediate step kind of mistakes before  
19 they become misadministrations.

20 Lastly, on this viewgraph anyway, is we'd like to  
21 find out if these objectives have the effect or if the  
22 collective opinion is that these objectives could have the  
23 effect that they could prevent misadministrations if  
24 adopted. If not, we would like the volunteers' help in  
25 determining what set of objectives would provide high

1 confidence that misadministrations can be prevented.

2 This is a rather detailed outline of the entire  
3 pilot program. Now, this selection process to achieve this  
4 proportional representation of the 6,000 licensees went on  
5 in January and February.

6 One of the difficulties that we learned was that  
7 whenever Ed sent -- after going through this scientific  
8 selection procedure, then Ed sends a letter to the chosen  
9 volunteer. You get a letter that says Congratulations,  
10 you've won the lotto. Wouldn't you like to be a member of  
11 the pilot program.

12 And the letter says in a week I'll call you and  
13 see if you have any questions. So in a week he would call.  
14 Gee, well, Ed, it sounds like a pretty good idea, but I have  
15 to check with three other people. He calls back next week  
16 and, well, two of those says yes, but one of them wants me  
17 to check with three other people or four other people.

18 So he would call back the following week and find  
19 out finally after getting six or seven okay's, that this  
20 person could say yes. So that took two months of time to  
21 round up all the people that we have rounded up. No small  
22 chore.

23 This is the next month or the first month in the  
24 five-month overview I showed you. This is where the  
25 volunteers would review the 35.35 that Ed sent to them,

1 along with the guide, if they want to use the guide.

2 They would determine that their program currently  
3 meets proposed 35.35 or they would modify their program to  
4 meet it, here I say basically during April. But you'll see  
5 that it will go into May.

6 Then we'll have the pretest workshops. The first  
7 one on March 29 was in New York; April 4 was in Chicago;  
8 April 6 was in Atlanta; April 18 was in Dallas; and, today,  
9 we're in San Francisco.

10 This next item is the following month, after the  
11 pretest workshop. The volunteers have basically a month to  
12 modify any procedures that they use day-to-day or to do any  
13 training. And I say if required, because many folks have  
14 told us that their program already meets these objectives.  
15 So they have very little to do.

16 On the other hand, we've got volunteers that come  
17 from rural communities and they have very small clinics,  
18 which is evidence that Ed did a good job in getting the  
19 representation, that they come in and say, well, we don't  
20 really have a quality assurance program, it will take us a  
21 little bit.

22 So let's look at these various monthly periods  
23 before just to give them the opportunity. Then the actual  
24 60-day test period or trial period we would like to be  
25 between May 14 and July 13. So the way it goes is you look



1 at the proposed 35.35, you modify your program, you say it  
2 meets 35.35, you send us a copy, and on May 14 you start  
3 using it.

4 It may be no change for you, but, on the other  
5 hand, it may be a change. And the "end of the trial  
6 program" is July 13.

7 Now, during this trial period, the NRC QA Team  
8 says they will visit 18 volunteers. That's the subset I was  
9 talking about. There will be 18 out of these 70-odd  
10 volunteers for which the QA Team will do an in-depth review  
11 of their program on paper. They will then follow it up with  
12 the site evaluation.

13 Now, the letter that you received made note of  
14 that fact, but there's a couple of potential fears that I  
15 would like to lay aside. First of all, the QA Team will be  
16 there for that site visit -- if your facility is selected,  
17 the QA Team will be there only one day. They have a lot to  
18 do. They want to look at only the quality assurance  
19 program. They will not be -- first of all, it will not be  
20 an inspection. Secondly, it will not be a review of your  
21 total radiation safety program.

22 It will only be a review of your proposed or --  
23 yes -- of your quality assurance program which meets  
24 proposed 35.35. So they'll have a lot of records to check.  
25 They'll have a lot of questions to find out the answers to.

1 The basic question they will be asking when they review  
2 these programs, these 18 on paper, will be do we think that  
3 this program meets proposed 35.35.

4 Because when they get to the site, they will be  
5 asking the basic question is the volunteer implementing the  
6 program that's on paper.

7 We think of these 18 as being an insight into the  
8 larger group of the 70 volunteers. So that whatever we find  
9 there, we would like to make an inference to the group of  
10 70.

11 After the trial period, the 60-day trial period,  
12 we'll have a post-test workshop. This will be a two-day  
13 workshop, which I anticipate that we will find out a lot of  
14 information, and there will be some participation by both  
15 the Quality Assurance Team and by the volunteers.

16 The volunteers will tell us about their experience  
17 in trying out this program. Their evaluation, we'll have a  
18 written evaluation form and you will have the opportunity to  
19 discuss that among your peers. And last, but probably most  
20 important is suggestions you have for how to modify both the  
21 rule and the guide.

22 It even says here the recordkeeping and reporting  
23 requirements. Now, at the end of the day, we'll give you a  
24 copy of the Federal Register Notice just to make sure you  
25 have one, just so that you have these recordkeeping and

1 reporting requirements on the proposed 35.33 and 35.34.

2 So at the post-test workshop, we'll go into those  
3 in detail and find out your suggestions for how to improve  
4 those. The Quality Assurance Team will provide you with the  
5 discussion of the criteria they used for program evaluation,  
6 site review, and you'll find out the results from those two  
7 endeavors.

8 I have a little bit more of that here. This is  
9 what the participants can expect. First of all, you will  
10 understand the criteria that the QA Team used to do the  
11 program review on paper. So to me, if I were a volunteer,  
12 this would be an inside view as to how licensing might be  
13 done in the future for this regulation.

14 If I were a state regulatory, then I would say,  
15 well, okay, this is how the NRC does it, right? So that  
16 might be a little bit of insight. And you will find out the  
17 results of the program evaluations for those 18.

18 This item is you will understand the criteria that  
19 we used for the -- that the QA Team used for the 18 site  
20 visits. The fourth item says you'll learn the results of  
21 those site visits.

22 Now, again, let me assure you that both of these  
23 will be done in a very no-fault kind of way. What you will  
24 hear is that your program was selected. You will hear that,  
25 okay, your program has the following strong points, the

1 following weak points, and the following points need work.  
2 So we're not here to embarrass anyone and we're not here to  
3 make anyone feel bad.

4 We do want to confess to you how we would review  
5 these things, because it seems like to you it's completely  
6 no-fault. There is no penalty whatsoever for having learned  
7 this information. We would be just that much further ahead  
8 of everybody else.

9 Now, the fifth and sixth items; I've put those  
10 there to convince people that we were really interested in  
11 your suggestions; that we really want to hear from the  
12 volunteers. If the previous four workshops are any  
13 indication, I have no fear that we will hear a lot of from  
14 the volunteers, which we have already.

15 The previous four workshops have been very helpful  
16 and we've already gotten more benefit from that than I ever  
17 expected to.

18 Now, this is what we expect of the participants.  
19 We would like you to develop a program or modify your  
20 existing program such that you can tell us that it meets the  
21 proposed 35.35. In the pretest workshop, provide either  
22 written instructions or trained personnel; again, I say as  
23 necessary, because it may not be necessary.

24 This it to prepare for the 60-day trial period in  
25 which you actually use your modified program. Fourthly, to

1       conduct that 60-day trial, and, fifth, to evaluate it.  
2       Evaluate the proposed rulemaking. We'll discuss the  
3       evaluation forms this afternoon, the questionnaire.

4               We have a draft one that we'll discuss with you so  
5       that you can get an insight into what kinds and how much in-  
6       depth we are going to ask about. You will discover that we  
7       will give you a carte blanche to turn this thing inside out.

8               Lastly, to attend a post-test workshop in which  
9       you can provide your experience and your evaluation and your  
10       suggestions. And because we will be keeping a transcript of  
11       that workshop, even though the public comment period expired  
12       April 12, your comments that you provide to us in the post-  
13       test workshop will be part of the public rulemaking record.  
14       Therefore, the staff can use every one of your suggestions.

15               Now, let me say that we're down to this point on  
16       the agenda. Before I go into the current  
17       misadministrations, let's sort of pause for any questions or  
18       comments you might have. Does anybody have any comments  
19       they want to make so far?

20               MR. HUEN: I just want a question to clarify, in  
21       my mind, this particular pilot program. It seems to me that  
22       it pertains to two things that we're doing. One is the  
23       practice of medicine. The other one is the physical  
24       measurements. Are we talking about the same thing or --

25               MR. TELFORD: When you said practice and medicine,

1       could you amplify on that a little bit? How is that  
2       involved?

3               MR. HUEN: For instance, some of these items that  
4       I read on this proposed 35.35 seem to me to say all the  
5       physicians must do this, cross-check, certain dosage was  
6       done by the second person before we administered, before the  
7       25 percent of dose is achieved, we have to do this. So  
8       that's practice of medicine, to me.

9               Now, the other aspect is quality assurance. It's  
10      like, well, I've got to make sure that the dose that I  
11      delivered to the patient is correct. So those are the  
12      physical measurements.

13              So does this particular part of rulemaking consist  
14      of these two ventures or are we just talking -- emphasizing  
15      on the one part?

16              MR. TELFORD: Well, let me note that, for  
17      instance, you're saying the 25 percent; before 25 -- I think  
18      I remember that one from brachytherapy.

19              MR. HUEN: Yes.

20              MR. TELFORD: Before 25 percent of the dose is  
21      delivered, double-check the calculation. Let me note that's  
22      in the regulatory guide. It's not one of the eight  
23      objectives. So that guide is for your use. If you want to  
24      use it, we'd be very happy to give some input, some  
25      experience with somebody using that. But it is in no way

1 one of the eight objectives.

2 Just for the eight objectives, there's a basic  
3 thing here that says we are very purposefully trying to stay  
4 out of the practice of medicine. We would be very happy to  
5 have the nuclear medicine physician, a person that we call  
6 the authorized user, we would like that person to be in  
7 charge.

8 So that person could issue, ideally, a written  
9 directive that says do the following; whether or not it's  
10 teletherapy, brachytherapy or nuclear medicine; do the  
11 following so that clear instructions go to the technologist  
12 or to the physicist or whomever needs to know.

13 Those persons would have clear directives as to  
14 what to do so that if, in the end, the administered dose  
15 were as prescribed, and I'm just talking loosely now, I'm  
16 using those words very loosely; if that happened, this would  
17 be a success.

18 So if you detect that if, in your opinion, any of  
19 these objectives get into the practice of medicine -- this  
20 item on the agenda here -- when we discuss the proposed  
21 35.35, we will talk about each objective and I will do my  
22 best to explain the intent of each objective.

23 If you think that part of them are infringing upon  
24 practice of medicine, please say so. We are purposefully  
25 trying to stay out of that. We want the authorized using

1 physician to be in charge, not the required physician, for  
2 example.

3 Any other comments or questions? Yes.

4 MR. HELLMAN: I think you answered it for me. I  
5 just want to clarify that as part of the implementation of  
6 these eight objectives in the pilot program, it will not  
7 require us to adopt the draft reg guide as -- we will not  
8 have to choose to adopt that draft reg guide. To me, it's  
9 unduly restrictive in some areas.

10 MR. TELFORD: Exactly. We will not require you to  
11 use the guide at all. Whenever the Commission -- I'm  
12 speaking of the NRC now. Whenever it gives a rule that's a  
13 performance-based rule, then some licensees would like  
14 specific guidance, and we consider it fair to provide some  
15 guidance to those folks.

16 But in this case, we will be making a pointed  
17 effort not to use this guide ever as a prescriptive. Come  
18 final rule time, we will try to get a lot of alternatives  
19 into this guide, such that it will say you can do A or B or  
20 C; such that you can do one of those or you can do something  
21 else, as long as what you're doing meets the objective of  
22 the rule.

23 So yes, you're correct. We don't want anybody to  
24 be forced to use this guide, especially in this pilot  
25 program. You're completely free. Each volunteer can



1 structure any program that they like, that's tailor-made for  
2 their hospital or their clinic, such that it meets the  
3 objectives of the proposed 35.35.

4 If they're convinced of that, fine. We'll go with  
5 it. So the purpose of -- there are many purposes of the  
6 pilot program. We'd like to find out how it works to do a  
7 performance-based rule like this for the 6,000 licensees  
8 across the U.S.

9 We would like to see the various ways that people  
10 can implement this. We'd like to have these all tried out  
11 and, therefore, use those results to fix our proposed rule,  
12 make it better. Because in the end, we would like a  
13 sufficient rule that's enforceable, especially against the  
14 folks that are slow learners, that seem to have a problem,  
15 because, as a side to this -- if you have a program that  
16 works and never has a problem, this rule or the final rule  
17 means nothing to you, because you just go straight on.

18 It's nothing to you. It has almost no impact. As  
19 a performance-based rule, that's the way it's intended. But  
20 if your program is one that has a bunch of  
21 misadministrations every year and a bunch of folks are  
22 getting overdosed, this should be a rule that's enforceable,  
23 that says, ah, tell me what's wrong with your program, tell  
24 me how you're going to fix it.

25 Because currently, in 10 CFR Part 35, we have

1 reporting requirements on misadministrations. If you make  
2 one of those six mistakes, you have to report it. And as of  
3 April 1 of this year, it has become a matter of  
4 compatibility for the 29 Agreement states to start reporting  
5 those. Prior to April 1, it was voluntary.

6 Some other objectives of the pilot program are to  
7 find out what do we do with -- we the NRC, the staff, what  
8 we would do with a performance-based rule, how we would  
9 license it, how would we inspect it. Because if you give  
10 this much latitude to the licensees, our job is a lot more  
11 difficult.

12 If we had a prescriptive rule, like are many of  
13 our regulations are prescriptive, sure, you just have a  
14 guide or you have a standard review plan and it says, boy,  
15 you do the following 18 things and you know you've done it.  
16 So we do the 18 things, we come and check you out on the 18  
17 things, and it's pretty straightforward.

18 But with a performance-based rule, all is not so  
19 simple. You're granting a lot of latitude to each licensee  
20 for the primary purpose of minimizing the impact on each  
21 licensee. If each licensee can structure a program that's  
22 sufficient, meets the rule, if they can minimize the impact  
23 on themselves, so much the better.

24 So there's a whole bunch of purposes to the pilot  
25 program to find out if all of this works.

1 Any comments?

2 MR. HELLMAN: I've got one more question.

3 MR. TELFORD: Sure.

4 MR. HELLMAN: Keep changing the subject. The  
5 basic eight objectives which I read in this seem, on the  
6 nuclear medicine side, to only address iodine. Is there a  
7 reason why it's avoiding technetium, or are we getting to  
8 that?

9 MR. TELFORD: That's this item, but I'll answer  
10 your question. Those words need fixing because it address  
11 all radiopharmaceuticals. By the time we get done with this  
12 item, I hope you understand all of that.

13 Let me bore you with a few current  
14 misadministrations. When we were doing this rule, starting  
15 it back in 1987, we looked at all the misadministrations for  
16 1980 to 1988, and we did a retrospective analysis and said  
17 if the items in the prescriptive rule were followed, how  
18 many of those misadministrations over the last eight years  
19 would have been prevented.

20 Our answer was 80 percent, but you will recognize  
21 that today a lot of the stuff we had in the prescriptive  
22 rule are now found in the guide. So the guide is optional.

23 Let me rush through a few misadministrations.  
24 This was one in Cumberland, Maryland, and which -- the  
25 nature of the misadministration was that over a 13-month

1 period, 33 patients received the wrong teletherapy dose.  
2 Now, here it says greater than 100 percent of the prescribed  
3 dose, but that's rather an understatement because the cause  
4 was that the Cobalt-60 source was changed, but nobody  
5 updated a computer program.

6 So the computer program thought it was using the  
7 old strength, so all 33 patients go the time based on --  
8 time of exposure based on the old strength. So the action  
9 taken to prevent reoccurrence is the licensee has  
10 implemented over-check procedures to prevent this, in  
11 addition to hiring some qualified people.

12 MR. HELLMAN: What's the insurance carrier doing  
13 now, too.

14 MR. TELFORD: Now, this is another teletherapy.  
15 It was March of 1989. I call these recent  
16 misadministrations, so you can check me out on these dates.  
17 But this is the Indiana University School of Medicine in  
18 Indianapolis.

19 This patient was administered the therapy  
20 treatment of 300 rads to nine sites on the left hip and  
21 groin, but it should have been the right hip and groin. The  
22 cause was that there was miscommunication among the  
23 technologists. They didn't notice the absence of the  
24 tattoos for the site on the left hip or groin.

25 The patient received 2,700 rads that was to the

1 wrong site. The action taken to prevent reoccurrence was  
2 they now have procedures to verify the treatment site.

3 Now, you'll notice that some of these are  
4 Agreement states and some are NRC states. I didn't select  
5 these. I just took them out of a report. The only thing I  
6 did was not use several nuclear medicine misadministrations  
7 that aren't nearly as interesting, because of the potential  
8 consequence to the patient.

9 This is Worchester City Hospital in Massachusetts  
10 in July of 1989, teletherapy. The patient was administered  
11 teletherapy dose to the spine instead of the right lung.  
12 The cause is the technologist failed to confirm the  
13 patient's identity, even though there was an available  
14 photograph. The technologist failed to recognize the  
15 absence of position tattoos.

16 The probable consequence was the patient gets 250  
17 rads to the spine that they weren't supposed to have. The  
18 actions taken to prevent reoccurrence was they have a new  
19 procedure which requires each patient's identify be verified  
20 by a photograph. In questionable cases, the physician would  
21 verify the patient's identity prior to treatment.

22 This is February of this year at Geisinger Medical  
23 Center in Danville, Pennsylvania, teletherapy. The patient  
24 received additional treatment fractions beyond the number  
25 they were supposed to get.

1           The technologist either misunderstood or didn't  
2 remember the number of fractions to be given, and they  
3 didn't keep a record showing which fractions had been given,  
4 so the technologist just kept giving them.

5           MR. HELLMAN: Amazing.

6           MR. TELFORD: So the patient received 4,200 rads  
7 to the spine instead of the prescribed 3,000. The action  
8 taken to prevent reoccurrence is the licensee has  
9 implemented new procedures that require clear markings on  
10 the patient's chart when the treatment is completed; check  
11 them off as you go along.

12           The staff has been instructed to review all the  
13 prescriptions prior to initiating treatment so they are  
14 familiar with the case before they begin.

15           Now, this is brachytherapy, January of 1989, Yale  
16 New Haven Hospital in New Haven, Connecticut. You may be  
17 noticing that these places are not exactly small rural  
18 locations.

19           The nature of the misadministration here is the  
20 technologist entered the wrong decay factor of 267 instead  
21 of 128. I believe this was the high dose rate after-loading  
22 device. The cause was the technologist simply misread the  
23 number and there was no over-check procedure. The patient  
24 got 1,000 rads instead of 500. To prevent reoccurrence, the  
25 licensee established new procedures for over-check of input

1 like that.

2 Here's another brachytherapy misadministration,  
3 also January of 1989, St. Lukes Hospital in Kansas City,  
4 Missouri. There were two cesium source strengths to be  
5 loaded; 25 and 20, but the 25 and a five were actually  
6 loaded.

7 The cause was the storage drawer containing the  
8 sources, one drawer contained two different strengths. This  
9 patient was 56 percent underdosed. The action taken to  
10 prevent reoccurrence, the licensee now has the sources  
11 arranged so that each drawer contains sources of one  
12 strength only.

13 Now, this is March 14 of 1989, New England Medical  
14 Center, Boston. The nature of the misadministration is that  
15 the patient received the wrong radiopharmaceutical and the  
16 wrong dose. The patient did receive one millicurie -- I'm  
17 sorry -- was to receive the prescribed one millicurie of I-  
18 123. The switch was to five millicuries of I-131.

19 The cause was the technologist misunderstood the  
20 wording in the notes made by the referring physician. Note  
21 referring physician here; I'll come back to that later. The  
22 patient, as a result, got 5,000 rads to the thyroid. The  
23 action taken to prevent reoccurrence is they now have  
24 procedures to verify that each diagnostic study requested --  
25 they don't merely --

1 MR. MONTGOMERY: John?

2 MR. TELFORD: Yes.

3 MR. MONTGOMERY: I had a question. 123 is  
4 accelerator-produced. The NRC does not regulate that

5 MR. TELFORD: Right.

6 MR. MONTGOMERY: Agreement states do. 131 is  
7 byproduct material. We do regulate that. When we define a  
8 misadministration, in this case, the patient was to receive  
9 an accelerated-produced isotope. Instead, he received a  
10 byproduct isotope.

11 MR. TELFORD: Right.

12 MR. MONTGOMERY: In terms of NRC jurisdiction and  
13 enforcement in a case like this, how do we look at a  
14 misadministration? Do we look at what was intended or what  
15 was actually given?

16 MR. TELFORD: In my opinion, we've got two things  
17 to look at, only one of which might be suspicion. Just look  
18 at the dose. That would be sufficient. Now, even it were  
19 the right isotope, he got the wrong dose, dramatically  
20 lower. 5,000 rads to the thyroid may not be all that  
21 horrible, but may not be all that good. So that's probably  
22 enough for enforcement.

23 Darrel, what do you think about enforcement?

24 MR. WIEDEMAN: It's the wrong chemical-physical  
25 form, number one, and it's a dose to the patient that was



1 not intended.

2 MR. TELFORD: Okay.

3 MR. WIEDEMAN: Now, if they would have prescribed  
4 one millicurie of I-123 and gave five millicuries of 123, we  
5 wouldn't even be involved in that because that's strictly an  
6 accelerator-produced.

7 MR. HORNOR: Two questions. On your cause, maybe  
8 the solution would have been to improve the doctor's  
9 handwriting, because we just went through one recently that  
10 it was very hard to read prescriptions once in a while.  
11 Two, the technologist probably should have gone back and  
12 asked the doctor, I can't read your handwriting. So maybe  
13 that was -- maybe there's more than the corrections we need  
14 to do on some of these other areas.

15 MR. WIEDEMAN: One of the other things is  
16 standardization of terminology in medicine is what's really  
17 needed. Many times, a physician will order a thyroid study,  
18 whatever that means, and another time he may order a thyroid  
19 scan. Well, a thyroid scan is different from one facility  
20 to another.

21 If you have a new technologist, before, maybe the  
22 previous hospital he worked at, a thyroid scan was with  
23 technetium or may have been with iodine. So there has to be  
24 some standardization.

25 MR. TELFORD: Well, look at this one. This is

1       October of 1989 at the May Foundation in Rochester. The  
2       dose was ten times what was prescribed. The referring  
3       physician -- note referring physician again -- ordered a  
4       scan using one millicurie of I-131 instead of a 100  
5       microcuries.

6               The guy checked the wrong box on the diagnostic  
7       referral form, so no handwriting involved. He just checked  
8       the wrong box. The patient got 1,000 rads to the thyroid.  
9       The action taken to prevent reoccurrence was the hospital  
10      has now a procedure to require the nuclear medicine  
11      physician to review and approve the request and to write the  
12      prescribed dosage on the referral form.

13              In other words, this hospital now puts the nuclear  
14      medicine physician in charge, not the referring physician.

15              MR. WIEDEMAN: Another thing with Mayo Foundation,  
16      they were the pioneers of I-131 for research back in the  
17      1940's and 1950's.

18              MR. TELFORD: Yes.

19              MR. WIEDEMAN: So they knew all about it.

20              MR. TELFORD: Okay. May 23 of 1989, Abbott-  
21      Northwestern Hospital, Minneapolis. The patient was to get  
22      a three millicurie dose of I-131. They were intended to get  
23      300 microcuries of I-123. So there's another switch.

24              The cause was the technologist misunderstood the  
25      referring physician's request, didn't understand about the

1 radiopharmaceutical and didn't understand dose.

2 So the patient, from this three millicuries, got  
3 3,000 rads to the thyroid roughly and this licensee took the  
4 action to implement a new procedure that no I-131 will be  
5 administered without prior approval by the nuclear medicine  
6 physician. So they've learned to put that person in charge.

7 November 1, 1989, Desert Good Samaritan Hospital  
8 in Arizona. The nature of this misadministration, the  
9 patient was to get 100 microcuries of I-131. Instead, the  
10 patient got 100 millicuries of I-131.

11 There were probably several causes. Among those,  
12 the radiopharmaceutical ordered was done so over the phone,  
13 a verbal order. The dose was not measured in the dose  
14 calibrator. There was miscommunication between two  
15 technologists. I'm sure there were others, but the probable  
16 consequence is -- more than probable -- the thyroid was  
17 destroyed.

18 The action taken to prevent reoccurrence in this  
19 case is the State of Arizona suspended I-131 use at the  
20 hospital until the licensee can show how future  
21 misadministrations can be prevented. They subsequently gave  
22 them the condition that they could use up to 100  
23 microcuries. If they wanted to use more than that, they'd  
24 have to go to the state and ask permission.

25 MR. HORNOR: On this one, John, the pharmacy -- I

1 saw the prescription that was taken over the phone. You  
2 couldn't read it. You couldn't tell the difference between  
3 a microcurie and a millicurie because of the handwriting.

4 MR. TELFORD: Handwriting.

5 MR. HORNOR: But that didn't slow them down from  
6 going ahead and filling the order.

7 MR. TELFORD: Did they check the label, the  
8 package label that came with the 100 millicuries?

9 MR. HORNOR: Well, the hospital was at fault, too.  
10 I'm just saying it was a handwriting problem.

11 MR. TELFORD: Okay. Among the causes, it was the  
12 handwriting. Here we have November 1989, Kuakini Medical  
13 Center in Honolulu. The wrong patient received nine  
14 millicuries of I-131. This is the case where the  
15 technologist called Patient B, Patient A responded, and took  
16 the nine millicuries of I-131.

17 On the other hand, Patient A was to get 20  
18 millicuries of technetium for a bone scan. So the probable  
19 consequence is one patient, the wrong one, gets 9,000 rads  
20 to the thyroid. The action taken to prevent reoccurrence  
21 here is that this licensee now has -- this is an NRC  
22 licensee, by the way. This licensee now has a procedure to  
23 require that a single technologist be responsible for  
24 identifying patients and to handle all aspects of I-131  
25 therapy.

1           Also, the technologist, the physician and the  
2 patient are now required to concurrently sign the therapy  
3 worksheet prior to treatment.

4           Now, one of my reasons for going through these  
5 misadministrations is so that you can look at a current crop  
6 of problems that we see so that you have at least as much  
7 insight, probably more than we do into these problems.

8           The second purpose is it's my opinion that what's  
9 happening today is even though the rate of misadministration  
10 is low and even though the industry and most hospitals have  
11 a really exemplary record, what seems to be happening is  
12 some hospital has a problem and we're going around solving  
13 this one hospital at a time or one clinic at a time.

14           Maybe, eventually, we'd get through all 6,000.  
15 But, logically, you might ask why should we do that; why not  
16 just try and make an attempt at solving it one time, one  
17 generically, with the rulemaking. So that's what we may be  
18 doing or trying to do.

19           Let's go back to the agenda. Any questions or  
20 comments on these things, by the way?

21           MR. HORNOR: Well, John, this is a good point.  
22 Why don't you give us a little more view of the big picture.  
23 Are we dealing here with a serious problem or are the rate  
24 of misadministrations such that we want to really improve  
25 this, maybe there's one in a thousand, we want to go to one

1 in ten thousand, and what kind of a resource are we going to  
2 put forward here to improve that, and what kind of damage  
3 are we doing to these people?

4 Now that we've moved from protecting occupational  
5 and public health people to protecting the patient, I wonder  
6 what the big picture is. Could you explain that? That's  
7 the question I'm always asked.

8 MR. TELFORD: Well, you've asked several  
9 questions.

10 MR. HORNOR: It's the big picture and I --

11 MR. TELFORD: I like every one of them and it  
12 would help me a great deal if you would allow me to pick off  
13 those one at a time.

14 MR. HORNOR: Sure.

15 MR. TELFORD: I have the answers to them, but  
16 they're part of the agenda.

17 MR. HORNOR: All right.

18 MR. TELFORD: So let's not steal the thunder from  
19 the various parts of this. Now, we're up to here for  
20 questions and answers.

21 Jack wants me to talk about the big picture as we  
22 go along. Anybody else have requests, questions, comments?

23 MR. HELLMAN: I think you summarized it pretty  
24 well. There are always mistakes in any clinic, but is our  
25 rate increasing, is the error rate we're having now

1 different from what we had ten years ago? I don't think so,  
2 and, yet, now you're coming down on us.

3 MR. HORNOR: As a matter of fact, I think it's  
4 getting better. California now requires that new med techs  
5 be certified. They have a standard for certification so  
6 that from one hosp to the next, some of these things  
7 like Darrel was talki... about will be corrected.

8 These things are -- have you taken this into --  
9 contemplated this aspect of it? Because all those almost  
10 are human error that you're showing us up there and with  
11 good training that probably would have gone away.

12 MR. TELFORD: Let me make two comments. First of  
13 all, the rate. We looked at the rate over an eight-year  
14 period. It was on the order of ten or eleven therapy-level  
15 misadministrations per year.

16 However, what I just showed you were eleven  
17 misadministrations. I have one here that I didn't show you.  
18 There are five or six nuclear medicine procedures that were  
19 foul-ups in labelling that I didn't show you. For 1989,  
20 there were more than eleven misadministrations. For 1990,  
21 so far, Lloyd Bolling informs me that if the rate continues,  
22 we'll have about three times the normal amount, of the  
23 usually expected amount.

24 So I don't think the rate has gone down. It may  
25 have gone down in the state of California, but nationally

1 the evidence I see says it's either the same or it's going  
2 up.

3           However, you might ask the question how many of  
4 these are being reported? Are all of them being reported?  
5 That's sort of open to debate. Nobody can prove that  
6 they're all being reported. Nobody can disprove that.  
7 That's sort of a nagging fear that's in the back of your  
8 mind.

9           States are now required, as a matter of  
10 compatibility, to report these. Across the United States,  
11 do you think they're all uniform? Probably not. But that's  
12 really -- and the bottom line conclusion you can draw from  
13 all that is, no, it's not going down. If anything, we see  
14 many of the same problems reoccurring again and what we're  
15 doing is running around each hospital whenever that  
16 particular problem occurs.

17           If it occurs in nuclear medicine, then Hospital A.  
18 We get over there and we saw the nuclear medicine problem,  
19 but we don't touch teletherapy, we don't touch  
20 brachytherapy, even if they do it. We're only solving it  
21 piecemeal.

22           From the logic point of view or good utilization  
23 of resources point of view, that doesn't make any sense to  
24 me, but I don't make the decisions. But that's my -- I  
25 mean, that's what I know about the rate.



1           Now, you mentioned that it looks as if we're  
2 coming down on people. By the end of the day, I hope to be  
3 able to convince you that we have a performance-based rule  
4 that says you'll just have to have a program.

5           As a matter of fact, that's a great lead into  
6 looking at proposed 35.35. The theory here is to have a  
7 performance-based rule that really says, number one, each  
8 licensee would have to have a written basic quality  
9 assurance program. Its aim is to provide high confidence  
10 that errors in medical use will be prevented.

11           The principal amount of room that each licensee  
12 has is quite large. Each licensee can develop a program  
13 that meets the needs of their hospital, is tailor-made to  
14 their hospital or clinic, as long as it meets these  
15 objectives, they can minimize the impact on themselves. So  
16 all we're saying really to all these 6,000 licensees, and it  
17 is 6,000 because the Commission has decided that this  
18 rulemaking would be a matter of compatibility.

19           So it affects not only the 2,000 NRC licensees,  
20 but the 4,000 Agreement state licensees as well. Part of  
21 this proposed rule would say on the front end, it says,  
22 okay, you have to have a quality assurance program. Here  
23 are eight objectives that would be worthy of being met. If  
24 you do these things, we think they'll be useful. So please  
25 put into your program something that meets each of these

1 eight objectives.

2 But here's the back end of the rule, here's the  
3 feedback loop that allows -- first of all, it would require  
4 an annual log. The licensee management would have an audit  
5 done. Then they would do an evaluation and results. And  
6 then licensee management would have a finding that the  
7 program is effective.

8 So there, owning up to their responsibility for  
9 having an effective program. Now if, in their opinion, it  
10 meets -- you know, the problems that they see are small.  
11 They're not disturbed by them, but they just use that the  
12 following year. But if they discover they have some large  
13 holes that need fixing, then this rule would require -- if  
14 it's final -- would require modifications to prevent  
15 reoccurrence.

16 So that instead of, first of all, the NRC going  
17 around or the Agreement states going around through a lot of  
18 inspections and a lot of enforcement conferences, etcetera,  
19 and saying, okay, Hospital A, you've got a problem in  
20 nuclear medicine, why don't you fix it; why don't you tell  
21 us what you're going to do and we'll sign off on your  
22 procedure to prevent this reoccurrence.

23 Here, you put the licensee management in control.  
24 So we're trying to stay out of the loop as much as we can.

25 MR. HUEN: Excuse me. Can I ask you a question

1 about that?

2 MR. TELFORD: Yes.

3 MR. HUEN: Who is going to do the audit?

4 MR. TELFORD: The audit --

5 MR. HUEN: The annual comprehensive audit?

6 MR. TELFORD: Okay. First -- well. That's part  
7 of this afternoon's discussion. I don't want to seem like I  
8 keep putting you folks off. Licensee management decides if  
9 a person is qualified to do this audit or not. There does  
10 not have to be an outside organization.

11 I can give you a couple of examples. You may have  
12 two neighboring hospitals. You may exchange RSO's. It  
13 could be -- there is a Dr. Brickner who is a member of the  
14 Quality Assurance Committee for the American College of  
15 Radiology. He has a practice in Oklahoma. He was 12  
16 technologists. He does a monthly audit.

17 Each month, he chooses one of the technologists  
18 and says you do the audit. The basic idea that we want to -  
19 - the basic thing we want to prevent is a person auditing  
20 himself or herself. Now, that's all we want to prevent.

21 But anybody that's qualified can do this audit.  
22 It doesn't have to be outside your organization. It can be  
23 from within your organization. You don't want unqualified  
24 people, but anybody that's qualified, they can do that.

25 There's one of the objectives in the rule that

1 gives -- that points out one thing very succinctly to look  
2 at. So you can go look at those.

3 But here's the key. The management has to  
4 evaluate this and have a determination that the program is  
5 effective.

6 The proposed 35.35 itself has these eight  
7 objectives. I'd like to go through these eight and make  
8 sure that everybody understands the intent, regardless of  
9 the fact that it may be poorly worded in the version you  
10 got. You'll notice some word changes here.

11 This is our attempt to clarify. But my basic  
12 purpose is to explain the intent to you so that you  
13 understand the problem we're trying to fix and what  
14 objective we're really thinking of when we wrote these  
15 words.

16 Yes, Ed?

17 MR. KAPLAN: I just want to make sure that  
18 everybody has a copy of this.

19 MR. TELFORD: Does everybody have --

20 MR. KAPLAN: Which supercedes what I sent you in  
21 one of the earlier mailings, which had four enclosures. The  
22 wording is changed slightly and answers some of the  
23 questions that were brought up before.

24 MR. TELFORD: Just make sure that you have a copy  
25 of the words in the two-page handout. It's these words

1 exactly. Would anybody object to taking about a ten minute  
2 break?

3 [Brief recess.]

4 MR. TELFORD: Back on the record. I'd like to  
5 discuss the objectives of the proposed 35.35. My intent  
6 here is to give you the insight into what we're trying to  
7 do, what we would like to see happen.

8 The first objective says make sure that the  
9 medical use is indicated for the patient's medical  
10 condition. What we really have in mind is that there should  
11 be some thought process that the authorized using physician,  
12 the nuclear physician should in some way enter into the  
13 process that says yes, this is the patient, this patient  
14 should receive this dose of byproduct material or radiation  
15 from it.

16 It's nothing to do with -- we're not trying to get  
17 into the practice of medicine. We're trying to stay out of  
18 the practice of medicine. We would like to give the  
19 responsibility to the licensee to decide which patients get  
20 the dose of material, how much, in what chemical form, what  
21 rad, etcetera.

22 So all this really asks for is that some thought  
23 process has gone on to say yes, this patient should get a  
24 dose.

25 Number two is all about therapy. I would like you

1 to associate with therapy what we have called a  
2 prescription. In just a minute, we'll look in your handout  
3 to look at the definition of a prescription. Number two  
4 says you have to do something for therapy.

5 What it says is you have to have a prescription;  
6 namely, a written directive, the way we define it. This is  
7 the point where we have clarified the words to you, what we  
8 sent you, what Ed sent you originally.

9 So now we go A, B, C. We say please have a  
10 prescription for, A, any teletherapy procedure; B, any  
11 brachytherapy procedure; C, any radiopharmaceutical therapy  
12 procedure; or, D, any radiopharmaceutical procedure, whether  
13 it's diagnostic or whether it's therapy, if it involves more  
14 than 30 microcuries of I-125 or I-131, please use a written  
15 directive.

16 Now, if you would turn to your definition section  
17 of your handout, there's a few details that I would like to  
18 point out to you. We've chosen the word "prescription"  
19 here. That may not be the optimal choice. We defined it to  
20 be a written directive.

21 The key here is that it's dated and signed by the  
22 authorized user physician. It is not signed by the  
23 referring physician. It should be signed by the nuclear  
24 physician. That's our attempt to put the authorized user  
25 physician in charge.

1           So the intent here is, okay, if you want to do  
2 therapy to this patient, have a written directive, have the  
3 authorized user physician sign it, all else should follow  
4 from there. If the patient gets treated as described in  
5 this what we're calling a prescription, all is well.

6           Here we're saying I-125 or I-131. In previous  
7 workshops, people have said what about Heparin procedures.  
8 Did you guys really mean 30 microcuries of I-131 in case of  
9 Heparin procedures? Okay, here's what I would like you to  
10 do.

11           For your quality assurance program in your  
12 hospital or clinic, please say in your quality assurance  
13 program what you do for Heparin procedures; whether or not  
14 you use a written directive signed by the authorized user  
15 physician; under what conditions would you not have that.

16           Whatever you do, I'll take it. I want to find out  
17 if whatever you do works. Indeed, it may work for Heparin,  
18 because, as we're all aware, the probable consequence to a  
19 patient is much less severe than if you're talking about  
20 sodium iodine or I-131.

21           So that's one of the things that has come up about  
22 the number two objective in previous workshops. I just  
23 thought I'd give you the benefit of that.

24           Anybody else have any comments or questions about  
25 number two? Yes, Joe.

1 MR. HELLMAN: Actually, I like the way it's  
2 worded. The question I do have is have these come out in  
3 the Federal Register to supplement 35.35 now that you're re-  
4 revising them?

5 MR. TELFORD: No. The Federal Register was, I  
6 think, the identical words to the words that you received in  
7 the letter.

8 MR. HELLMAN: Yes.

9 MR. TELFORD: Let me note that the public comment  
10 period closed April 12. If people, in the public comments,  
11 say they don't understand number two, we probably have an  
12 insight into why not. But the reason that we're going to so  
13 much trouble in the pilot program with the volunteers is  
14 this is what we really meant. And it's the volunteers that  
15 are actually going to have a program that they try out. So  
16 if you can try it out against the real intentions, then  
17 fine.

18 MR. HELLMAN: Okay.

19 MR. TELFORD: It would be some trouble to change  
20 the Federal Register notice, not an insurmountable amount,  
21 but I would wonder what good it would do at this point.  
22 Secondly, our words have to go through a lot of review in  
23 the staff proposal, including through our Office of General  
24 Counsel, and I can just see the lawyer that I work with now  
25 arguing that the words that we have are just fine, you know



1 the meanings there.

2 But I'd like to go one step further and hit the  
3 real intent; to list it A, B, C, D, so the volunteers really  
4 understand the things we're after and not after. Yes?

5 MR. HUEN: I've got a question. Most of the time  
6 when the patient is being treated for teletherapy, the  
7 prescription is written down on the patient's chart prior to  
8 the patient being treated.

9 Occasionally, just after talking to the patient,  
10 you want to treat this patient. Is there anytime that is  
11 allowed for the physician to jot that on the chart before  
12 the patient is treated?

13 MR. TELFORD: We envision such conditions in the  
14 reg guide and we tried to address that. Basically, in  
15 teletherapy, we're saying the original prescription might be  
16 the patient gets 5,000 rads in 20 daily fractions. Maybe  
17 the patient has received ten of those daily fractions, but  
18 the authorized user physician has now decided that maybe the  
19 patient doesn't need ten more at 250 per day.

20 So there is provision made, at least an attempt at  
21 a provision made in the reg guide to say the authorized user  
22 physician can modify this prescription.

23 What we're really trying to achieve here is just  
24 so that the directive is written, it's signed by the  
25 authorized user physician. There are other objectives which

1 attempt and request, in fact, that those instructions be  
2 made clear to the technologist or the therapist or whomever  
3 needs to know, but at least this is what I want to do.

4 So all this says is write it down. So the direct  
5 answer to your question, yes, modifications are possible.  
6 So if you want to make a point of that in your QA program,  
7 then just put in a provision, a line item that says here's  
8 what we do.

9 Number three is all about diagnostics and it  
10 covers all radiopharmaceuticals, all that we regulate,  
11 including technetium, etcetera. But number three says  
12 ensure that the prior to medical use, that a diagnostic  
13 referral is made for any diagnostic radiopharmaceutical  
14 procedure.

15 Now, in parentheses we say or prescription because  
16 you always have the option to go to a prescription. The  
17 diagnostic referral, if you refer to the definitions section  
18 of your handout, you can see that the diagnostic referral is  
19 dated and signed by a physician, not necessarily an  
20 authorized user physician.

21 Now, the way that we envision that this works is  
22 that maybe it's an outpatient to your hospital. Maybe it's  
23 a general practitioner physician has referred a patient to  
24 you and has requested a liver scan for this patient.

25 We're attempting to put the authorized user

1 physician in control. The way we do that is we envision a  
2 written referral coming in signed by this general  
3 practitioner physician.

4           Whatever is described or requested on the referral  
5 then needs to match with the diagnostic clinical procedures  
6 manual, which I'll get to in number four. But the point is  
7 the authorized user physician approves of the clinical  
8 procedures manual, so that the authorized user physician is  
9 in control of the procedure that happens to the patient.

10           So even if this referral that comes in from this  
11 general practitioner physician says, oh, use three  
12 millicuries for this liver scan. The technologist, even if  
13 a bell doesn't go off automatically, goes to the clinical  
14 procedures manual and says let's see here, liver scan,  
15 technetium, that must not be right, maybe I should ask a  
16 question and maybe I should do what's in the manual.

17           Now, we want the technologist following exactly  
18 what's in the manual and we want the authorized user  
19 physician to approve of what's in the manual. So we're  
20 trying to incorporate business about as it happens today,  
21 but, yet, keep the authorized user physician in charge.

22           So number three applies to all diagnostics. And  
23 we make note of the fact here that if you're doing a  
24 diagnostic procedure that happens to require more than 30  
25 microcuries of I-125 or I-131, you go back to number two and

1 have a prescription.

2 Now, we're making a special case out of I-131.  
3 There is this problem of micro-to-milli switch. Like the  
4 lady in Arizona that got switched from 100 micros to 100  
5 millicuries. So if we can get every technologist and every  
6 person in the department to think, oh, iodine is a special  
7 case, if it's very much iodine, maybe more than 30  
8 microcuries, go to a prescription; sort of treat it with  
9 more attention than you might normally do in the diagnostic  
10 case.

11 So we're trying to prevent the big ones from  
12 happening there. So number three is all about diagnostics.  
13 Now, number four is trying to achieve an understanding of  
14 the directions by the responsible individuals. So it just  
15 says -- and you may have to read number four twice because  
16 it says ensure prior to medical use that either, A, the  
17 referral and the diagnostic clinical procedure manual is  
18 understood by the responsible individuals.

19 Now read it once for the diagnostic cases, because  
20 the way we envision is that the referral and the manual goes  
21 together. In passing here, let me notice that we have  
22 interjected this word "diagnostic" in front of clinical  
23 procedures manual.

24 If you refer to the definition in your handout,  
25 that word is missing. The reason that we put diagnostic in

1 there is because in previous workshops, people came and said  
2 what, you want me to have a manual for treatment, for  
3 therapy?

4 And we said, no, no, no, no, no. We mean  
5 diagnostic. So that's why it's there. So if you read  
6 number four again, it said ensure prior to medical use that  
7 the prescription is understood by the responsible  
8 individual. So that's for the therapy cases.

9 You kind of have to read it twice, and that's the  
10 reason we put the A and the B in there. Now, all we're  
11 asking is or all the objective says here is that we want the  
12 people that do the work; it could be the therapist that's  
13 doing the calculation, it could be the technologist, it  
14 could be anybody involved, including nurses that identify  
15 patients; whatever their job is, all people that have  
16 responsibility for treating these patients, they understand  
17 these written instructions that come from two and three;  
18 they understand them before they go on.

19 Now, we're half way through these objectives. Any  
20 comments or questions? Yes, Beth.

21 MS. RIEDLINGER: Since the Agreement states are  
22 involved and since a few of the misadministrations that you  
23 indicated today were mix-ups between I-123 and I-131, could  
24 the objective be changed to just say icdine?

25 MR. HELLMAN: Or is that assuming regulating 123?

1 MR. TELFORD: I mean, we like -- let's assume for  
2 a minute that we said radioactive iodine here, but our  
3 authority would only go to 125 and 131. We can kind of  
4 imply or contemplate that they're supposed to pay attention  
5 if it's 131, but we have no regulatory authority.

6 On the other hand, your basis of this question was  
7 as it affects Agreement states. So Agreement states, you  
8 see, could have the authority to rewrite this and put 123 in  
9 there.

10 MS. RIEDLINGER: Well, it also affects  
11 misadministrations and if it's a cross between accelerator-  
12 produced material and NRC regulated material, then we do get  
13 involved. And the objective is to prevent  
14 misadministration.

15 MR. WIEDEMAN: Also, the licensee has the option  
16 of when they prepare their procedures manual to use the  
17 wording iodine or all iodinated radiopharmaceuticals that  
18 will have a diagnostic referral or a prescription, and that  
19 would cover it. It's just the NRC, we can't.

20 MT. TSE: The 1987 proposed regulation did use the  
21 word iodine. Many public comments were received that said  
22 that there are two reasons; one is the NRC does not regulate  
23 I-123. Therefore, if you put -- did you extend your  
24 authority into I-123, and we said no. The second reason is  
25 a more technical reason.

1 I-131, one millicurie will give a large, large  
2 dose to the thyroid. I-123 millicurie would not give a  
3 large dose to the thyroid. Therefore, there is no reason  
4 for I-123, more than 30 microcuries, you have to get a  
5 prescription from the authorized user, because a dose to the  
6 thyroid is very low.

7 MS. RIEDLINGER: But if they're confused and that  
8 is the reason misadministration occurs, then we are  
9 concerned.

10 MR. TSE: Right. The confusion is that if their  
11 technologist, for whatever reason, believes that he's going  
12 to use 30 microcurie of I-125 or I-131, regardless of what  
13 kind of confusion he's coming from, if he wants to use that,  
14 he follows this objective, he cannot do it unless he talks  
15 with the physician, his nuclear physician. He has to have a  
16 piece of paper signed by the authorized user to say you're  
17 going to administer like one millicurie of I-131.

18 Now, that particular kind of procedure probably  
19 would not be necessary for I-123 because it's a low dose to  
20 the thyroid.

21 MR. TELFORD: We can agree with your intent. The  
22 post-trial period workshop, we will be discussing ways to  
23 improve these. What we're trying to do so far is within  
24 each nuclear medicine department, each time a technologist  
25 is handling I-131, whether or not that's the

1 radiopharmaceutical they should be handling, is we want the  
2 mindset to be that every time they pick up I-131, they say,  
3 oh, what's the prescription say, what does my written  
4 directive say.

5 If we could do that, then at least that's an  
6 attempt at the same thing. But let us take that problem as  
7 a take-home assignment and work on it at the post-test  
8 workshop.

9 MS. MARCIANO: Just one more comment. Why even  
10 mention 30 microcuries when our concern, again, is iodine?  
11 Why not just say when handling these --

12 MR. TELFORD: Any amount? That's a good  
13 suggestion. Except that we did publish this restrictive  
14 rule and I believe that's what we said, wasn't it, Tony?

15 MR. TSE: Correct. In the 1987 proposed rule, we  
16 did say iodine, period. But the public comments suggested  
17 that you're only interested in the larger doses. If it's a  
18 20 -- 10 microcurie or 15 microcurie which the nuclear  
19 medicine group used a lot, it may not be necessary to go  
20 through all this trouble to have the authorized user to  
21 write a specific prescription.

22 So, therefore, we try to limit it to the cases  
23 which could cause big problems.

24 MR. WIEDEMAN: There are a lot of thyroid clinics  
25 that routinely use 10 to 15 microcuries of I-131 for thyroid



1 uptakes, and they didn't want that much management oversight  
2 of prescriptions for 20-30 patients a day that they're doing  
3 thyroid uptakes on., because this was just seeming like an  
4 overkill.

5           Once you start getting beyond 30 microcuries, then  
6 you're no longer in the thyroid uptake range for I-131.

7           MR. TELFORD: Yes.

8           MR. TSE: May I ask a question? On this  
9 particular item, I-131, the participants in the other  
10 workshop have suggested that to limit further -- that means  
11 the way we said here, all chemical forms, is included. But  
12 the other participants suggest that, for example, I-131 with  
13 Heparin gives a much less dose than sodium iodine.  
14 Therefore, they suggest -- and they use a lot of Heparin.  
15 And they suggest whether we should limit further for that  
16 objective only to those I-131 30 microcurie sodium iodine.

17           I want to ask the participants here, nuclear  
18 medicine group, whether you have any suggestions on the  
19 Heparin item or not.

20           MS. MARCIANO: I think if we follow along the same  
21 lines as the people that are doing the thyroid studies with  
22 small amounts of I-131, then the same would hold true for  
23 the Heparin. We're going to try to establish something that  
24 doesn't encompass people that are doing routine tests that  
25 are not endangering patients from misadministrations, that

1 would be great.

2 MR. TSE: How about you?

3 MS. SULLOWAY: We do not do those studies.

4 MR. TELFORD: Can you use that, Joe?

5 MR. HELLMAN: I'm not sure what our people in  
6 nuclear medicine do.

7 MR. TELFORD: Okay. Let's press on to the last  
8 four objectives. Number five just says to ensure that the  
9 medical use is in accordance with -- I'll read this twice --  
10 either, A, the diagnostic referral and the manual, or ensure  
11 that the medical use is in accordance with, B, the  
12 prescription.

13 So having once written down what was to be done,  
14 and it was written from either the referral and the manual  
15 or it was written in the prescription, if we just follow  
16 that, if we make sure that the administered dose or dosage  
17 is in accordance with one or the other of those, then that's  
18 the objective. If we could get that to happen, we would be  
19 one step ahead.

20 Number six says let's go after the problem of  
21 verifying the patient identity. Let's have something in  
22 everybody's quality assurance program that ensures that  
23 prior to use, medical use, that the patient's identity is  
24 verified. Now, the patient's identity is either part of the  
25 diagnostic referral or is part of the prescription. You can

1 tell by looking at the definition page in your handout that  
2 a name, it's a referral for a patient or a prescription for  
3 a patient. So the person's identity is there.

4 Now, recall the assurance program, of course, has  
5 to go beyond that. It would have to say what you would  
6 actually do to identify the patient. There are various  
7 little tricks that you can use and I'm sure that you're  
8 aware of most of them; that you might want to ask the  
9 patient's name. If it's an inpatient, you would want your  
10 technologist to look at their arm band ID.

11 You might want to ask the person their address or  
12 if they know what they're in here for, or their Social  
13 Security number, or their mother's maiden name, or many  
14 other things that you might ask them. But some subset of  
15 those would probably be helpful in identifying a patient.

16 So all number six says is we think it's a good  
17 idea if you verify that patient's identity and leave it up  
18 to the individual hospital or clinic as to how they do that.

19 Whatever works for you, because in various parts of the  
20 country, you may have -- this may be a problem. In other  
21 parts of the country, it may not. It depends on your  
22 practice.

23 Number seven says that we would like the  
24 unintended deviations identified and evaluated. Now, the  
25 intent of number seven says if we look at the referral and

1 the manual for a moment, we have a liver scan and a certain  
2 amount of technetium was supposed to be used, for example.

3 The manual would say how much is to be given, in  
4 what chemical form, and in what route. So you look for  
5 deviations from that written directive. If it was  
6 administered as described in the manual, great. You just  
7 say delivered as prescribed, speaking generally.

8 On the other hand, if there was some unintended  
9 deviation; it could have been in chemical form, it could  
10 have been in route of administration, or it could have been  
11 in dose; and number seven says we think it's a good idea if  
12 you record that.

13 The purpose is that at the end of the year when  
14 the audit happens, then the person doing the audit can go  
15 look up these and investigate them a little bit and find out  
16 how many of these occurred were truly kind of noise level  
17 stuff; how many were a little bit beyond noise and how many  
18 were kind of stuff we ought to pay attention to. Because if  
19 we have a lot of those, the licensee management might want  
20 to ask the question did we get lucky, are those small just  
21 by a matter of chance, is this telling us something that we  
22 have a lot of small mistakes and there may be something we  
23 need to add to our quality assurance program so that we can  
24 prevent misadministrations or any kind of large mistakes in  
25 medical use.

1 MR. WIEDEMAN: John, I've got a couple of examples  
2 of the deviation. Let's say, for instance, you have a small  
3 child and you could not get -- your procedures manual says  
4 you'll give an intravenous injection of so many millicuries  
5 per kilogram of body weight, intravenously.

6 However, after making numerous attempts to get it  
7 intravenously, let's say you gave it intra-arterially.  
8 That's a deviation from your procedures manual. Or you gave  
9 it subcutaneously, which occasionally happens.

10 Another deviation would be if you have a patient,  
11 let's assume, that has a non-functional liver or kidney and  
12 the procedures manual says that we'll normally give  
13 technetium sulfur colloid, a range of five to 15  
14 millicuries.

15 Well, because the patients liver or kidney is non-  
16 functional, your physician may decide that we're going to  
17 double the dose, go beyond what the procedures manual says.  
18 So that should be documented that this patient received a  
19 double dose because of whatever the medical decision was.

20 MR. TELFORD: You also have to read number seven  
21 as a therapy procedure, what we're calling a prescription,  
22 this written directive signed by the authorized user  
23 physician. So number seven would likewise say that any  
24 unintended deviation from what was prescribed is identified  
25 and evaluated.

1           And this might be a case of teletherapy where 200  
2           rads was the daily fraction that was supposed to be given  
3           for 20 days. But on the 11th day, they gave 180 or on the  
4           12th day they gave 220.

5           While these variations may be important or they  
6           may not be, all this says is it's a good idea to record  
7           these so that this can be part of the feedback in the  
8           licensee management, to allow them to decide that the  
9           program is sufficient or not.

10          If they have very, very few of these and they  
11          don't mean much, okay. Then let the licensee management  
12          have that ability to make that determination. Their program  
13          doesn't need fixing, it's okay. But on the other hand, if  
14          they see a whole lot of these in teletherapy and if they  
15          discover, for instance, that one technologist just has a  
16          really bad habit of never getting on the mark, never giving  
17          200 and always being off -- almost always being off the  
18          mark, well, it may be time for some training, a little extra  
19          training, some sort of remedial work with that one  
20          technologist.

21          So these are just indicators that allow licensee  
22          management to be involved, to have control, fix a problem if  
23          it exists.

24          Number eight is kind of an obvious statement that  
25          just says make sure that brachytherapy and teletherapy is in

1 accordance with the prescription. This is the written  
2 directive for therapy.

3 So the theme is to have the authorized user  
4 physician in charge, to make the medical decision that,  
5 indeed, that's therapy that this patient should have for  
6 teletherapy or brachytherapy, and this is the objective that  
7 would get the medical technologist, but more specifically  
8 the medical physicist to be in accordance with this.

9 Now, the reg guide talks about the things that you  
10 would do before implant on brachytherapy, and then what you  
11 do after implant, because we recognize that, indeed, there  
12 may be some difficulties in the operating room.

13 You may think you can get 27 seeds in there, but  
14 if it turns out that you can only get 19 in there, we want  
15 to allow that to be altered, but by the nuclear medicine  
16 physician.

17 So that's the eight objectives. Let me pause for  
18 questions and comments.

19 MR. HUEN: I'd like to ask a question on number  
20 five. Ensure that the medical use is in accordance with and  
21 so forth. Who is going to do that? It's like checking the  
22 physician -- supposedly the physician would say, okay, I  
23 want to prescribe 5,000 rads to the lung. Who is going to  
24 say if that is right or wrong?

25 MR. TELFORD: None of us.

1 MR. HUEN: None of us either.

2 MR. TELFORD: No, no. That's not what this is all  
3 about. The authorized user physician says 5,000. That's  
4 medical judgment.

5 MR. HUEN: Right.

6 MR. TELFORD: That's completely outside of this  
7 objective. All this says is let's make sure that the 5,000  
8 gets delivered.

9 MR. HUEN: Okay.

10 MR. TELFORD: If the 5,000 gets delivered in the  
11 number of fractions that the nuclear medicine physician has  
12 prescribed. That's the whole objective, to put the  
13 authorized user physician in charge. If they prescribe  
14 5,000, that's their job. That's what they're supposed to  
15 do.

16 If this is nuclear medicine -- radiopharmaceutical  
17 therapy, if they say this person needs ten millicuries of I-  
18 131, fine. We just want ten to be given, not 15.

19 MR. HUEN: I misunderstood you. I thought who is  
20 going to decide whether it should be 5,000 or 6,000.

21 MR. TELFORD: Well, I'm glad you asked that  
22 because I don't want you going away from here thinking that.  
23 I want you going away from here saying, okay, this is what  
24 happens after the nuclear medicine physician issues the  
25 written order as to what should happen.



1           Now, let me back up to number three, because in  
2 other workshops, places that do a lot of diagnostics. We  
3 say diagnostic referral. You'll note in the definition that  
4 we say written.

5           What I'm claiming to you is that that's the ideal  
6 case is to have referrals written, signed by a physician. A  
7 lot of people have told us, oh, we don't get them written.  
8 Some of ours come up over the phone. Some people have said  
9 we get a referral over the phone and we send them a letter  
10 saying did you really intend this, this is what we're going  
11 to do. But they do it after the fact, followup.

12           Other folks would say we make sure that the person  
13 receiving this verbal directive knows what they're doing.  
14 They know when something is not appropriate.

15           So what I want to say to you is in your quality  
16 assurance program, that each of these proposed objectives,  
17 you describe in your program what your hospital or clinic  
18 does. If all these are not written, then you say under what  
19 conditions they would be otherwise.

20           So I don't want to upset anybody's way of doing  
21 business, but all I would ask you to do is document what  
22 you're currently doing.

23           MR. MONTGOMERY: John?

24           MR. TELFORD: Yes.

25           MR. MONTGOMERY: Just a comment. Having inspected

1 a number of medical licensees, and I recently looked into a  
2 facility that I inspected that had four diagnostic  
3 misadministrations recently, and just trying to -- even  
4 though they aren't officially under this program like this,  
5 I looked into it anyway.

6 One of the interesting things about this is all of  
7 these are -- most of these objectives are based on some kind  
8 of check or balance system, which is on -- the whole rule is  
9 heavily weighted towards checking -- someone checking  
10 someone else's work or other ways of verification.

11 In this particular institution, all four of these  
12 misadministrations were caused by an error made by the  
13 referring physician. The error was he had stamped the wrong  
14 patient's name on the referral form. The more I think about  
15 this, I see this is an error made at the very beginning of  
16 this entire process.

17 I see that as a very -- probably one of the most  
18 difficult to detect and deal with, and I know the  
19 institutions have a lot of trouble with it.

20 In addition, the referring physicians that made  
21 the errors were residents who, in every case, had -- we all  
22 know about residents and how many long hours they tend to  
23 work and the heavy patient load and a lot of paperwork and  
24 their fatigue at the end of their shift, and they try to  
25 process all this paper, and they make these stamping errors.

1 Simple, straightforward stamping errors.

2 The only way some -- they made a lot more than  
3 four errors, but most of them are caught by the  
4 technologist. Maybe it's a male and it's supposed to be a  
5 female. That kind of obvious thing. But in some cases, it  
6 wasn't obvious and they went ahead and administered the  
7 dose, and it was a misadministration.

8 So just a comment that there may be a little  
9 loophole there, at least something -- if you have an error  
10 occur right at the front end, something that maybe we need  
11 to look at, too.

12 MR. TELFORD: I agree. That's a potential problem  
13 and we've heard it in other workshops. When patients get  
14 sent to the nuclear medicine department, it's supposed to be  
15 a 51-year-old female and a 51-year-old male shows up, and  
16 they say, whoops, got a problem here.

17 MR. WIEDEMAN: On that situation where they  
18 stamped the wrong patient's name on the requisition, most of  
19 the hospitals that I've seen that come back with a  
20 corrective action, will state that from now on when the  
21 patient is brought to the nuclear medicine department, the  
22 patient's chart is brought down with the patient.

23 The technologist will then review the physician's  
24 orders and compare that with the prescription or the  
25 diagnostic referral. And it's in their procedures manual

1 that if they cannot find where this brain scan was ordered,  
2 then that referring physician is contacted to find out if he  
3 really wanted the brain scan, and that type of thing.

4 So there is a way of detecting that. Outpatients,  
5 it's a little different. They don't have a chart to review.

6 MS. MARCIANO: I sort of have a comment with  
7 number seven. It's really the only one that I see our  
8 institution may be having some problem with, and I'd be  
9 interested in how other workshops have commented on this  
10 particular one. Because I think for all the others, we have  
11 mechanisms in place as kind of a check and balance to ensure  
12 that the outcome is correct.

13 On this one, it seems that when there are these  
14 deviations, considerable thought has gone into it as far as  
15 recognizing maybe the dosage needs to be increased because  
16 of the patient's particular disease or whatever, and that  
17 maybe the thought process that people wouldn't be -- this is  
18 something we have to document, but rather to go ahead and  
19 treat that individual.

20 MR. TELFORD: Let me see if I understand this.  
21 What you're describing to me is that we have a patient,  
22 Patient A. A prescription was written, but now after  
23 looking at more evidence, maybe more lab tests or something,  
24 the physician says, oh, I need to change this dose a little  
25 bit.

1           What this is after is identifying unintended  
2 deviations. What you're describing to me is an intentional  
3 deviation that is directed, in fact, by the authorized user  
4 physician. If the note of that was made in the patient's  
5 chart or if the prescription, the written directive was --  
6 ideally, to modify the written directive so that then the  
7 technologist knows what to do, then no unintended deviation  
8 would occur.

9           It's just when the authorized user physician says  
10 give this patient 50 microcuries and the technologist, for  
11 some reason, gives 75 or switches from microcuries to  
12 millicuries. That's the unintended deviation. If the  
13 prescribed dose or doses gets delivered to the patient such  
14 that the direction from the nuclear medicine physician was  
15 followed, that's exactly what we want to happen.

16           We don't want to infringe on what the nuclear  
17 medicine physician prescribes. We want that person in  
18 charge. We want their directives to be followed. We're not  
19 after those guys. We're after the delivery of this  
20 byproduct material, if you will.

21           So this is part of the paper trail that says,  
22 okay, for this list of cases last year, we had these  
23 unintended deviations. Then management can go back during  
24 the audit and say, okay, now what was the cause here, who  
25 were the people involved, what's needed here. Are you with

1 me?

2 MS. MARCIANO: Okay.

3 MR. TELFORD: Anybody else? Yes.

4 MS. RIEDLINGER: I have a comment and then some  
5 questions. The comment is that some technologists I was  
6 talking to were in a small nuclear medicine program and they  
7 were not really terribly overworked, and they told me that  
8 their approach was when the patient came in, they would say  
9 hi, what's your name, what are you in the hospital for or  
10 why are you here today, what's your medical condition, and  
11 what has your doctor prescribed.

12 Then they'll look on the prescription and if it  
13 doesn't match, they start making phone calls. They said  
14 that frequently they would have problems and they would  
15 track down, but at that time, there was no requirement for a  
16 written prescription and they frequently had problems.

17 So that might be one approach to solving --  
18 identifying on the outpatient basis if it seemed to make  
19 sense.

20 Then, the other question I have -- do you have a  
21 comment?

22 MR. TELFORD: I thought you were going to talk  
23 about number two, which is all about therapy.

24 MS. RIEDLINGER: No.

25 MR. TELFORD: I'll put up whatever you want to ask

1 questions about.

2 MS. RIEDLINGER: Number seven.

3 MR. TELFORD: Number seven.

4 MS. RIEDLINGER: Unintended deviation. The  
5 documentation and review of that, I'm a little uncertain.  
6 What I think you've intended is that the radiation safety  
7 officer or the committee or the outside audit, annual audit,  
8 would identify and review these unintended deviations, and  
9 then they would be written up, presented to the committee,  
10 and documented there. Is that correct?

11 MR. TELFORD: You used one word that I have to  
12 correct. You said outside audit. Scratch outside. We  
13 never, ever said outside. We just said audit. It can be  
14 somebody from within the department.

15 Remember my example of Dr. Brickner has 12  
16 technologists. One of those does the audit once a month.

17 MS. RIEDLINGER: Okay.

18 MR. TELFORD: So all we're trying to do is we're  
19 trying to prevent me from auditing myself. If I did the  
20 work, I'm blind to my mistakes. If I do the audit, I say I  
21 know I did that right, I just don't -- even if I'm looking  
22 at it, I'm still blind to those mistakes. I don't see my  
23 mistakes.

24 More ideally it's Joe that comes in and does my  
25 audit. I mean, he may be my colleague and he may doing

1 exactly the same thing. So please don't give anybody the  
2 impression this is outside.

3 Also, we're saying just make a record of this.  
4 We're not saying what organization -- we're not saying the  
5 RSO has to look at these. We're not saying the radiation  
6 safety committee has to look at these. We're saying at the  
7 end of the year, licensee management has to look at the  
8 results of this audit or designate somebody to look at it,  
9 somebody qualified.

10 I mean, it could be that the licensee comes down  
11 to be the President of the hospital and this guy says, look,  
12 I want this authorized user physician to look at the results  
13 and make a recommendation to me.

14 We're just giving the responsibility to the  
15 licensee. The spirit here is performance-based rule. We  
16 let the hospital decide how to do it, how to fix its own  
17 problem. So we're not in any way saying the RSO has to do  
18 it, we're not saying the radiation safety committee has to  
19 do it.

20 Those may be perfectly acceptable ways, I'm not  
21 saying they're not, but we're letting the licensee figure  
22 out how they do their evaluation with this unintended  
23 deviations.

24 MR. WIEDEMAN: I'm just going to throw in one more  
25 example, maybe an unintended versus an intended deviation.



1 The procedures manual says that we're going to give, say,  
2 100 microcuries of I-131 for thyroid scan. So you are in a  
3 remote area geographically. You order the 100 microcuries  
4 from Mallenckrodt Nuclear. It's supposed to arrive on  
5 Monday morning, but it shows up on Monday morning and you go  
6 through your typical QC-QA procedures, you check it in the  
7 dose calibrator, it's 90 microcuries by the time it got to  
8 you.

9 Well, if the technologist went ahead and delivered  
10 that dose to the patient, that's an unintended deviation.  
11 However, if the technology went to the authorized user and  
12 said, now, Doctor, you wrote the prescription of 100  
13 microcuries, we only have 90 microcuries because by the time  
14 it got to us. If that physician evaluates that and says no,  
15 I think 90 microcuries is more than adequate for this  
16 patient, then that is an intended.

17 Therefore, we're putting the burden back on the  
18 user physician rather than leaving it up to a technologist  
19 to make that medical decision.

20 MS. RIEDLINGER: Then does that put the  
21 technologist in the position of having to identify the  
22 unintended deviation?

23 MR. WIEDEMAN: Well, if the technologist went  
24 ahead and gave the 90 microcuries, did not check with the  
25 authorized user, then that's an unintended deviation and

1 would have to be identified and described why he did this or  
2 what happened, where he fell through or where he didn't  
3 follow up with the physician.

4 MR. FRAZEE: But you would not expect the  
5 technologist to do that. Why would you deliberately invite  
6 an unintended deviation. My question -- granted, yes. My  
7 question in this particular objective is, to me it seems  
8 that this is obvious that this is an ongoing thing. It's  
9 done more frequently than, say, the annual audit, although,  
10 in point of fact, the annual audit could be doing the same  
11 thing.

12 But your intention is that this is a daily, a  
13 weekly, a monthly -- this is a frequent --

14 MR. TELFORD: The actual recording of these?

15 MR. FRAZEE: Well, as identified and evaluated.

16 MR. TELFORD: Yes.

17 MR. FRAZEE: Therefore, this technician who went  
18 ahead and gave the 90 without checking with the physician is  
19 going to do his -- is he going to identify the unintended  
20 deviation or is someone else coming in?

21 MR. WIEDEMAN: Well, you do periodic audits as a  
22 way to catch it. If he didn't catch it, the authorized user  
23 didn't catch it, then during your periodic audits, the  
24 outside auditor may catch that.

25 MR. FRAZEE: Okay, but that's the period audit.

1       What I'm trying to find out is is that acceptable, that the  
2       periodic audit does it, or is this particular meant to be  
3       there as the double-check.

4               There is that second person that's right there  
5       over your shoulder watching what's going on; maybe not  
6       instantaneously, but the double-check. So you identify  
7       unintended deviations which, in fact, may be  
8       misadministrations and, therefore, reportable.

9               MR. TELFORD: Double-checks may be an outgrowth of  
10       number five; that the hospital is trying some procedure of  
11       double-checking or whatever to make sure that medical use is  
12       in accordance with either the referral and the manual or a  
13       prescription.

14               This one is just to make a record of if there was  
15       an unintended deviation, just make a record of it. The  
16       evaluation maybe is -- the connotation may be a little too  
17       strong here. We're not visualizing that the technolog. t  
18       would do any analysis of this, but it's more as Darrel  
19       described.

20               If you will, the technologist has his or her  
21       choice. They can say, okay, I'll give the 90 microcuries  
22       even though the manual says give 100. But if they do, then  
23       they say -- they write down they gave 90, and whether or not  
24       it was in accordance with the manual.

25               Their burden then would be to say I gave 90. My

1 evaluation is that it decayed before I got it and that's the  
2 end of their job.

3 But on the other hand, as Darrel points out, if  
4 they don't want to do that record, all they have to do is  
5 say back to the physician that the referral and the manual  
6 say give 100, but I've only got 90.

7 So if somebody in charge had said, okay, 90 is  
8 okay, exercising medical judgement, then you have no  
9 unintended deviation. This is really a recording device,  
10 that you just identify the unintended deviations.

11 MR. TSE: Maybe I would suggest that let the  
12 participants say what do they do in case if the physician  
13 says 100 microcuries, and it turns out the technologist only  
14 has 90. What do you do in those cases?

15 MS. SULLOWAY: I would have to go to my physician  
16 in charge.

17 MR. TSE: You would have to go to the physician in  
18 charge.

19 MS. SULLOWAY: Yes.

20 MR. TSE: How about your case?

21 MS. MARCIANO: Same thing.

22 MR. TSE: Okay.

23 MR. TELFORD: Anybody else?

24 MR. HELLMAN: I'm not associated with nuclear  
25 medicine. I don't know what they do.

1 MR. TELFORD: And both of you are therapy guys.

2 MR. WIEDEMAN: I can give another real-life  
3 example. We had a small community hospital up in Wisconsin.  
4 They had an old rectrolinear scanner and it was -- for some  
5 reason, this hospital had an operations manual that  
6 described the dose range for the particular scans and the  
7 chemical-physical form.

8 Now, the technologists, on their own, had decided  
9 that any patient over 65 might move while they're being  
10 scanned and that would just destroy their scan. So they, on  
11 their own, would automatically double-dose every patient.

12 So if you were going to get normally 20  
13 millicuries, they would give them 40-45 millicuries. They  
14 would enter into the log that they gave the patient 20  
15 millicuries, even though they really gave 40.

16 Now, they've been doing this for three or four  
17 years, two technologists. Finally, one of them went on  
18 vacation and they brought in an outside technologist, and  
19 they told the outside technologist, part-timer, now whenever  
20 you get anybody over 65, you give them a double-dose, but  
21 you enter the routine dose down on the books.

22 Well, this technologist said, hey, this does not  
23 sound right. So she ended up calling us and said can I  
24 really do that. Well, needless to say we, had an inspector  
25 the next day.

1 MS. MARCIANO: And they never hired her again.

2 MR. WIEDEMAN: The interesting thing was the  
3 hospital was issued an order to immediately suspend their  
4 operations until we could fully investigate it, and this was  
5 a case where the hospital came back and sued the  
6 technologists for their actions.

7 Now, that was definitely an unintended deviation.  
8 The physician, we asked him, did you ever review the  
9 technologists' work, and he said every single month I would  
10 go over the logs and I would look at the doses that should  
11 have been given and they were all in accordance with the  
12 procedures that I have established.

13 But there's definitely an unintended deviation. I  
14 wouldn't expect those technologists to identify it and to  
15 spell it out, but at least maybe management audit maybe have  
16 caught that, maybe if they had noticed that they keep  
17 ordering double the amount of material that they've been  
18 using, it may have been caught that way.

19 MS. MARCIANO: But it wouldn't be caught by what's  
20 described here, because we're, again, dependent on people  
21 entering in exactly what they're giving and what they're  
22 doing.

23 MR. WIEDEMAN: Exactly. There is always someone  
24 who can figure out a way of getting around the rules.

25 MR. HORNOR: Your inspector should have caught it.

1 Where was he?

2 MS. RIEDLINGER: My question was if you're the  
3 inspector, I'm not really clear on what's expected. I mean,  
4 if you are looking at the overall program, quality assurance  
5 program that the hospital chooses to put in place, and you  
6 want to evaluate whether or not it's working, you want to  
7 look at the evaluation of the unintended deviations, where  
8 do you find them?

9 MR. TELFORD: Well, I can appreciate your point of  
10 view as an inspector. Recall that I began by saying we're  
11 going to have the QA Team that's going to develop criteria  
12 for program review. That QA Team is going to go through 18  
13 programs with a fine-toothed comb, asking the question does  
14 this program meet the proposed 35.35.

15 So on their own, they will have developed what we  
16 might call a standard review plan for looking at programs.  
17 Similarly, they will have developed the criteria for the  
18 site evaluation, what you might think of or I might think of  
19 as the inspection manual, inspection module for this  
20 particular one.

21 So that the QA Team, in fact, beginning next week,  
22 will have a meeting all week to finalize both those sets of  
23 criteria. So that by the time of the post-trial period  
24 workshop, we will be their confessing to everybody what the  
25 criteria were that we used for both the program evaluation

1 and the site evaluation, or what you would recognize as  
2 becoming licensing criteria and inspection criteria come  
3 final rule time.

4 So we're working on that and we'll have exactly  
5 what you as an inspector would use. I might note that that  
6 probably never happens. During the rule development, it  
7 probably never happens that so much work goes into  
8 developing the standard review plan and inspection module.

9 So I think we are greatly ahead of the game here.

10 MS. RIEDLINGER: Well, two things I would hope  
11 that they would really look at would be to ask the hospital  
12 how they will identify the unintended deviations and who  
13 will evaluate them and where the record of the evaluation  
14 will be maintained.

15 MR. TELFORD: Those are good questions. We will  
16 note them. You mentioned the word record. Let me say we're  
17 right here. I'll have an opportunity to say something about  
18 records so far as volunteers.

19 The objective here is for the volunteers to modify  
20 their program or structure a program to meet the proposed  
21 35.35, and to try it out for 60 days. The only -- there are  
22 some records that we would like you to keep so that we can  
23 do an evaluation. Some of those records will be discussed  
24 on the evaluation form that we'll go through this afternoon.

25 But basically let me tell you that you keep the



1 prescriptions, the referrals, your manual, and the  
2 administered dose or doses. Part of the discussion this  
3 afternoon on the reg guide, you will come to find out that -  
4 - for instance, on a prescription.

5 You keep the prescription. Now, that prescription  
6 could be on a separate form or it could be in the patient's  
7 chart. Keep it in whatever form you currently do. If those  
8 charts go to central records and if our QA Team shows up to  
9 evaluate your site, we say, fine, can we see some of these  
10 records. We understand you have to go to the central files  
11 and pull those out, and that's fine. We're not asking -- my  
12 point is we're not asking for any special copies. We're not  
13 asking for any special format. We're not asking for any  
14 extra records to be kept. Just the records you've got now.

15 But I'm requesting that we be able to look at  
16 those prescriptions or those referrals for those patients  
17 that you treat during the 60 days, so that we can do this  
18 identification and evaluation ourselves for those 18 sites.

19 So let me repeat. It's prescriptions, referrals,  
20 manual, and the administered dose or doses. So that you  
21 would have your choice for writing down how you write that  
22 down. For teletherapy, for instance, if you're giving 20  
23 fractions of 200 rads each, my understanding is most places  
24 say, okay, the prescribed is 200 for each 20 fractions.

25 So each day they put down the actual administered

1 fractions. It might be 205, it might 195, it might be 206,  
2 maybe 201. Just write it down in a column. You don't have  
3 to -- for your records, you don't have to have three  
4 columns, for instance. You don't have to say prescribed is  
5 200, administered is 205, and the delta is five. You don't  
6 need to do all that.

7 If you just wrote down those first two, 200 and  
8 205, that's enough. Anybody can look at that and say, okay,  
9 the unintended is five; big deal. But that's what we'll do  
10 when we come -- if we come to your site.

11 On the other hand, if it's a referral, you can say  
12 the manual said give ten microcuries. You can either say we  
13 gave ten or whatever you gave, or you can say what was  
14 supposed to have been given, we gave what was prescribed.

15 So you can do two out of three. You don't have to  
16 do all three. You don't have to have a delta column. So  
17 those are the only records that we would ask you to keep.

18 Yes, Terry?

19 MR. FRAZEE: Obviously, you'd have to keep a  
20 record of an audit, but it doesn't count in this case,  
21 because we're only doing a two-month period for a single  
22 audit. But what about a record of the unintended doses, or  
23 at least having checked for unintended deviations?

24 MR. TELFORD: Well, you're asking about --

25 MR. FRAZEE: If you identify an unintended

1 deviation, that means there must be a record of that?

2 MR. TELFORD: Well, this could be the patient's  
3 chart; that the authorized user physician put in the  
4 patient's chart, put the prescription in there. And  
5 likewise in the chart, you may record what was given.

6 MR. FRAZEE: Did you say patient's chart?

7 MR. TELFORD: Yes.

8 MR. FRAZEE: The prescription and referral? There  
9 it is. Separate form or the patient's chart. Okay.

10 MR. TELFORD: Yes. It could be the patient's  
11 chart as long as -- if you look at the definition of  
12 overcalling a prescription, it's a written directive. It's  
13 made, date and signed by the authorized user physician. For  
14 teletherapy, it contains certain information. For  
15 brachytherapy, certain other information. For  
16 radiopharmaceutical therapy, certain other information.

17 As you might suspect, the radiopharmaceutical, the  
18 dose and the route of administration for radiopharmaceutical  
19 therapy. So if that appears in the chart, all I'm saying to  
20 the volunteers is they should record what was given.

21 Now, this is -- so don't put too much emphasis on  
22 the word "evaluate." In number seven here --

23 MR. FRAZEE: Or even identify. It has to be  
24 identified, yet I would think the purpose of identifying it  
25 is so that you could, at some point in time, evaluate the

1 whole program; i.e., the audit.

2 MR. TELFORD: Right.

3 MR. FRAZEE: And be able to give an accounting of  
4 your program. If it's gone away with the patient chart,  
5 does that mean that at the time of the audit, that you've  
6 got to go pull all the patients' charts to identify or to  
7 find those unintended deviations?

8 MR. TELFORD: I'd suspect --

9 MR. FRAZEE: I'm saying that maybe number five  
10 here in your list of required records should be a list of  
11 unintended deviations.

12 MR. TELFORD: We're trying to make it easy on our  
13 volunteers.

14 MR. FRAZEE: Okay.

15 MR. TELFORD: Let me make two comments. If this  
16 rule were final, I would suspect that the licensees, the  
17 licensee management would say, okay, we'll do an audit. And  
18 if they had treated a thousand patients last year, they  
19 would go do a sampling of those thousand patients and pull  
20 up a sample of those thousand records. And they would say,  
21 okay, how many unintended deviations did we have.

22 The evaluation would really be done at the audit  
23 time, would be done by the licensee management or their  
24 designee, and then they would have to make a finding that  
25 their program is still effective.

1           So it would not be 100 percent census of all those  
2 records, but now we're talking about the pilot program. The  
3 volunteers would like not to have to do a lot of extra work.  
4 I'm convinced that their current records are sufficient,  
5 that our QA team can just come to those 18 sites and do  
6 their own little sample of those records of those patients  
7 that were treated during this time period.

8           Darrel?

9           MR. WIEDEMAN: Almost every nuclear medicine  
10 department will have what we call a utilization log where it  
11 will list the patient's name, the type of study that was  
12 prescribed, and the dose that was given. And then as the QA  
13 Audit Team, we would go in and look and say, well, you gave  
14 this patient ten millicuries; we look at your manual, your  
15 manual says ten millicuries, one cross checks with the  
16 other.

17           But if all of a sudden I see that they gave 40  
18 millicuries and that goes beyond what your manual range is,  
19 then I would expect to see something written up somewhere,  
20 either in the patient's chart or another deviation log or  
21 whatever you want to call it, to explain why that patient  
22 received 40 when it went beyond your procedures manual.

23           MR. TELFORD: Let me ask the volunteers. My  
24 description of these records, do you understand it and is it  
25 doable?

1 MS. MARCIANO: I guess I'm still not clear on this  
2 unintended deviation. Does that include misadministrations?  
3 What you described, Darrel, was a misadministration with the  
4 bone scan.

5 MR. WIEDEMAN: Uh-huh. Well, not really. It  
6 depends. Did the physician approve the 40 millicuries for  
7 the bone scan?

8 MS. MARCIANO: No.

9 MR. WIEDEMAN: That would be a misadministration.  
10 Then if the physician had prescribed -- if you went to him  
11 and said, well, this guy or this patient has some kind of a  
12 problem. I don't know what the problem is. And we've given  
13 him, say, the previous dose of ten millicuries, it was  
14 ineffective, we didn't get a good uptake of the bone, and he  
15 said, well, let's go ahead and give 40 millicuries, that's  
16 not an unintended; that's an intended.

17 It's really basically misadministrations or errors  
18 in how that patient got the dose.

19 MS. MARCIANO: But it's an umbrella. It's  
20 everything other than what was prescribed. Is that correct?

21 MR. WIEDEMAN: Yeah.

22 MR. TELFORD: Yes. Joe.

23 MR. HELLMAN: Now, I'm looking at the therapy  
24 point. At first I didn't think this affected me, but now it  
25 could potentially. Physician orders therapy 300 times ten.

1 Say that I do a math error and I forget the attenuation  
2 factor, so I'm five percent off for one treatment.

3 I record -- I catch it in my weekly chart check  
4 and instead of saying you gave 300, the guy got 300 or 310  
5 or whatever. It's not a reportable misadministration, even  
6 under these new rules, and I wouldn't do anything more than  
7 just line through the fact that they got 305 and made an  
8 adjustment to account for that later.

9 MR. TELFORD: I think you're saying you would make  
10 a record in your delivery that you were supposed to give  
11 300, but you gave 310.

12 MR. HELLMAN: Right, 310. That's it.

13 MR. TELFORD: That's it.

14 MR. HELLMAN: Do I need to do anything more than  
15 that?

16 MR. TELFORD: Not now, no.

17 MR. HELLMAN: Where I hear the difference now is I  
18 wouldn't then keep a lot of where this mistake was, and now  
19 I hear you might be asking for that.

20 MR. TELFORD: No, you would not. That's what's  
21 Darrel saying, you don't keep an extra log. But if it's  
22 your practice to, say, in radiopharmaceutical therapy, to  
23 keep a record of what was actually delivered, then it might  
24 appear there. That might be useful as a tool for this  
25 recordkeeping.

1           MR. HUEN: You asked the question is it doable. I  
2 can envision my institution, when we come to this annual  
3 audit, there may be two problems. Number one is the  
4 mechanical problem because everybody's got a hand on it, and  
5 it would be kind of difficult to get an impartial audit;  
6 dosimetry, technologies, everybody's got his hand on the  
7 calculations. So who is going to look at that. That's  
8 number one.

9           Number two, when -- somebody is going to look at  
10 the charts, that means there will be more time involved.  
11 The management may not be willing to pay for the time. So  
12 these are the two things I can -- the first may still be --  
13 somehow maybe two people can review or we take turns or this  
14 sort of thing. That might be overcome, but the second  
15 problem might be a little bit more --

16           MR. TELFORD: Well, the first case is about  
17 audits, but the second case is, if I understand this  
18 correctly, is about calculation --

19           MR. HUEN: No.

20           MR. TELFORD: -- of the therapy dose as a routine  
21 matter.

22           MR. HUEN: No. The first one is about checking to  
23 see if there's any deviation. Who is an impartial person to  
24 do this? As I say, all the physicists, all the  
25 dosimetrists, everybody's got a hand on it, so there's



1 nobody from the outside to look at that.

2 The second point is that management may not be  
3 willing to pay the extra dollars for the -- for whoever it  
4 is to do this extra work. So those are the two things I can  
5 see perhaps may be an obstacle.

6 MR. TELFORD: For the audit, you don't have to --  
7 you do not have to do an audit as part of pilot programs.  
8 That's part of the proposed rule. So as part of the next  
9 workshop, we can talk about how to improve on the audit  
10 requirement.

11 Part of what you're saying about checking the  
12 dose, rechecking the dose calculation is an overcheck. We  
13 have some suggested things in the reg guide, but this is  
14 more like number five or number eight; that either the  
15 treatment planning is in accordance or the actual delivered  
16 dose is in accordance with the prescribed dose here.

17 All we're really saying is the objectives are to  
18 have it in accordance with or to have the treatment planning  
19 in accordance with. How you do it is up to you. We would  
20 very much like to find out how you minimize the impact on  
21 your facilities.

22 So that if it turns out that it's a check of the  
23 calculations if it's done by the same guy, the same  
24 physicist. But the person did it once the usual way, did it  
25 the second way with a different method to find out of the

1 two agreed, roughly; enough to satisfy themselves that they  
2 got the calculation correct.

3 Or if there are two such physicists, then they  
4 could exchange and do a calculation to check the other one.  
5 That's what is envisioned. So I'm merely listing  
6 objectives, that those things ought to be part of your QA  
7 program. How you do it, that's what we'd like to see you do  
8 to minimize the impact on your or your facilities.

9 MR. HUEN: I don't think I'm driving the point  
10 across.

11 MR. TELFORD: Okay.

12 MR. HUEN: I'm referring to the annual audit.

13 MR. TELFORD: All right.

14 MR. HUEN: And you gave me an example of Dr.  
15 Brickner who sent his 12 people around so they rotated and  
16 so forth.

17 MR. TELFORD: Right.

18 MR. HUEN: But we do not have 12 people to rotate  
19 around. So the only people we can get are the people within  
20 the same group.

21 MR. TELFORD: Okay.

22 MR. MONTGOMERY: John, I think his question is  
23 similar to one I had. In the whole audit process -- as we  
24 all know, conducting a meaningful audit is not an easy task  
25 and if you really do it right, it takes a lot of skill and a

1 lot of planning.

2 Am I hearing you right that this is going to get a  
3 lot more attention in the future about how to conduct this?  
4 You mentioned earlier that the audit right now was defined  
5 fairly loosely, that it didn't have to be an independent  
6 audit, but we simply do not want somebody auditing  
7 themselves directly.

8 I can see a lot of problems with an audit. One of  
9 them, obviously, is particularly in the military, which we  
10 inspect a lot in our region here. If you have an officer  
11 being audited by an enlisted man, you've obviously got a  
12 conflict there. It's something I wouldn't want to see. I  
13 would think that would be very wise.

14 Even a technologist auditing a physician obviously  
15 might be a conflict. This could go on and on and on with  
16 this. I think it's something that needs to be addressed  
17 here eventually.

18 MR. TELFORD: I agree that what you say are  
19 potential problems, but it also seems to that even if we're  
20 talking about a military hospital, that this audit, whoever  
21 is the commander there designates the person to do the  
22 audit. It may be a person, it may be a team of . But  
23 their job is to search through the records on a basis  
24 to find out what those unintended deviations ha be,  
25 just to identify those cases.

1           It may not be the enlisted man who is checking on  
2 the officer or the technologist who is checking on the  
3 physician. I think that's sort of a misdirected point of  
4 view. I think the commander there should have the ability  
5 to say this team of people or this person shall go through  
6 and search those records and find out what the mistakes were  
7 last year.

8           And then the people that are qualified to look  
9 into that -- maybe it's a teletherapy case and maybe it's a  
10 calculational error. Then obviously you get somebody  
11 qualified to look into that, that says, okay, here's the  
12 simple mistake. The guy used the wrong factor.

13           Well, all that goes into the audit report. So  
14 that obviously the licensee management or the commander  
15 there has to conduct the audit in sort of an effective way.  
16 But the point of view here is to let this be a performance-  
17 based rule; to say to the licensee, we think you ought to  
18 have an audit.

19           The end product will be that you have a  
20 determination that your program is effective. We'd like to  
21 give them a free hand to exercise their responsibility in  
22 however manner they think they can get the job done so that  
23 they can minimize the impact.

24           Now, true, you look into details, then there's a  
25 lot of opportunity to make a lot of silly mistakes. But

1 that's the price we pay for having a performance-based rule.  
2 No two ways about it.

3 Let me turn the focus back over to this side.  
4 We're all here to make sure that the folks sitting over here  
5 understand the intentions of these objectives. So we've got  
6 objectives one to four and objectives five to eight.

7 Two questions to each of you. Do you understand  
8 them sufficiently well that you can develop a QA program to  
9 meet the proposed objectives or modify your existing one?  
10 So do you understand the intentions sufficiently well so  
11 that you can do that or do you have questions about it?  
12 Start with Joe.

13 MR. HELLMAN: I understand them. The only one I  
14 have a little bit of heartburn is with seven and the  
15 ultimate documentation, the audit we're getting to. The  
16 other thing I'm trying to figure out is where am I going to  
17 implement this, at what level. Am I going to set up a QA  
18 program for my clinic and have nuclear medicine set up a  
19 program for theirs, or should I have the overall institution  
20 write one that sort of encompasses it. Just the  
21 application, I'm trying to figure out how -- what's the best  
22 way to do it, or what -- or is there any guidance from you  
23 all, or are you going to leave it to us and say just do it?

24 MR. TELFORD: Well, certainly, on your latter  
25 choice there, whether you have it for therapy independently

1 and nuclear medicine independently or only have one overall,  
2 that's your choice completely.

3 The only thing that I wanted to get to was your  
4 question about the keeping of the -- the recording of the  
5 records. What this is after is you have the prescription  
6 someplace. All it says is write down the delivered dose  
7 someplace.

8 So we would like to not cause you any more  
9 problems than is absolutely necessary. So do you write down  
10 the delivered dose somewhere?

11 MR. HELLMAN: We do at our place. What nuclear  
12 does, I have no idea.

13 MR. TELFORD: So that's what we would ask of the  
14 nuclear medicine department, is that they record someplace  
15 the dosage given.

16 MR. HELLMAN: The only real problem I see with  
17 this is perhaps the ultimate followup on the audit, and  
18 that's to be addressed later. I'm just not sure how that's  
19 going to work.

20 MR. TELFORD: Well --

21 MR. HELLMAN: See, I'm not going to pull all 3,000  
22 of my charts at the end of the year, or ask someone to do  
23 it, to walk through them one by one to see where these were.

24 MR. TELFORD: Right.

25 MR. HELLMAN: So it's a matter of trying to figure

1 out what do you want so I can either -- so I may, in fact,  
2 start an audit log of cases that I want to look at. I'm not  
3 sure exactly what I'm going to do yet. I've got to give it  
4 some thought.

5 MR. TELFORD: Let us all take that as kind of a  
6 take-home problem and work on that at the next workshop,  
7 noting the fact that an audit is not part of the pilot  
8 program.

9 MR. HELLMAN: Yes.

10 MR. TELFORD: Yes?

11 MR. KAPLAN: I just wanted to make one point that  
12 relates to what Joe said. You didn't mention this morning  
13 when you went over the schedule that by May 7, we would like  
14 to have a copy of your QA plan, if not earlier. You may  
15 have already brought it with you.

16 MR. TELFORD: We'll talk about that later.

17 MR. KAPLAN: But we'd also like to know what part  
18 of your institution, what parts, if not all, then which  
19 departments will be participating.

20 MR. HELLMAN: I have the authority to say that I  
21 can't make that decision.

22 [Laughter.]

23 MR. TELFORD: We'll just ask you which parts are.  
24 So you said you understood the objectives. Number seven may  
25 give you a slight problem in asking nuclear medicine to

1 write these -- to record these dosages, if they're not  
2 currently doing so. Is there anything else that bothers  
3 you?

4 MR. HELLMAN: Eight seems a little vague to me,  
5 exactly what you -- I think I know what you mean by that,  
6 but the wording of it seems a little vague. But within my  
7 department, I think I'm fine.

8 MR. TELFORD: In the case of your department where  
9 you're doing therapy, teletherapy, then all this says is  
10 your preplan and all your -- calculate the isotopes. It's  
11 in accordance with the prescription in that the nuclear  
12 medicine physician -- I'm sorry -- the authorized user  
13 physician is directing what should be done and makes those  
14 choices.

15 MR. HELLMAN: In accordance with. The question is  
16 how much deviation do I want to write in; i.e., say he  
17 writes in 11 by 15 field that changes to 11 by 16.  
18 Traditionally, we allow a centimeter of deviation within a  
19 recalculation.

20 MR. TELFORD: Put that in your program. Great.  
21 Just say that's what we do. Darrel?

22 MR. WIEDEMAN: I was just going to say the  
23 comments from the other participants in the workshop, most  
24 of them said that if you look at the wording, ensure that  
25 brachytherapy and teletherapy treatment plans are in



1 accordance with the prescription, you use some sort of  
2 general statements that each prescription will be reviewed,  
3 that's prepared by the dosimetrist will be reviewed by the  
4 therapist or the physicist.

5 We will also ensure that it's being planned  
6 properly by doing a weekly chart check and go over the  
7 mathematics, and the technologist will ensure this by  
8 reviewing that each little blank in the treatment plan is  
9 filled out, general statements like that.

10 MR. HELLMAN: My problem is I've got a lot of  
11 these things scattered all over a bunch of different clinic  
12 SOPs. My one QA SOP has some of this, but also some general  
13 procedures manual for this, for that, and so on. Pulling  
14 this together is going to be interesting.

15 MR. TELFORD: Allow me to explain later today why  
16 that's not a problem. Let's go to the next person.

17 MS. MARCIANO: I can pretty much echo what Joe has  
18 just stated.

19 MR. TELFORD: Okay.

20 MS. MARCIANO: I am still having problems with  
21 number seven because the standard of practice at my  
22 institution covers everything, except this, and it really  
23 comes down to the audit portion.

24 MR. TELFORD: Okay.

25 MS. MARCIANO: We are documenting prescribed dose.

1 We're documenting how much is given to the patient, but it's  
2 placed in a record that's been filed again. They do 10,000  
3 procedures a year and I'm having problems committing to  
4 auditing that vast amount of information.

5 MR. TELFORD: Okay. The pilot program --

6 MS. MARCIANO: And I know the pilot program -- and  
7 I'm looking a little further.

8 MR. TELFORD: Sure, sure. At the next workshop,  
9 we will talk about audits.

10 MS. MARCIANO: The other thing I'd mention is that  
11 we are implementing computer programs in our hot lab where  
12 we'll be entering all the doses, and it will be recording  
13 what our dose calibrator is reading prior to injecting  
14 patients. So I can see that in the future this won't be a  
15 problem, but --

16 MR. TELFORD: It might be automatic.

17 MS. MARCIANO: It's in there.

18 MR. TELFORD: Yes.

19 MS. MARCIANO: Now I have a question for you, and  
20 it has to do with number eight. Am I supposed to take  
21 information back to the people doing the therapeutic  
22 procedures?

23 MR. TELFORD: Yes.

24 MS. MARCIANO: Because I can't speak -- I can only  
25 speak for nuclear medicine.

1 MR. TELFORD: Is that all your questions? So I  
2 take it you understand these sufficiently well.

3 MR. HUEN: I believe I understand the objectives  
4 and, in fact, my institution is doing practically everything  
5 already. The only uncertainty I have is in the audit part.  
6 I think perhaps there might be some mild objections from the  
7 physicians when it comes to that point.

8 MR. TELFORD: To the audit.

9 MR. HUEN: Yes.

10 MR. TELFORD: Okay.

11 MR. HUEN: Because it might involve external costs  
12 and so forth that are not anticipated.

13 MS. SULLOWAY: I believe I understand all the  
14 objectives and our department, nuclear medicine, has a  
15 quality assurance program that seems to pretty well match  
16 what you want. We document doses and whatever else you  
17 want.

18 MR. TELFORD: Great. That brings us to lunch.  
19 Does anybody object to breaking for lunch? Let's go off the  
20 record.

21 [Whereupon, at 12:15 p.m., the meeting was  
22 recessed for lunch, to reconvene this same day at 1:35 p.m.]

23

24

25

## AFTERNOON SESSION

[1:35 p.m.]

1  
2  
3 MR. TELFORD: Back on the record. This afternoon,  
4 we would like to go over any special aspects this pilot  
5 program might present for doing this 60-day trial within the  
6 Agreement states. We want to discuss the evaluation forms  
7 and we would like to go through the regulatory guide, and  
8 finally to review the schedule of future activities.

9 This first item of conducting the 60-day trial  
10 within an Agreement state is usually done by Lloyd Bolling  
11 who is from the Headquarters Office of State Programs. His  
12 presence was requested to be in Washington today, so that's  
13 where he is.

14 There were just three or four items that he would  
15 mention, that I'll attempt to do so now. First of all, if  
16 you're an Agreement state licensee and you have a condition  
17 that's on your license that would either be in addition to  
18 something you have to do to meet objectives like we've been  
19 talking about today, or even in conflict with them,  
20 naturally you follow what your license condition says.

21 So just note that in your quality assurance  
22 program and follow your license conditions. Anybody who is  
23 an Agreement state licensee think of anything that might be  
24 of potential conflict or additional requirement from the  
25 state?

1 [No response.]

2 MR. TELFORD: Okay, none. The other thing to note  
3 is that this proposed rule does not cover the NORM material  
4 and it does not cover linear accelerators. I think Jack  
5 wants to make one particular statement and will bring up a  
6 point that we're considering that we want to inform you  
7 about for Agreement states.

8 MR. HORNOR: Yes. He said the rule was a matter  
9 of compatibility and I talked to him about it, and we're  
10 going to consider from this point on that it will probably  
11 be a Division 2 or Division 3 matter of compatibility.  
12 Because as a Division 1 matter of compatibility, you  
13 wouldn't be able to incorporate all the NORM material and  
14 accelerators, and you need to do that.

15 So we've got that in hand, but I would also  
16 encourage you to have your radius control program directors  
17 mention that at the upcoming meeting to our Headquarters  
18 people.

19 Thank you.

20 MR. TELFORD: I'd like to also note that at  
21 previous workshops, particularly the Dallas workshop which  
22 is fresh in my memory from just last Wednesday, there was a  
23 concern voiced that some Agreement states may attempt to use  
24 the regulatory guide as a prescriptive rule.

25 So I'd like to say that we will be taking great

1       pains to get the information across to the states that  
2       because this is a performance-based rule, we would like the  
3       guide not to be used as a prescriptive rule.

4               And one of the things that Dr. Tse will be talking  
5       about this afternoon is getting alternatives into the guide  
6       so that it's clear to everyone that any one of the  
7       alternatives ought to be acceptable for meeting that  
8       objective of the rule.

9               The other thing that I would like to note is that  
10       the NRC will be providing training for the Agreement state  
11       regulators in the use of this rule when it becomes final.

12              Any questions or comments, especially maybe from  
13       the State of California at this point?

14              MR. BUNN: No. All of this is good news as far as  
15       California is concerned, especially the Division 2 matter of  
16       compatibility. It allows us to have regulations in place  
17       covering items that aren't covered by NRC. So we're glad to  
18       hear that.

19              MR. TELFORD: Okay. The next item on the agenda  
20       is the discussion of the evaluation form. This is a draft  
21       questionnaire at this point. I don't want to steal any of  
22       Ed's thunder, but keep in mind that what you're going to see  
23       is the kind of questions that we will be asking about for  
24       the proposed 35.35.

25              What we're not going to show you is a

1 questionnaire for the regulatory guide, but just use a  
2 little bit of imagination to say, okay, I'm going to get a  
3 set of questions just like this for every section of the  
4 guide so that you will have an opportunity to comment on  
5 each section of the guide, especially if you use it and  
6 especially if you would like to give us your opinion.

7           At this time, I'd like to introduce Dr. Ed Kaplan  
8 from Brookhaven, who is going to talk about the evaluation  
9 form.

10           MR. KAPLAN: By the way, let me just mention a  
11 couple other things, first of all about reimbursement. For  
12 those of you that I've spoken to, there's a xerox copy,  
13 which is the worksheet. Send to me, to my attention, your  
14 bills, original receipts, and you can fill out the xerox  
15 copy. But the multi form should only be signed, nothing  
16 else should be on there, and we'll transfer it from the  
17 worksheet to the other sheet using our standard procedures,  
18 and then you'll be reimbursed.

19           Also, I'd like to point out that this one date  
20 that I mentioned this morning, which is May 7, is the date  
21 that we would like to have your QA plans, your written QA  
22 plans, and it's very important to us that if you choose to  
23 use your own rather than the draft regulatory guide, which,  
24 of course, you're free to do, but if you choose to use your  
25 own, if you could please give us a one-page description

1 where each of the eight objectives that you saw this morning  
2 are addressed in your plan, this will help us immensely  
3 because we're going to evaluate everybody's plan.

4 This will help us to go through there and just  
5 skip through parts that are not relevant and get to the  
6 important points.

7 The evaluation process is really a two-way street,  
8 and I'm going to have to go through this over the phone with  
9 the seven institutions in Region V that couldn't be here  
10 today, but that are also participating. There will be 11 of  
11 you in Region V participating.

12 But on one hand, we're evaluating your QA plans  
13 and then, going out to the sites, if you happen to be  
14 chosen, there will be another evaluation of your own QA  
15 plan. But this form that we're going to talk about now is  
16 your chance to provide us with written input.

17 We're counting on you to provide us with a lot of  
18 input; verbally, of course, at the next set of workshops,  
19 but specifically in writing.

20 What we'd like to do is we'd like you -- of  
21 course, as John mentioned, there are two forms. If you  
22 choose to use your own QA plan, that's it. What we're  
23 talking about here is what you'll need. If you choose to  
24 use the draft regulatory guide, then there will be an  
25 evaluation form that will help us learn what you think of



1 it. But let's just talk about this for a minute.

2 Each objective, each of the eight objectives  
3 should be graded and I'll show you that in a minute. But we  
4 have letter grades, A through D and F. We, of course, would  
5 like an overall grade, what you think of each particular  
6 objective, but, in particular, we're interested in knowing  
7 things like are any of these objectives of any benefit to  
8 prevent any kind of mistakes.

9 And we'd like to know what you find the  
10 incremental costs to your institution would be to implement  
11 each and every one of these. And then we'd like to know  
12 whether or not you have enough personnel available to do the  
13 job or whether your not you need more to effectively carry  
14 these things through.

15 The grading scheme -- this is what the letter  
16 grades translate to. For your benefit and to prevent  
17 mistakes, it's going from -- well, this particular thing is  
18 very likely to prevent mistakes and it's very important --  
19 something that would be totally worthless. Similarly, down  
20 over here is it costly, is it not costly, do we have enough  
21 personnel or will we never have enough people to do this.

22 Then in terms of the overall grade, do you need it  
23 or not. Now, let me point out to you the bottom part of  
24 this form which is very important to us, because if you  
25 choose something on the order of a D or an F, so you don't

1 think these particular objectives are too important, please,  
2 down over here, tell us why.

3 Don't just give us a grade, but tell us what you  
4 really think of it. That's where the guts of the  
5 evaluation, from our perspective, will actually be. So  
6 we'll know what you really experienced during the course of  
7 the 60-day period.

8 So that's the first part of the evaluation and  
9 it's an evaluation of the objectives. Now, we also would  
10 like an additional amount of information here.

11 First of all, this question over here. I hope you  
12 can see it. Are any of the objectives currently covered in  
13 all or part by a voluntary requirement in your own QA plan.  
14 You may have a part, for example, the joint commission, they  
15 have something that you've adopted. And if you have it, let  
16 us know. So do this now for each of the objectives.

17 So if there's any particular objective that you  
18 can think of that's already in your work plan for some  
19 reason, let us know.

20 Also, our thrust is to get the optimal set of  
21 objectives. So what we'd like you to do, again, repeating  
22 this for each of the eight objectives, which -- after you've  
23 gone through the 60-day period, do you think that each of  
24 these objectives is worth keeping in this whole program.  
25 And if it is worth keeping, would you keep it the way it is

1 or would you modify it, how would you do that.

2 Or if you think it's just not worthwhile, would  
3 you throw it out. So let us know. This is important to us.  
4 Do this for each of the eight objectives.

5 Now, it may turn out that you have some other  
6 objectives that you're shooting for in your existing QA plan  
7 that we don't know about. If you do have such an objective,  
8 please let us know because that will be very useful.

9 As you can see, we've learned a lot over the  
10 course of these four workshops and we've actually made  
11 changes to the wording, for example, of some of the  
12 objectives. So this is a good opportunity -- this is  
13 perhaps a unique opportunity for you to be in on the  
14 proposed reg before it becomes final, and it's something  
15 that doesn't usually happen.

16 So we'd like you to take advantage of that. Then  
17 what we'd like to know to complete the picture is how many  
18 patients you processed in each of these categories during  
19 the 60-day period. So if you can keep some kind of running  
20 score of the number of patients that have passed through  
21 your system, please let us know.

22 Now, down here, if you detect any mistakes, this  
23 is not as opposed to misadministrations, did you actually  
24 catch any mistakes during this 60-day period. One question  
25 that came up in Dallas had to do with the size of this

1 program. After all, we only have on the order of 70  
2 participants that we expect to catch in a 60-day period any  
3 misadministrations.

4 Of course, the sample size is too small to  
5 reasonably expect to catch misadministrations, and that's  
6 not what the -- we knew that from the start. But what we're  
7 really interested in, you may actually have caught pre-  
8 misadministration-type mistakes during the 60-day period,  
9 and it would be extraordinarily valuable to us to know which  
10 of these mistakes you caught, if any.

11 So if you can provide us with that information, I  
12 think that would be the frosting on the cake, as far as  
13 we're concerned. It would help us a great deal. So you'll  
14 be getting these draft -- this is a draft form.

15 You'll be getting a final version of this shortly  
16 and if by May -- well, by May 7 we'll know whether or not  
17 you choose to use the draft regulatory guide or whether you  
18 want to use your own program.

19 So if you use your own program, of course, what  
20 you'll get from us will be a form like this. But if you  
21 choose to use the draft regulatory guide, let us know and  
22 then we'll send you an evaluation form for the draft  
23 regulatory guide, which Anthony is going to talk about right  
24 after I'm through here.

25 Unless there are any questions about this -- we

1       tried to make it as self-explanatory as possible. We, of  
2       course, are going over in the office all of your QA plans.  
3       So there will be that level of review on our part.

4               I think that's about it. Just bear in mind that  
5       we're listening, that everything you say will become part of  
6       an overall compendium of what you think is worthwhile or  
7       worthless here.

8               We're really depending on you to tell us what you  
9       think and what your experiences are. So if you feel very  
10      good about something or violently against something, don't  
11      hesitate to let us know. I think the only one here in the  
12      room who stands to be insulted is John, right?

13              MR. TELFORD: Everybody else just feel free to  
14      tell me they're no good.

15              MR. KAPLAN: Right.

16              MR. TELFORD: Yeh, Joe.

17              MR. HELLMAN: Do you have any objection to getting  
18      two of those filled out, one for nuclear medicine and one  
19      for --

20              MR. KAPLAN: Good point, good point. If you're  
21      going to involve more than the department that you're  
22      representing here today, yourself personally, we'd like to  
23      get all of them. So that if you are going to send one in  
24      for nuclear med and then another one for teletherapy, we'll  
25      take it. We definitely want it.

1           MR. HELLMAN: Well, let me ask this. I've heard  
2 what to me sounds like two different things. I'm here  
3 representing radiation therapy. Could nuclear medicine  
4 elect to not participate or -- I mean, I've heard -- I've  
5 gotten the impression that's it not really voluntary.  
6 Either the whole place does it or none.

7           MR. KAPLAN: That's a good question. We told you  
8 -- we did a proportional stratified random sampling and  
9 that's how we actually chose you. We wanted representation  
10 from rural versus urban, small, public or private. And when  
11 we had our categories filled out and we knew exactly what  
12 the distribution was regionally, we went in and did our  
13 selections, and then we pulled an institution.

14           So in your case, for example, we pulled the  
15 institution knowing that you do more than just nuclear  
16 medicine, and it's our hope that you can get the entire  
17 institution participating. So the optimal situation would  
18 be yes, you'd be the focal point for this pilot program for  
19 your institution. I know that might be hard to get the rest  
20 of your --

21           MR. MONTGOMERY: Joe, do you have a commanding  
22 officer that's over all the radiology programs that could  
23 help to tie this together or do you have to deal with two  
24 separate commands there?

25           MR. HELLMAN: I can arrange it. It's just a

1 matter going back to brief the chief of nuclear medicine and  
2 let him know what he's expected to do, gently. I've heard  
3 from Ed, sort of like when your section participates, it's  
4 almost like the other section doesn't have to and I just  
5 wanted to clarify that.

6 MR. KAPLAN: We would like all of them to. Any  
7 other questions?

8 [No response.]

9 MR. TELFORD: Okay. Next on the afternoon agenda,  
10 Dr. Anthony Tse will go over the guide and make sure that  
11 you have an insight into the intent of the kind of guidance  
12 we are trying to provide. There will be two things  
13 following this. One will be a review of the schedule of the  
14 future activities, just to give you the overview of the  
15 schedule one last time.

16 The last thing will be concluding remarks that  
17 will give some individual air time to each of the volunteers  
18 to say whatever they would like to say at the end of the  
19 day.

20 Dr. Tse?

21 MR. TSE: Thank you. As John mentioned this  
22 morning, this rule is a performance-based rule, so we  
23 proposed a regulatory guide to explain what we think the  
24 program should include, except for guidance. You are not  
25 necessarily required to do this, but if you would like to,

1 that is fine. If you have a basis for using ACR or JCAHO,  
2 kind of guidance to prepare your QA program, that also will  
3 be fine.

4 Now, this copy of the draft guide we sent to you  
5 earlier, so I think you've had a chance to read this. So I'm  
6 going to go through relatively quickly, following each  
7 individual topic, and I will close for anybody who has  
8 questions or suggestions or comments for those sections.

9 Page 1 is the introduction portion and is to say  
10 what I've said. This is a draft regulatory guide. This is  
11 a proposed rule and this guide is for your guidance, and we  
12 will ask for public comment.

13 Page 2, on top, would indicate that after we  
14 receive the comments from the public comments, and also from  
15 this pilot program, we will modify the guide. As John  
16 indicated, our thinking is that if the participants come up  
17 with good alternatives, we may want to put these  
18 alternatives in this guide as an acceptable alternative.

19 Therefore, whoever reviews, licensing reviewers  
20 would know these alternatives are acceptable ones to meet  
21 the objectives of the regulation when it becomes final.

22 The next section is B, which is discussion. At  
23 the end of Page 2, we just give a brief discussion of the  
24 number of misadministrations and so on. And toward the end  
25 of the page, we say that there are some misadministrations,



1 as John indicated this morning.

2 Many of this misadministrations mainly involve so-  
3 called human errors. And then the guide under regulation,  
4 so-called basic quality assurance program, is designed  
5 trying to prevent those human errors, misadministrations due  
6 to those human errors. As John mentioned, there's a  
7 comprehensive QA at a later date, not within this topic  
8 right now.

9 Toward the middle of Page 3, we indicated that  
10 this mainly relates to human errors. There is more quality  
11 assurance requirements already in Part 35, which is for NRC  
12 licensees, which has other kinds of requirements already,  
13 QA requirements already specified in the regulation.

14 The ones we will talk about are the additional  
15 ones. So far, does anyone have any questions or comments?

16 [No response.]

17 MR. TSE: Section C is a regulatory position,  
18 which we already emphasized several times, just guidance.  
19 Then let's go to Page 4. Now, the way this guide is  
20 organized is the first section is the responsibility  
21 authority and audit for this QA program.

22 We already had quite a bit of discussion this  
23 morning. Essentially, 1.1 and 1.2 is saying that the  
24 licensee should have a written program to indicate who has  
25 the responsibility, who has the authority, and so on. Those

1 are the elements of the QA program. And also 1.2 is to say  
2 that you have to have some audit within 12 months.

3 Anybody have a problem on Section 1, which is the  
4 general QA elements?

5 [No response.]

6 MR. TSE: Now, the others are more towards  
7 directly into the nuclear medicine, teletherapy,  
8 brachytherapy. The way it's organized, Section 2 are the  
9 general statements which apply to all cases. Then the next  
10 section, three, will be -- I'll talk about it later, but  
11 would be specific additional elements for  
12 radiopharmaceutical therapy and iodine greater than 30  
13 microcuries, because that's more serious than the diagnostic  
14 cases.

15 Then the following section would be teletherapy,  
16 specific to teletherapy, additional teletherapy. I'm sorry.  
17 The next section is for brachytherapy and the last section  
18 is for teletherapy. That's how it's organized. So for  
19 nuclear medicine, if you do not have iodine, you do not have  
20 therapy, only Section 2 applies.

21 So let's go to Section 2. 2.1 is essentially to  
22 say that it should be legible. Trying to avoid the problems  
23 that people cannot read or mistakenly read, make it legible  
24 so it will be easy for them to read.

25 Second, 2.2, is that if it's unclear or you cannot

1 read, then you really should check before you go ahead. And  
2 2.3 is that if you find any discrepancy, in case the  
3 referring physician says have a lung scan by using I-131,  
4 you know whoever the technologist saw this iodine, he or she  
5 would know there's a problem, discrepancy, then you should  
6 check before you go ahead.

7 2.4 is just to reiterate that the person who  
8 administers the dose should check whether the patient is  
9 correct, whether the dose is correct, and so on.

10 That's for diagnostics, for general elements  
11 for all procedures. Does anyone have any questions?

12 [No response]

13 MR. TSE: No, okay. Now number three will be  
14 specific additional elements for radiopharmaceutical therapy  
15 and I-131 and 125 greater than 30 microcuries. Here, 3.1  
16 says that the authorized user must be the review person to  
17 review the patient. If a referring physician sends a  
18 patient to the hospital and says do the therapy procedures,  
19 this element will say that the hospital's authorized user --  
20 the authorized user means the person who is knowledgeable,  
21 nuclear physician, should look at first before giving the  
22 therapy dose to the patient.

23 Then, 3.2, he should write a prescription first  
24 or, as John said, maybe the word prescription may or may not  
25 be the right one, so we might want to consider changing to a

1 different words. But in nuclear medicine cases, I think  
2 prescription may be the correct word. Is that right? A  
3 doctor writes a prescription that says how many millicuries  
4 of I-131, give it to certain patient.

5 MS. MARCIANO: That's correct.

6 MR. TSE: So in this case it would be okay. Then,  
7 here is permit the change; 3.3 is permit the physician to  
8 change his prescription in case he determines that for some  
9 reason it should be changed. 3.4, to reemphasize that the  
10 patient should be checked for his identity. We did not say  
11 how you should check it, but the individual institution will  
12 come up with some good way of checking it.

13 Then 3.5, after you give the dose, somebody should  
14 write down what the dose was given to the patient, and you  
15 need to look at the administered dose versus the dose the  
16 physician wants to see whether there is any discrepancy.

17 That's Section 3. It's additional specific  
18 elements for -- well, we finished Section 3. Anybody have  
19 any questions or comments, especially with your group,  
20 you're doing therapy, I mean radiopharmaceutical therapy.

21 MS. MARCIANO: No.

22 MR. TSE: How about you, you do not?

23 MR. HELLMAN: I don't know.

24 MR. TSE: Anybody else have questions?

25 [No response.]

1 MR. TSE: Okay. Number 4 is for brachytherapy.  
2 Now, those you will be interested in and Albert will be  
3 interested in. Albert, you work in brachytherapy?

4 MR. HUEN: Yes.

5 MR. TSE: Again, 4.1 and 4.2 are the same as  
6 before. The radiation oncologist, which is the authorized  
7 user, should review the patient first, and then he should  
8 write down what he wants. Now, here the word prescription,  
9 based on the previous workshop, may not be the appropriate  
10 item, may not be an appropriate word, perhaps like written  
11 directive or preplanning, to say how many seeds the  
12 physician would like, and, therefore, you can order how many  
13 seeds of what activity and so on, and then he can choose to  
14 use number of seeds when he goes to the operating room.

15 Is that the procedure your group is doing?

16 MR. HELLMAN: It's about that.

17 MR. TSE: It's about that. How about Albert?

18 MR. HUEN: [Nods head affirmatively.]

19 MR. TSE: So the idea is that the physician should  
20 write down what sources. what kind of source, how many  
21 millicuries, how many seeds so that the people can give the  
22 correct sources.

23 4.3 is to say that whoever gives the sources to  
24 the -- or whoever picks up the sources should check, verify  
25 that those are the sources. Now, as you saw this morning,

1 some hospitals mix up the sources because they put two  
2 different kinds of sources in one drawer, so they're mixed  
3 up.

4 So we did not really say how you should do it, we  
5 just gave a couple examples, but each one of you have  
6 certain ways of identifying those sources, and in your QA  
7 program you should say how you intend to make sure the  
8 sources are the correct ones.

9 4.4, again, says that the physician can change a  
10 prescription after he -- for whatever reason he had, he  
11 wants to change the prescription, he can. So the initial  
12 prescription will not be tied down. If you modify under the  
13 doctor's judgment, that is permitted.

14 MR. WIEDEMAN: However, Tony, don't we -- we would  
15 probably prefer that if they detect an error in the dose  
16 calculations, they don't go back and write a new  
17 prescription to cover that error.

18 MR. TSE: That's not a practice medicine  
19 judgement. That I think you understand.

20 MR. HELLMAN: I get the impression that what is  
21 being alluded would be, say, initially, based on the  
22 preplan, you went to 40 rad per hour line. After implanted,  
23 look at the pictures, maybe the 50 rad per hour line may be  
24 better. Do you need to prescribe --

25 MR. WIEDEMAN: Write a new prescription.

1 MR. HELLMAN: That's my question. Do I need to  
2 prescribe before the implant and then go back and modify it,  
3 or can I just settle for one after they've seen what's  
4 inside?

5 MR. TSE: The prescription, the word prescription  
6 definition, it says in the definition sheet for  
7 brachytherapy --

8 MR. WIEDEMAN: Using your same example, if you  
9 wanted to go to 40 rad line, the doctor wrote his  
10 prescription. After evaluating the patient and the  
11 placement of the sources, you decided to go to the 50. That  
12 would be appropriate for the physician to rewrite the  
13 prescription saying that data -- saying I've gone to the 50,  
14 but you wouldn't want him to go back and rewrite the  
15 prescription at the end of the treatment plan and say, oh,  
16 we didn't go to the 40, we went to the 50, and the physician  
17 say no problem, I'll just write a whole new prescription.  
18 That's not the intent.

19 MR. HELLMAN: Right.

20 MR. TSE: So there's an alternative there how the  
21 physician -- the physician will have different ways of  
22 writing these prescriptions at the beginning. He may  
23 indicate number of seeds, he may indicate doses.

24 Any questions?

25 MR. HUEN: I think there's a lot of room for

1 flexibility here, because when you look at an x-ray, the  
2 difference between the 40 rad line and the 50 rad line is  
3 maybe a couple of millimeters or so. I mean, it's our  
4 judgment call.

5 MR. TSE: Right. But the prescription did not say  
6 you have -- which line. You don't know. But the physician  
7 should know how many seeds, what curies, what isotope he  
8 wants, and that -- or maybe he might know permanent implant,  
9 he might know I want to deliver it to how many rads to  
10 somewhere, total dose, and if he knows those things, he  
11 should write them down so the correct information will be  
12 transmitted to the physicist and so on.

13 MR. HUEN: I think a lot of it is not done that  
14 way because you usually decide how much to put in after the  
15 applicator is in place, because sometimes you just can't get  
16 the applicator to where you want to, and then you would have  
17 to adjust it afterwards.

18 So they usually say, okay, I want so many  
19 milligrams, so many hours. So that would give them more  
20 flexibility to --

21 MR. TSE: Yes. That's one of the permitted ways  
22 of doing things. To answer your question, 4.6 is to permit  
23 that the physician could change his prescription to reflect  
24 the actual loading of the sources, because we realize you  
25 cannot really load the sources exactly like the one you put



1 in the computer -- precise location, you can't do that. He  
2 should be permitted. That's not a misadministration if he -  
3 - except -- if it's a difficulty of the procedure implant as  
4 a result, it may not match the computer planning.

5 Then he can revise his prescription to reflect the  
6 actual loading. 4.5 is to take a radiograph to obtain --  
7 radiograph to see where the seeds are, and then you could  
8 make the calculation.

9 From the earlier meetings, we realized that in  
10 many cases we won't use that, and which you use a dummy  
11 source of templates --

12 MR. HELLMAN: Right, prior.

13 MR. TSE: Or use the appliances, and you  
14 radiograph that and understand that, we were trying to work  
15 into. But if you write something in your program, please  
16 indicate what the way you're going to use them. We can use  
17 your suggestions as a guidance how we can work on this.

18 Please.

19 MR. WIEDEMAN: You just said it.

20 MR. TSE: Okay. 4.6 essentially reflects the  
21 flexibility provided to the surgeon, that he should not  
22 worry about -- he or she should not worry about the implant.  
23 And the time when you implant, you just do the best you can,  
24 whether it will match exactly the computer program is not a  
25 big problem. You can update afterwards.

1           4.7, after implant, somebody should write down the  
2 dose delivered and so on. The next page -- so far, any  
3 problems? How about Albert? Anybody else?

4           [No response.]

5           MR. TSE: Next page, Page 7 is a dose calculation  
6 check. Albert may have some problem? Do you have a  
7 problem? This dose calculation check, either you -- before  
8 the 50 percent dose is delivered, if it's 48 hours, it would  
9 be 24 hours, somebody should check the calculation with the  
10 calculation arithmetic is correct.

11           And if a computer program, if you use a computer  
12 program, whether the input are put in correctly.

13           MR. HUEN: There is some problem there.

14           MR. TSE: Okay.

15           MR. HUEN: Supposing the implant is done on a  
16 certain day and there's no physicist around, so no  
17 dosimetries around to calculate it.

18           MR. TSE: What would you do?

19           MR. HUEN: Well, we go by the milligram hours.  
20 Perhaps we have done such and such a case, this may be the  
21 second implant. The last implant, we did so many millig-am  
22 hours, so let's go by the milligram hours. I'm not saying  
23 that the physicist is not there all the time, it's just  
24 occasionally two people are not there the same day. We try  
25 not to work that way, but it happens. And just go without

1 calculating it and calculate afterwards when the physicist  
2 or the dosimetry comes back.

3 MR. TSE: Joe?

4 MR. HELLMAN: I was thinking something similar  
5 where we do an I-125 permanent implant. The nomogram or  
6 even the iridium, no backup physicist or where it's done in  
7 my absence. Granted, the dosimetrist could do the  
8 calculation, the doctor could just look at the sources, but  
9 that doesn't mean that the source data was entered  
10 correctly. This is vague. We can interpret it any way we  
11 want.

12 MR. TSE: We would ask that most -- simple  
13 arithmetic error which we're asking, not the very elaborate.  
14 For example, in the 1987 rule, we say that another person  
15 could do it, but you could use -- here it becomes a guide  
16 now. It's not a regulation. So if you don't have another  
17 person, maybe you want to do it yourself. Maybe you want to  
18 compare it with the previous ones you have done. But I'm  
19 sure that -- I think I'm sure that you will always try and  
20 make sure that the calculation is correct one way or  
21 another.

22 MR. HELLMAN: Oh, yeah. It's always done  
23 afterwards.

24 MR. TSE: When you say afterwards, meaning the  
25 whole implant is over?

1 MR. HELLMAN: Right.

2 MR. HUEN: Because the implant may only last a  
3 day, two days. And then the physicist is not there that  
4 particular day and then you don't have any recourse. So you  
5 just have to take it from experience after so many milligram  
6 hours.

7 MR. TSE: Maybe dose --

8 MR. HUEN: But eventually you calculate it.

9 MR. TSE: Maybe you ought to write down what you  
10 think should be done and, in your judgement, the best way to  
11 handle your situation so we can look at that.

12 MR. WIEDEMAN: Or another way, Al, is have the  
13 physician fill out a prescription giving a range of  
14 milligram hours; you know, intracavitary treatment for  
15 carcinoma, 3000 centigrade to 5000 centigrade for a two-day  
16 period. That would give you a very wide latitude.

17 MR. TSE: Okay. 4.9, toward the end of Page 4.9,  
18 is an example towards this calculation check, to say that if  
19 it's emergency, you don't have to worry about the  
20 calculation check and do the implant first, and then you  
21 check later. But it's within two working days.

22 MR. HUEN: That happens a lot.

23 MR. TSE: Emergency?

24 MR. HUEN: No. In the case, for instance, in the  
25 high dose rate implant, the patient got tubes all over his

1 nose, you just don't have time to do separate calculations.  
2 You want to deliver the dose now so that he can get out and  
3 pull the tubes out and so forth. So it's pretty hectic in  
4 that case. But you usually do those things before the two  
5 days -- in the same day, but it may not be before you  
6 administer the treatment.

7 MR. TSE: So maybe the high intensity --

8 MR. HUEN: Yea.

9 MR. TSE: How about you?

10 MR. HELLMAN: Section 4 is fine.

11 MR. TSE: Okay.

12 MR. KAPLAN: One thing. In 4.8, the preference  
13 there is, though, for somebody who did not make the original  
14 calculation to do the check. That's the preference, if at  
15 all possible.z

16 MR. TSE: Then they say if they do not have that  
17 person, then what should they do.

18 MR. KAPLAN: The description as to what you should  
19 do under that circumstance.

20 MR. HELLMAN: What exactly is being looked for  
21 under 4.8? My interpretation does not say that it has to be  
22 totally recalculated from scratch by another. All I see  
23 here is that if I do the calculations, then another person  
24 should come and just look at the computer printout; i.e.,  
25 the strength of milligrams of cesium or the number of seeds

1 in milligrams of the iridium, for example. That's all I see  
2 as being required.

3 MR. TSE: It's relatively simple kind of check,  
4 not from scratch. That's correct. If you read this, it's  
5 arithmetic errors. Somebody with a calculator could do.  
6 Correct transfer of data from charts. If I'm checking on  
7 yours, I need to try and find the proper weight factor for  
8 that weight, what's the number, and whether you used that  
9 correctly, etcetera.

10 MR. HELLMAN: The only thing this is not going to  
11 catch is going to be the basic isotope data entering the  
12 computer incorrectly. So you get you iridium in and I make  
13 the multiplicative factors incorrectly. So everything looks  
14 like it's .5 milligram seeds of iridium, but the math I did  
15 actually came up with .3.

16 That will never be caught because the computer  
17 will still be saying that's .5

18 MR. TSE: Let me try and understand. You said  
19 somebody -- you put in the number into the computer as input  
20 that says .5 milligram.

21 MR. HELLMAN: I call it .5, but the multiplicative  
22 factor I use to enter that is only that of a .3. In other  
23 words, I made the mistake of --

24 MR. TSE: In the computer program itself?

25 MR. HELLMAN: Right. There is nothing in the

1 computer which is going to pick out --

2 MR. WIEDEMAN: No, it's input.

3 MR. TSE: Then it will be input.

4 MR. HELLMAN: I'm saying it will never be caught  
5 by this procedure.

6 MR. TSE: No.

7 MR. HELLMAN: Checking the output, it will say .5.  
8 My physician or whomever will never know --

9 MR. TSE: Wait a minute. We're supposed to check  
10 the input on 4.8.2. If the physician prescribes .3, you put  
11 in .5, I'm supposed to check against yours. I look at 4.8.2  
12 to check correct input. So what did you input? Then I  
13 check with the prescription, it says .3, and the input in  
14 the computer is on .5, I say, Joe, why do you put .5 in  
15 here.

16 MR. WIEDEMAN: I think I know what he's talking  
17 about. See if I got it straight. Your physician says I  
18 want to implant this patient with a five milligram radio-  
19 equivalent.

20 MR. TSE: Okay.

21 MR. WIEDEMAN: You input the computer for five  
22 milligrams. However, you loaded ten milligrams into the  
23 applicator.

24 MR. HELLMAN: No.

25 MR. WIEDEMAN: No?

1 MR. HELLMAN: I'm talking about the basic computer  
2 data. When you define the source, define initially, you can  
3 make a mathematical conversion error. You can call it one  
4 thing, but you have to do a multiplicative factor against  
5 milligrams for what it calculates off of. And it does not  
6 compare the two to see if they agree.

7 MR. TSE: I understand your point.

8 MR. HELLMAN: My physician will never catch this.

9 MR. TSE: It's a computer software problem.

10 MR. HELLMAN: Yes. It's my mistake that I  
11 entered.

12 MR. TSE: Way back, when you purchase a computer,  
13 you enter some number and that number you did not check, is  
14 a wrong number.

15 MR. HELLMAN: Right.

16 MR. TSE: Subsequently, everybody uses the  
17 computer, that number will not show up as input because you  
18 already input it way back.

19 MR. HELLMAN: Well, even if I just find an iridium  
20 source and I put it in, if I put it in incorrectly, that  
21 could very easily happen, this will never catch that. I  
22 don't think I can train my physician or whatever to look at  
23 all the raw data coming out and know exactly what to be  
24 looking for.

25 MR. WIEDEMAN: It's where the mathematics are



1 correct, but the input is wrong.

2 MR. HELLMAN: That's correct. That's all I'm  
3 saying.

4 MR. MONTGOMERY: That's the danger of errors made  
5 very early in the process, like I said before. Those errors  
6 are the toughest to pick out in a lot of ways.

7 MR. TSE: The other way you could do it -- there's  
8 another way you could do it, is to do a hand calculation and  
9 you will find your error.

10 MR. HELLMAN: That's not so easy for  
11 brachytherapy.

12 MR. TSE: But if you make a one point calculation,  
13 simplify the computer calculation, and then have a hand  
14 calculation. That's the idea, is that somebody checks the  
15 input of the computer.

16 MR. KAPLAN: I'm just curious, Joe, how would you,  
17 what would you write in a regulatory guide to catch that?

18 MR. HELLMAN: That's the main reason I'm not going  
19 to adopt the regulatory guide, because I'm not going to be  
20 bound by this. I think it's asking too much -- the  
21 interpretation here is so -- can be what you want to make it  
22 or what I want to make it, that I'm going to write my own to  
23 give my physicians a reasonable amount of leeway, but still  
24 leave the ultimate responsibility to myself. If I made the  
25 mistake, I don't think it reasonable that my physician is

1 going to catch it, the type of error I just described.

2 And my dosimetrist certainly won't catch it.  
3 Short of having another person coming in and double-checking  
4 your figures sometime, which is a very costly sort of thing,  
5 I think those sort of errors are probably not going to go  
6 away.

7 MR. WIEDEMAN: Couldn't the dosimetrist and the  
8 medical physicist cross-check each other? Usually that's  
9 the way it's done.

10 MR. HELLMAN: I don't have a dosimetrist right  
11 now. So it's just myself and my physician. Sometimes if  
12 you have a homegrown dosimetrist, they don't know -- I mean,  
13 they just know the way that you've always done it or the way  
14 it's always been done in the past.

15 I don't think it's quite so easy as you're saying  
16 for smaller institutions with only one physicist or a  
17 travelling physicist.

18 MR. HUEN: If it's small errors, then it's very  
19 difficult to catch. If it's a big error; for instance, if I  
20 say everybody can get 500 rads, only take two days, and you  
21 take five days or something. Then that's obvious.

22 MR. HELLMAN: Yes.

23 MR. HUEN: But if it's a very small margin of five  
24 percent error, then it's going to --

25 MR. HELLMAN: Especially for brachy. I just don't

1 think you're going to pick it up.

2 MR. TSE: But that's a small error. You really do  
3 not -- like the kind of misadministration we see.

4 MR. HELLMAN: I think even at 20 percent error,  
5 you might not even catch it.

6 MR. WIEDEMAN: You know, we had a case where a  
7 physician ordered a Manchester applicator with a 10/5/5  
8 milligram and the physicist loaded a 5/10/10. If you look  
9 at the computer data, that was just 100 percent correct.  
10 They went back, they rechecked it mathematically by  
11 longhand, with a calculator, everything was correct, but the  
12 wrong source.

13 Unfortunately, this wouldn't get caught with this  
14 procedure.

15 MR. HELLMAN: With this, it would.

16 MR. WIEDEMAN: But later on, it will say that  
17 there will be some kind of a redundant system where you can  
18 verify back and forth; you know, did you put the right  
19 source in. So it will get caught that way.

20 MR. TSE: Well, it should be able to catch the  
21 4.3.

22 MR. WIEDEMAN: Right.

23 MR. TSE: But sometimes even when you check this,  
24 it at least minimizes the chance --

25 MR. WIEDEMAN: Of course, and there can be two

1 people standing there and still the wrong source is there.

2 MR. TSE: Any more questions about brachytherapy?

3 MR. HELLMAN: 4.3 doesn't say anyone has to  
4 double-check the loading.

5 MR. TSE: No. Just verify. Okay. Section 5 is  
6 for teletherapy. 5.1 is the physician -- the oncologist  
7 should personally review the patient. Section 5.2 shall  
8 have a written description which is similar to  
9 brachytherapy, except have a little more information because  
10 teletherapy is more complicated.

11 Any questions?

12 MR. HELLMAN: No.

13 MR. TSE: Okay. 5.3 -- yes.

14 MR. HUEN: Excuse me. There is a question -- not  
15 a question, a comment.

16 MR. TSE: Yes.

17 MR. HUEN: On 5.2.

18 MR. TSE: Right.

19 MR. HUEN: It says a prescription and approve a  
20 treatment plan that includes the treatment modality. The  
21 treatment plan may not always precede the treatment.

22 MR. TSE: There's some cases, somebody is supposed  
23 to send a patient to have Linac treatment, and it turned out  
24 to be Cobalt-60 treatment, things like that.

25 MR. HUEN: That's not what I'm referring to.

1 MR. TSE: You said treatment modality.

2 MR. HUEN: Well, plans, to me, implies a computer  
3 treatment plan. Is that what you meant?

4 MR. TSE: No. The treatment plan is what you --  
5 I'm not sure whether somebody may not use computer plan, but  
6 what kind of source you want to use, what location you want  
7 to treat, what kind of rads you need, and so on, how many  
8 fractions. It's not that computer calculation does --

9 MR. HUEN: I thought you mentioned --

10 MR. TSE: No. Do you have any better word,  
11 suggestions to avoid that confusion? If you do, please let  
12 us know. It's essential that the written directive -- maybe  
13 that's better. Yes?

14 MR. WIEDEMAN: And a very clear treatment plan.  
15 To give you an example, we had a case where the physician  
16 wanted to treat a hip and he wanted to use Cobalt-60 on the  
17 anterior at a certain size, and he wanted to use the Linac  
18 for the posterior at a certain size.

19 I know it sounds crazy, but that's what was  
20 prescribed. But it wasn't clear the way he wrote it of  
21 which machine was which and he spelled it out Cobalt-60  
22 anterior is 10 by 10; posterior; and the technologist got it  
23 mixed up when they entered that into the treatment chart,  
24 and gave him the posterior by Cobalt and the anterior by  
25 accelerator.

1 MR. TSE: Item No. 5.3, to say that the physician  
2 could change a prescription. The prescription is not fixed.  
3 If the physician sees some necessary judgment, wants to  
4 reduce or increase the dose, he could do so. 5.4, after the  
5 fraction is given, somebody should write down the  
6 administered dose. I think most people already do things  
7 like that.

8 5.5 is a weekly check. You check the total dose  
9 within that week or any errors. 5.6 is a calculation check.  
10 That's the same, essentially similar to the brachytherapy,  
11 but 25 percent total dose, because they generally have a  
12 large number of doses, a large number of fractions. But  
13 somebody suggested that high dose treatment sometimes has  
14 only three fractions. Then you may want to propose  
15 something different, if you're going to use similar  
16 elements.

17 Any problems so far?

18 MR. HUEN: I don't know if that's redundant or  
19 not.

20 MR. TSE: Excuse me?

21 MR. HUEN: I don't know if 5.6 is redundant.

22 MR. TSE: Redundant to which one?

23 MR. HUEN: 5.5 because --

24 MR. TSE: 5.5 is the weekly sum of the fraction.  
25 5.6 is the calculation of the fractional dose given by

1 computer, how many minutes you need to give it to the  
2 patient. Not for each -- for the calculation, initially you  
3 might want to do the calculation to see how many minutes the  
4 patient will need. So that's the calculation.

5 5.5 is that if Monday you give the patient 200,  
6 Wednesday 300, and so on, you add the sum of the individual  
7 fractions.

8 MR. HUEN: I couldn't tell the difference. Back  
9 when -- every week I checked this chart, and 5.6 tells me  
10 before 25 percent of the dose is given, I have to check it  
11 again?

12 MR. TSE: No, that's check the dose calculation.

13 MR. HELLMAN: Use the computer plan, if you did  
14 one.

15 MR. TSE: Right.

16 MR. WIEDEMAN: Your 5.5, I assume that your  
17 technologist would do this each and every day as they enter  
18 the fractionated dose on the patient's chart. That's just  
19 basically what that is. Someone is doing a weekly check to  
20 make sure that we gave the daily accumulated fractionated  
21 doses, where the 25 percent would probably be done by the  
22 physicist or the dosimetrist, go back and recheck the  
23 calculations for arithmetic errors.

24 MR. TSE: Not just the sum, not just the sum of  
25 the fractionated dose. It's how you derived that fraction,

1 your computer planning.

2 MR. HUEN: I thought that the physicist would do  
3 the 5.5 and the 5.6 at the same time.

4 MR. HELLMAN: In my place, the first time I did a  
5 weekly chart test, yes, that's true. But the second week, I  
6 do not go back and look at the whole computer plan all over  
7 again. I only look at it one time myself.

8 MR. HUEN: Right.

9 MR. HELLMAN: The same comment about 5.6 is what I  
10 had about 4.8, however. Again, if somewhere in there you  
11 enter your dose profiles incorrectly or something else, you  
12 will never pick that up.

13 MR. TSE: If you don't check that particular item.  
14 But when you input that, somebody should check your input.

15 MR. HELLMAN: Hopefully. But, again, if it's  
16 yourself and if you don't have an independent person other  
17 than yourself, you still may not catch that. What you do  
18 looks fine.

19 MR. TSE: But how would you do it, handle those  
20 cases? Suppose nobody is there, nobody meaning no  
21 physicist, and you make a completed calculation, do you  
22 worry about should I check it or should I not check it? If  
23 I do, how should I do it?

24 MR. HELLMAN: Well, I always do a hand calculation  
25 or a secondary check and my techs do a third check.



1 MR. TSE: Okay. Maybe that's the solution.

2 MR. HELLMAN: So we're covered.

3 MR. TSE: You can suggest to us that we might be  
4 able to use that.

5 MR. WIEDEMAN: I do know what the intent was on  
6 this one. There was a facility in Cleveland that had some -  
7 -it's a major hospital and they did all of the treatment  
8 computer planning. However, they had two machines. They  
9 had one machine at the hospital and then they had a  
10 satellite facility 20 miles away.

11 One machine had 5,000 curies, another machine had  
12 9,000. The patient was prescribed to have treatments at the  
13 hospital and later on, after the second or third treatment,  
14 said, well, I just live a couple of blocks from your  
15 satellite facility. Go ahead and go on over to the  
16 satellite and we'll transfer your records.

17 Unfortunately, they didn't go back and recalculate  
18 the dose for treatment at the other one, at the other  
19 machine. Unfortunately, erythema developed in a very short  
20 period of time. When that happened, the physician decided  
21 to go back and check. They had a very large  
22 misadministration and a \$1.5 million lawsuit. It was just  
23 because -- if they would have checked somewhere along the  
24 lines, oh, my goodness, we got the wrong input into the  
25 computer.

1 MR. HELLMAN: I understand the intent. I'm just  
2 being devil's advocate here. There's some things you're not  
3 going to catch easily.

4 MR. WIEDEMAN: Right.

5 MR. HELLMAN: But this will probably help.

6 MR. WIEDEMAN: We had also another case where the  
7 patient was going to receive hemibody from head to the  
8 waste, a very large dose, 1,200 rads hemibody and was  
9 supposed to be fractionated into 400 rads per day for three  
10 days. This calculation gave a little close to 2,000 rads in  
11 three days and they have a procedure for when you do that  
12 type of a calculation, it's to be rechecked mathematically  
13 by someone other than who did the original calculations.  
14 Well, the physicist did it on a Friday afternoon, knew that  
15 on Monday morning somebody is going to check that chart.

16 Monday morning when he got there, they had already  
17 treated the patient. So he started then doing other duties.  
18 But they also have a weekly chart check. They do that on  
19 Thursday. Well, the patient was treated on Monday, Tuesday,  
20 Wednesday, and on Thursday the patient's chart was up in  
21 billing.

22 So they didn't catch this till 30 days later when  
23 the chart came down. The dosimetrist happened to take one  
24 look at the calculations and said, wait a minute, six  
25 minutes of therapy on that machine, something is wrong. He

1 immediately just recalculated and said, oh, we made an  
2 error. Unfortunately, the patient died.

3 But that's the intent here of having someone  
4 recheck the calculation.

5 MR. HELLMAN: The patient would have died anyway  
6 from doing hemibody.

7 MR. WIEDEMAN: That's what the doctor said.

8 MR. HORNOR: Just speeded it up a bit.

9 MR. WIEDEMAN: I know what you're saying.

10 MR. TSE: Okay. 5.7 is to check -- an independent  
11 check of certain full calibration measurements. After  
12 change of source, we need to conduct a full calibration  
13 measurement, and those full calibration measurements should  
14 be independently checked to make sure they are correct.

15 You could do one of either ways. If a second  
16 physicist or second set of instrument, or you can use a TLD  
17 service if it's within the five percent margin accuracy,  
18 then you could use that to check it if you do not have an  
19 independent physicist.

20 MR. HELLMAN: What sort of feedback have you had  
21 about this?

22 MR. TSE: I think that the question is that  
23 whether or not there should be a person and not a TLD, what  
24 do we call accredited TLD service. That is the feedback.

25 MR. WIEDEMAN: If I remember right, there was very

1 little discussion on this because it's already a requirement  
2 in Part 35. It's a monthly spot check. The only thing  
3 that's different is have it done by someone independent who  
4 --

5 MR. HELLMAN: Yes. The independent --

6 MR. WIEDEMAN: The biggest problem was having an  
7 independent party do it, especially in a one-man operation.  
8 It's pretty hard to have someone independently verify that.

9 MR. HELLMAN: For myself it's not a problem  
10 because I'm not going to replace my source again. But I  
11 just -- I can see maybe some Army facilities, fewer and  
12 fewer. I may have some heartburn about that.

13 MR. TSE: That's why we put the TLD.

14 MR. HELLMAN: Because it's cheaper.

15 MR. TSE: Not just that you don't need an  
16 independent second person to do it. Some people just do not  
17 have an independent person.

18 MR. HELLMAN: That helps.

19 MR. HUEN: We have problems by a margin bigger  
20 than five percent, and I'm referring to the high dose rate  
21 implant with the iridium source. We change the source every  
22 three months. We compare our --

23 MR. HELLMAN: Brachytherapy or --

24 MR. HUEN: Brachytherapy.

25 MR. TSE: This is only applied to teletherapy.

1 MR. HUEN: I would think it's the same kind of  
2 thing because we're thinking about Cobalt source.

3 MR. TSE: We are thinking about the big Cobalt  
4 therapy machine.

5 MR. HUEN: Right. The Cobalt source and the  
6 iridium source, we use about nine curie iridium source.

7 MR. TSE: For brachytherapy?

8 MR. HUEN: For brachytherapy. Not millicuries.

9 MR. TSE: Yes, but for brachytherapy, you do not  
10 have to follow this. We do not say you have to have --

11 MR. HUEN: This is very, very similar in a sense.  
12 The manufacturer tells you, okay, this is so many  
13 millicuries source, I'll give you 10 curie source, and we  
14 measure maybe nine curies. So there's a ten percent  
15 difference there.

16 MR. HELLMAN: What do you do?

17 MR. HUEN: I talk to other people who use the same  
18 kind of sources and sometimes they differ by five percent,  
19 seven percent. That means the manufacturer may not be that  
20 accurate after all. Do we trust ourselves or do we trust  
21 the manufacturer?

22 MR. TSE: First of all, this particular section of  
23 five to 10 curies are Cobalt-60 sources. I think there  
24 would not be that much difference in calibration.

25 MR. HUEN: So 10,000 curie of Cobalt-60 sources

1 are quite accurate in measurement.

2 MR. TSE: In brachytherapy sources, this doesn't  
3 say you have to measure. You use the sources given by the  
4 manufacturer. Suppose there's ten millicurie. Unless you  
5 have certain confidence that's absolutely wrong, then, of  
6 course, you want to check with the manufacturer what's  
7 happening, how come my dosimetry measurement is different.  
8 But you are not too sure yet because your dosimetry might be  
9 off.

10 That's the so-called discrepancy. If you find the  
11 discrepancy, you really should not go ahead and give the  
12 dose first. You should check to resolve the discrepancy.  
13 You'll find out maybe your instrumentation calibration is  
14 off or maybe you find out they are wrong, but generally I'm  
15 not sure if that's case.

16 Currently, those sources, which are M.D. Anderson  
17 Memorial Hospital, they measure those incoming sources.  
18 They find very, very little deviation. About ten years or  
19 five years ago, they had quite a few. Therefore, they  
20 checked with the source manufacturers and they improved.

21 Do you find any --

22 MR. HUEN: We're not talking about the same kind  
23 of thing. You're talking about maybe cesium sources. I'm  
24 talking about millicurie. I'm talking about iridium  
25 sources. But we do run into some kind of discrepancy.

1 MR. TSE: You need to resolve the discrepancy.

2 Anyone have discussion?

3 [No response.]

4 MR. TSE: All right. 5.8, annual calibration  
5 should include transmission factors for being modified.  
6 Currently, the regulation did not say that. We think that  
7 should be measured annually. Do you measure annually?

8 MR. HELLMAN: Yes.

9 MR. TSE: What about you? It would be a good idea  
10 or what?

11 MR. HUEN: Well, I don't see how you could change,  
12 because we measure the output, we measure the energy of the  
13 thing every month.

14 MR. TSE: Okay. Wedge.

15 MR. HUEN: Without the wedge, we measure the  
16 energy every month.

17 MR. TSE: Right.

18 MR. HUEN: But what can change is -- the wedge can  
19 never change.

20 MR. TSE: The wedge factor may change if the wedge  
21 is being dropped and maybe moved a little bit. If it's  
22 slightly moved a little bit, and you put a wedge not in a  
23 precise location, it may change. Of course, annual  
24 measurement is just some check. If you check it, if  
25 something is wrong, you will know. If you don't check at

1 that time, in several years you might still now know.

2 MR. HELLMAN: I did have that problem with my  
3 Cobalt, Theratron-80 with plastic trays. The wedges  
4 dropped. They remounted on another plastic tray, but did  
5 not mount exactly in the same place. So it not only changed  
6 the attenuation, but changed the profile as well.

7 MR. TSE: 5.9 says that if certain field sizes or  
8 treatment distances you have not measured in your annual  
9 measurement, but you're going to use that, if those are --

10 MR. HELLMAN: Extended distance.

11 MR. TSE: Yes. That should be also measured.  
12 Now, it's very unusual people use that, but sometimes they  
13 do. Do you think -- do you do that?

14 MR. HELLMAN: We haven't done anything on that,  
15 but AAPM came out recommending that for hemibody in the last  
16 couple years. But I haven't had a case since.

17 MR. TSE: How about you?

18 MR. HUEN: Yes.

19 MR. HELLMAN: Good idea.

20 MR. TSE: Okay. 5.10, the intention is to avoid -  
21 - you have a computer program you purchase new or when your  
22 sources change and so on, your computer program calculates  
23 certain minutes of distribution. Are they correct? So the  
24 way to do it -- of course, you can check on software, but  
25 that's a difficult way to check. But the way to do it is



1 you make a calculation under certain conditions. You put  
2 the dosimeter under similar conditions and you verify they  
3 are close enough you are happy with it, and it's good.

4 But if they are not the same, you know you have a  
5 problem, so you could check. That's the intention of this  
6 particular item.

7 Under 1, 2, 3, we also try to check the machines  
8 and so on at different angles, but they may be too complex.  
9 Some other people in the workshop say its too complex and so  
10 on. If you want to check those -- first of all, do you  
11 check them or you don't? They are computer calculation  
12 versus the actual measurement.

13 MR. HELLMAN: A year ago, I bought a new computer  
14 and brought it online and did this sort of thing  
15 independently to some degree. I didn't get the agreement I  
16 was looking for. I understand its intent. I think it's too  
17 complex for what you're asking for, especially since the  
18 AAPM does not require any QA even yet. I think AAPM's a  
19 little bit remiss for not requiring or having a protocol or  
20 anything else for checking it, but I'm not sure this is the  
21 best way to go.

22 Ed McCullough at Mayo has a fairly good protocol  
23 and so do some others that are in draft stages by the AAPM.  
24 I prefer to get input from them before you put it in this  
25 rule. I will definitely not include this. That's my

1 feeling about it.

2 MR. TSE: The other workshop participants also say  
3 that, the same agreement.

4 MR. HELLMAN: But it's well intended. I  
5 understand where you're trying to come from and it's  
6 necessary, because the AAPM hasn't made that step.

7 MR. HUEN: When you have a new computer, what I do  
8 is I would generate some isotopes on the computer and then  
9 compare that and see if it makes sense, and the same thing  
10 with the wedges and so forth.

11 MR. TSE: And after change of source, maybe you  
12 need to make a simple calculation, too, of the new source  
13 strength. That's to avoid --

14 MR. HUEN: The source strength doesn't change the  
15 characteristic of the --

16 MR. HELLMAN: Not the does, but it would change  
17 the times. I'm sure we do that. You change the strength.  
18 At least I would. I assume you do that.

19 MR. TSE: You'd make sure your procedure has been  
20 modified such that these two -- one measurement, one  
21 calculation matches.

22 5.11 is in terms of -- in case of an emergency.  
23 You just go back to your treatment first without having to  
24 worry too much about the check, then you can check later. D  
25 is just implementation.

1           Any other general questions with regard to the reg  
2 guide? Beth, do you have any?

3           [No response.]

4           MR. TELFORD: We've come to a place on the agenda  
5 where maybe, if no one objects, we can take a ten minute  
6 break and come back and go into the review of the schedule,  
7 and then include any remarks, and then call it a day. So if  
8 no one objects, let's take a ten minute break.

9           [Brief recess.]

10          MR. TELFORD: Back on the record. The final two  
11 items today will be the review of the schedule of future  
12 activities and concluding remarks by our volunteers. For  
13 the schedule of future activities, let me remind you of the  
14 dates. May 7 is the first date we're to be concerned with,  
15 and that's the date by which we would request a copy of your  
16 quality assurance program be sent to Ed.

17          Now, this morning I told Joe that I could relieve  
18 his worries about his quality assurance program. What we  
19 would like to see is a copy of any manual that's -- whatever  
20 section of a manual you use or whatever copy of procedures  
21 you use, just copy them, put them in a pile, and that's your  
22 quality assurance program.

23          What Ed was saying when he talked about the  
24 evaluation forms was that we would be most appreciative if  
25 you would give us a one-page outline that says, for example,

1 objective two is covered in Section X and Section Y of what  
2 I sent you. Objective three is covered in Section T and Q  
3 of what I sent you, etcetera.

4 So that when we review all of these 70 programs,  
5 we would be -- it would expedite our review. So we don't  
6 want you to write it in any particular format, any  
7 particular style. We want to make it easy on you. Just  
8 send us a copy, give us a roadmap and we'll be happy.

9 So that's by May 7, please. Now, the other reason  
10 for May 7 is that if your site is one of the ones chosen for  
11 the 18 site visits, then the QA Team will need to review  
12 these 18 programs and they only have a couple of weeks to go  
13 through those before they have to start their site visits,  
14 because they have to accomplish these 18 site visits within  
15 the 60 days.

16 Scheduling-wise, that's pretty tight. So they  
17 would be most appreciative if they could get those by May 7  
18 so they can get their work done. I want to say of the 18,  
19 12 will be NRC licensees and six will be Agreement state  
20 licensees. Most assuredly, one will be in the State of  
21 California because it's a state with a goodly number of  
22 Agreement state licensees. That's May 7.

23 May 14, we will say that's the start of the 60-day  
24 clock when you should have your modified QA problem in place  
25 that meets the objectives of 35.35. So that's the start of

1 your 60-day trial, is May 14. The end of the 60-day trial  
2 will be July 13.

3 So from July 13 to July 31, you have to fill out  
4 the evaluation forms which we will send you. Now, I hope  
5 from Ed's discussion, you got the impression that not only  
6 do we want a grade for each of the objectives, we would like  
7 to know what you would do if you don't like any of these  
8 objectives. If you want to throw them out, that's great.  
9 If you want to modify them, that's great. If you want to  
10 retain them, that's great. If you want to add to them,  
11 that's great.

12 We want to hear what you, in essence, propose is  
13 the optimal set and we hope to get 70 of these and that will  
14 be of great benefit to the staff in writing the final rule  
15 and in justifying.

16 So I would like to highly recommend that you give  
17 us your suggestions. That's by the end of July, the  
18 evaluation be complete.

19 Now, the second set of workshops, post-test  
20 workshops will be in the month of August. It will be a two-  
21 day workshop and this workshop will be back here in San  
22 Francisco, in which time we will discuss your experience,  
23 your evaluation and your suggestions for the objectives, the  
24 guide, and the reporting and recordkeeping requirements.

25 Before you leave, we want to make sure you have a

1 copy of the Federal Register Notice so that you for certain  
2 have those reporting and recordkeeping requirements, because  
3 we really want to hear your suggestions for how to make  
4 those better.

5 So that's August and we will try to avoid any  
6 conflicts with any society meetings in August and we will  
7 notify you as to when these meetings will be, such that if  
8 you can't make one date or one workshop, we'll give you the  
9 opportunity to attend another workshop.

10 It may be a little further away, you may have to  
11 fly through a lot of miles, but we really want you at a  
12 workshop.

13 MR. HELLMAN: Where are they?

14 MR. TELFORD: New York City, Chicago, Atlanta,  
15 Dallas, San Francisco. One strategy here is to have it in  
16 your back yard so it's easy for you. But if it turns out  
17 that we tell you that the August workshop might be on the  
18 18th and 19th, and you say, gee, I'm all booked up those  
19 days, we'll give you the opportunity to come to another one.  
20 Maybe you've got a favorite place you want to go.

21 Our intention is to get you into a workshop so  
22 that you can make your views know. So that's the schedule.  
23 Does anybody have any questions and is everybody straight on  
24 schedule? Tony?

25 MR. TSE: Joe needs to go back --

1 MR. TELFORD: We are now up to the topic  
2 concluding remarks. So I didn't hear any questions, no  
3 comment on schedule.

4 MS. MARCIANO: That will be a two-day workshop  
5 versus a single day.

6 MR. TELFORD: Yes. We want to give everybody  
7 plenty of air time. We want to listen very carefully to  
8 what you have to say because it will be -- on the one hand,  
9 the Quality Assurance team will be saying to you, here's the  
10 inside view of the criteria we used for program evaluation,  
11 here's what we think about the program evaluation, 18  
12 programs. Here's the criteria we use for site evaluations,  
13 here's the result of those 18.

14 On the other hand, the volunteers get to say  
15 here's our experience, we try and propose 35.35. Here's our  
16 evaluation, here's our suggestions to try and make it  
17 better. So there's a lot of dialogue going on in this  
18 workshop that we anticipate.

19 Anything else?

20 [No response.]

21 MR. TELFORD: Okay. Concluding remarks. Let's  
22 let each of the volunteers and, as a matter of fact,  
23 everybody else at the table say any concluding remarks about  
24 their impressions so far or anything else you'd like to say.

25 MR. HELLMAN: I really don't have much to say

1 other than I think it's been well done and I appreciate the  
2 NRC's willingness to give us more input into what's a very  
3 difficult rule to implement and one that's very emotional  
4 for many of the licensees.

5 MR. TELFORD: Okay.

6 MS. MARCIANO: I think I have a lot of things to  
7 kind of absorb, and also to go back and look through some of  
8 the policies that I feel are in place and I think some of  
9 them may not actually be in quite the format that's been  
10 outlined here. But I do feel confident that what we're  
11 doing in practice is what is intended by these eight  
12 proposals that have been made.

13 MR. TELFORD: Anything else?

14 MS. MARCIANO: No. I don't think so at this time.

15 MR. TELFORD: Okay.

16 MR. HUEN: I really don't have a whole heck of a  
17 lot to add to it. I think it's a good opportunity for us to  
18 give our input so that we can't say later on we didn't say  
19 anything. In the past, I guess a lot of us didn't really do  
20 a lot of inputting when the opportunity arose, and now it's  
21 a very good opportunity. So I'm really looking forward to  
22 this to see how it works out later on.

23 MR. TELFORD: Good.

24 MS. SULLOWAY: I just want to thank you for  
25 inviting me. It was quite interesting. It's almost



1       overwhelming being from a very small department. I think we  
2       pretty well meet the quality assurance that you set up, so I  
3       don't think it will be too hard.

4               MR. TELFORD: Okay.

5               MR. TSE: I want to thank everybody for coming to  
6       help us out, the Agreement state personnel and the Region V  
7       staff, all the participants. We will see you next time.  
8       We've got a lot more to discuss, including misadministration  
9       requirements. Thank you.

10              MR. KAPLAN: I'd like to thank you again. It was  
11       only through your participation that we're going to be able  
12       to pull this off and, as Albert said, this is one  
13       opportunity get input in before something is finalized.  
14       Please, it will make our life easier, that one-page road map  
15       can be very important, because I know you're going to xerox  
16       various portions of various parts of things that exist, and  
17       pointing us in the right direction is going to make it much  
18       easier for us, and it will help us get NRR evaluation input  
19       back to you. That's it.

20              MR. HORNOR: John, I like what you're doing in  
21       implementing new rules. I wish you would do this in more of  
22       your new rules. Thank you for inviting me.

23              MR. WIEDEMAN: I just wanted to say that I review  
24       most misadministration rules or misadministration reports  
25       that come into the Region III Office. We get about 20 a

1 month. And I look at these and I say, well, would the new  
2 rule catch this, would it have caught that, and I would say  
3 probably 95 percent of the time the new rule would have  
4 caught these misadministrations.

5 One of the biggest problems is, like I said  
6 before, was ambiguous terminology that we use in the medical  
7 field, and there are things that you may want to incorporate  
8 in your procedures manual to watch out for.

9 There's little things like iodine scans, whole  
10 body scans, thyroid scans, cancer scans or CA scans,  
11 metastatic surveys, metastatic scans, lung/bone scans. To  
12 you it means one thing; to the other technologists, it may  
13 mean something else, and to a physician it means even  
14 something else.

15 So the same thing with your therapy. In your  
16 procedures manual, if you outline what the standard doses  
17 are for hyperthyroid, for CA, the use of I<sup>131</sup> for  
18 polycythemia, what your dosage ranges are, you'll have less  
19 problems.

20 I'm looking forward to working with you to try and  
21 get a good QC/QA program.

22 MR. MONTGOMERY: I guess as kind of representing  
23 the inspection end of NRC locally, this was real informative  
24 to me. I appreciate being here and listening to all of you.  
25 As inspectors, we've got a lot to learn, I think, in terms

1 of how we're going to inspect this and techniques to use and  
2 we're on a learning curve here, too. So appreciate the  
3 opportunity.

4 MR. FRAZEE: This business of actually having a  
5 shakedown cruise for a regulation before it becomes a hard  
6 and fast rule, I find to be really an exciting time. It's a  
7 great opportunity for licensees to have that input and, on  
8 the same token, I'm a little bit surprised that we didn't  
9 have more participation from our Region. I understand that  
10 we're sort of unique in that arena and we had more that  
11 didn't attend than did attend.

12 I hope that either through independent  
13 conversations, we can make sure that they are, indeed, going  
14 to participate in this. It's a real good opportunity really  
15 work the bugs out of this rule.

16 We have 48 Agreement state licensees and 24 NRC  
17 licensees who are participating, yet did I hear you  
18 correctly that sort of the reverse is true? Is there some  
19 good reason for that and for the actual on-site visits?

20 MR. TELFORD: I'll explain in a minute.

21 MS. RIEDLINGER: I have nothing to add.

22 MR. TELFORD: Well, I want to thank everyone for  
23 coming and thank everybody for your participation. I'm  
24 looking forward to the next workshop, hope that will be the  
25 big payoff. I think we can conclude this meeting. Thank

1     you.

2                     [Whereupon, at 3:30 p.m., the meeting was

3     adjourned.]

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

REPORTER'S CERTIFICATE

This is to certify that the attached proceedings before the United States Nuclear Regulatory Commission


in the matter of:

NAME OF PROCEEDING: Pilot Program Workshop

DOCKET NUMBER:

PLACE OF PROCEEDING: San Francisco, CA

were held as herein appears, and that this is the original transcript thereof for the file of the United States Nuclear Regulatory Commission taken by me and thereafter reduced to typewriting by me or under the direction of the court reporting company, and that the transcript is a true and accurate record of the foregoing proceedings.



---

Roni Borlek  
Official Reporter  
Ann Riley & Associates, Ltd.



## PILOT PROGRAM OBJECTIVES

1. TO UNDERSTAND HOW LICENSEES DEVELOP THEIR SPECIFIC QA PROGRAM FOR THEIR INSTITUTIONS WHICH MEETS THE PERFORMANCE OBJECTIVES OF § 35.35.
2. TO UNDERSTAND HOW LICENSEES CONDUCT THEIR "35.35 QA PROGRAM" IN ACTUAL PRACTICE.
3. TO DETERMINE IF THE PERFORMANCE OBJECTIVES OF PROPOSED § 35.35 HAVE THE EFFECT OF PREVENTING OR CATCHING MISTAKES WHICH COULD LEAD TO MISADMINISTRATIONS, IF NOT CORRECTED.
4. TO DETERMINE IF PROPOSED § 35.35, IF PROPERLY IMPLEMENTED, CAN PROVIDE HIGH CONFIDENCE THAT ERRORS IN MEDICAL USE CAN BE PREVENTED. IF NOT, DETERMINE WHAT SET OF OBJECTIVES WOULD PROVIDE HIGH CONFIDENCE.

## PILOT PROGRAM OUTLINE

1. INVITATIONS TO VOLUNTEERS DURING JANUARY AND FEBRUARY 1990. INVITATION PERIOD CLOSED MARCH 9, 1990.
2. VOLUNTEERS: REVIEW PROPOSED § 35.35 AND DETERMINE THAT THEIR QA PROGRAM MEETS PROPOSED § 35.35 OR MODIFY THEIR QA PROGRAM TO MEET PROPOSED § 35.35 DURING APRIL 1990.
3. PRE-TEST WORKSHOPS ON MARCH 29, APRIL 4, 6, 18, AND 20, 1990. VOLUNTEERS BRING COPIES OF THEIR QA PROGRAM TO THE WORKSHOP.
4. VOLUNTEERS DEVELOP WRITTEN INSTRUCTIONS OR TRAIN PERSONNEL, IF REQUIRED, DURING APRIL TO PREPARE FOR QA PROGRAM 60-DAY TRIAL.
5. VOLUNTEERS CONDUCT 60-DAY TRIAL, DURING THE PERIOD MAY 14 TO JULY 13, 1990, OF THEIR "35.35 QA PROGRAM" AND RETAIN SPECIFIC RECORDS; THE NRC QA TEAM WILL VISIT 18 VOLUNTEERS FOR ONE DAY AT EACH SITE.
6. POST-TEST WORKSHOPS WILL BE DURING AUGUST 1990. VOLUNTEERS BRING COPIES OF THEIR EVALUATIONS. VOLUNTEERS WILL DISCUSS THEIR "35.35" EXPERIENCE, EVALUATIONS, AND SUGGESTIONS FOR IMPROVEMENTS TO PROPOSED § 35.35, THE REGULATORY GUIDE, AND THE RECORDKEEPING AND REPORTING REQUIREMENTS. THE NRC QA TEAM WILL DISCUSS: (A) THE CRITERIA USED TO EVALUATE THE 18 QA PROGRAMS, (B) THE RESULTS FROM THE EVALUATION OF 18 QA PROGRAMS, (C) THE CRITERIA USED FOR 18 SITE VISIT EVALUATIONS, AND (D) THE FINDINGS FROM THE 18 SITE VISITS.



## WHAT THE PARTICIPANTS CAN EXPECT

1. TO UNDERSTAND THE CRITERIA THAT WERE USED TO EVALUATE THE "35.35. QA PROGRAMS."
2. TO LEARN THE RESULTS OF THE EVALUATION OF THEIR PROGRAM.
3. TO UNDERSTAND THE CRITERIA THAT WAS USED TO EVALUATE THE IMPLEMENTATION OF THE QA PROGRAMS DURING THE 18 SITE VISITS.
4. TO LEARN THE RESULTS FROM THE 18 SITE VISITS.
5. TO HAVE THE NRC QA TEAM LISTEN CAREFULLY TO THEIR EVALUATION OF THE PROPOSED RULEMAKING.
6. TO HAVE THE NRC QA TEAM LISTEN CAREFULLY TO THE SUGGESTIONS AND COMMENTS OF THE PARTICIPANTS ON HOW TO IMPROVE THE PROPOSED RULEMAKING.

## WHAT IS EXPECTED OF THE PARTICIPANTS

1. DEVELOP A QA PROGRAM TO MEET PROPOSED § 35.35.
2. ATTEND A PRE-TEST WORKSHOP.
3. PROVIDE WRITTEN INSTRUCTIONS AND TRAIN PERSONNEL, AS NECESSARY, TO PREPARE FOR THE 60-DAY TRIAL.
4. CONDUCT A 60-DAY TRIAL OF THEIR "35.35 QA PROGRAM."
5. EVALUATE THE PROPOSED RULEMAKING AND PROVIDE SUGGESTIONS FOR IMPROVEMENT.
6. ATTEND A POST-TEST WORKSHOP.

COVER SHEET FOR CORRESPONDENCE

Use this Cover Sheet to Protect Originals of Multi-Page Correspondence.

