

Official Transcript of Proceedings
NUCLEAR REGULATORY COMMISSION

Title: Meeting of the Advisory Committee
on the Medical Uses of Isotopes

Docket Number: (n/a)

Location: teleconference

Date: Monday, October 23, 2023

Work Order No.: NRC-2569

Pages 1-185

NEAL R. GROSS AND CO., INC.
Court Reporters and Transcribers
1716 14th Street, N.W.
Washington, D.C. 20009
(202) 234-4433

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

UNITED STATES OF AMERICA

NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON THE MEDICAL USES OF ISOTOPES

+ + + + +

MEETING

+ + + + +

MONDAY,

OCTOBER 23, 2023

+ + + + +

The meeting was convened via hybrid in-person and video-teleconference, at 10:00 a.m. EDT, Darlene F. Metter, ACMUI Chair, presiding.

MEMBERS PRESENT:

- DARLENE F. METTER, M.D., Chair
- HOSSEIN JADVAR, M.D., Ph.D., Vice Chair
- REBECCA ALLEN, Member
- JOHN F. ANGLE, M.D., ACMUI Consultant
- ANDREW EINSTEIN, M.D., Member
- MICHAEL R. FOLKERT, M.D., Ph.D., Member
- RICHARD L. GREEN, Member
- RICHARD HARVEY, Ph.D., Member
- JOSH MAILMAN, Member
- MELISSA C. MARTIN, Member

1 MICHAEL D. O'HARA, Ph.D., Member
2 ZOUBIR OUHIB, Member
3 MEGAN L. SHOBER, Member
4 HARVEY B. WOLKOV, M.D., Member

5

6 NRC COMMISSIONERS PRESENT:

7 DAVID A. WRIGHT, Commissioner

8

9 NRC STAFF PRESENT:

10 CHRISTIAN EINBERG, Designated

11 Federal Official, NMSS

12 KEVIN WILLIAMS, NMSS

13 CYNTHIA FLANNERY, NMSS

14 KATHERINE TAPP, NMSS

15 LILLIAN ARMSTEAD, NMSS

16 CHRISTINE PINEDA, NMSS

17 KEVIN WILLIAMS, NMSS

18 SARAH SPENCE, NMSS

19 DANIEL DIMARCO, NMSS

20 MARYANN AYOADE, NMSS

21 DANIEL SHAW, NMSS

22 KEN BRENNEMAN, NMSS

23

24

25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

C-O-N-T-E-N-T-S

Opening Remarks

Christian Einberg 4

Kevin Williams 14

Old Business 19

Open Forum 24

Medical Events Subcommittee Report 29

Overview of NRC Requirements for Veterinary
Release ICRP Publication 153 71

Financial Assurance for Disposition
of Category 1 and 2 Byproduct Material

Radioactive Sealed Sources 124

Recent Radiopharmaceutical Medical Events . . . 136

Special Recognition of Dr. Metter 171

Thoughts on Leaving the ACMUI 174

Open Forum 181

Administrative Closing 182

P-R-O-C-E-E-D-I-N-G-S

10:00 a.m.

MR. EINBERG: Good morning, everybody. It's great to see everybody here today. So I think we'll go ahead and get started.

So good morning. As the designated federal officer for this meeting I am pleased to welcome you to the public meeting of the Advisory Committee on the Medical Uses of Isotopes. My name is Chris Einberg. I am the Chief of the Medical Safety and Events Assessment Branch and I've been designated as the federal officer for this advisory committee in accordance with 10 CFR Part 7.11.

This is an announced meeting of the Committee. It is being held in accordance with the rules and regulations of the Federal Advisory Committee Act and the Nuclear Regulatory Commission.

This meeting is being transcribed by the NRC and it may also be transcribed or recorded by others.

The meeting was announced in the October 17th, 2023 edition of the Federal Register, Volume 88, page 71611.

The function of the ACMUI is to advise the staff on issues and questions that arise on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 medical use of byproduct material. The Committee
2 provides counsel to the staff but does not determine
3 or direct the actual decisions of the staff or the
4 Commission. The NRC solicits the views of the
5 Committee and values their opinions.

6 I request that whenever possible we try to
7 reach a consensus on the various issues that we will
8 discuss today, but I also recognize that there may be
9 a minority of dissenting opinions. If you have such
10 opinions, please allow them to be read into the
11 record.

12 At this point I would like to perform a
13 roll call of the ACMUI members participating today.
14 Dr. Darlene Metter, Chair, diagnostic radiologist.

15 CHAIR METTER: Present.

16 MR. EINBERG: Dr. Hossein Jadvar, Vice
17 Chair, nuclear medicine physician.

18 VICE CHAIR JADVAR: Present.

19 MR. EINBERG: Dr. Michael Folkert,
20 radiation oncologist.

21 MEMBER FOLKERT: Present.

22 MR. EINBERG: Mr. Richard Green, nuclear
23 pharmacist.

24 MEMBER GREEN: Present.

25 MR. EINBERG: Mr. Josh Mailman, patients'

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 rights advocate.

2 MEMBER MAILMAN: Present.

3 MR. EINBERG: Ms. Melissa Martin, nuclear
4 medicine physicist.

5 MEMBER MARTIN: Present.

6 MR. EINBERG: Dr. Michael O'Hara, FDA
7 representative.

8 MEMBER O'HARA: Present.

9 MR. EINBERG: Mr. Zoubir Ouhib, radiation
10 therapy physicist.

11 MEMBER OUHIB: Present.

12 MR. EINBERG: Ms. Megan Shober, state
13 government representative.

14 MEMBER SHOBER: Present.

15 MR. EINBERG: Dr. Harvey Wolkov, radiation
16 oncologist.

17 MEMBER WOLKOV: Present.

18 MR. EINBERG: Ms. Rebecca Allen, healthcare
19 administrator.

20 MEMBER ALLEN: Present

21

22 Dr. Richard Harvey radiation safety officer.

23 MEMBER HARVEY: Present.

24 MR. EINBERG: And Dr. Andrew Einstein,
25 nuclear cardiologist.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 MEMBER EINSTEIN: Present.

2 MR. EINBERG: I confirm that we do have a
3 quorum here of at least six members. Mr. Zoubir Ouhib
4 is joining us via Microsoft Teams as he was unable to
5 join us in-person.

6 I would like to welcome Dr. Folkert as
7 this is his first in-person meeting as a member of the
8 ACMUI. We presented him as the new brachytherapy
9 radiation oncologist representative during the spring
10 meeting.

11 All members of the ACMUI are subject to
12 the federal ethics laws and regulations and receive
13 annual training on these requirements. If a member
14 believes that they may have a conflict of interest as
15 the term is broadly used within 5 CFR Part 2635 with
16 regards to the agenda to be addressed by the ACMUI,
17 this member should divulge it to the Chair and the
18 designated federal official as soon as possible before
19 the ACMUI discusses it as an agenda item.

20 ACMUI members must recuse themselves from
21 participating in any agenda item for which they may
22 believe that they have a conflict of interest unless
23 they receive a waiver or prior authorization from the
24 appropriate NRC official.

25 I would like to add that this is a hybrid

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 meeting of the ACMUI. We are in person, but we also
2 using Microsoft Teams so that members of the public
3 and other individuals can watch online or join via
4 phone. The phone number for this meeting is 301-576-
5 2978. The phone conference ID number is 353440864#.
6 Once again, 353440864#.

7 The handouts and agenda for this meeting
8 are available on the NRC's ACMUI public website.

9 Today's meeting is being transcribed by a
10 court reporter. We are utilizing Microsoft Teams for
11 the audio of today's meeting and to view presentation
12 material in real time. The meeting material and
13 agenda for this meeting can be accessed from the NRC's
14 public meeting schedule.

15 For the purpose of this meeting the chat
16 feature in Microsoft Teams has been disabled. Dr.
17 Metter, at her discretion, may entertain comments or
18 questions from members of the public who are
19 participating today.

20 Individuals who would like to ask a
21 question or make a comment regarding the specific
22 topic the Committee has discussed and are in the room
23 can come up to either the microphone set or up to the
24 -- right left to the table. For those individuals in
25 the Microsoft Teams, please use the raise hand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 function to signal our Microsoft Teams host Lillian
2 Armstead that you wish to speak. If you have called
3 into the Microsoft Teams using your phone, please
4 ensure you have un-muted your phone.

5 When you begin your comment please clearly
6 state your first and last name for the record.
7 Comments and questions are typically addressed by the
8 Committee near the end of their presentation. After
9 the Committee has fully discussed the topic we will
10 announce when we are ready for the public comment
11 portion of the meeting.

12 At this time I ask that everyone who's not
13 speaking to please mute your Teams microphones or
14 phone. And for those in the room, please mute your
15 phones.

16 Dr. Kevin Williams will be joining us a
17 little bit later and providing some opening remarks as
18 well, but at this time I'd like to introduce the
19 Medical Team. Many of you are new and may not know
20 all of the Medical Team and who support this meeting
21 and all the great work that we do as a Medical Team.

22 So I'm going to start with Lillian
23 Armstead. Lillian Armstead is our new ACMUI
24 coordinator. And so she joined us from the Department
25 of Veteran Affairs a few months back and so now we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 have a full-time person supporting the Committee. So
2 you'll be seeing emails from Lillian. And so please
3 welcome her.

4 Then we have Daniel DiMarco. Daniel's
5 been with us for a few years now. He's a health
6 physicist.

7 And then we have Dr. Katie Tapp, and Dr.
8 Tapp is a medical physicist and she's been with us 15
9 years.

10 (Audio interference)

11 MR. EINBERG: Awesome.

12 (Laughter.)

13 DR. TAPP: Eight years.

14 MR. EINBERG: Eight years? Okay. Sorry.
15 She has experience like she has 15 years.

16 Then we have Dr. Kenneth Brennerman. Dr.
17 Brennerman joined us about a year ago, or a little
18 over a year ago. He comes to us from the University
19 of Maryland. He was the radiation safety officer at
20 the University of Maryland Hospital there.

21 Then we have Cindy Flannery who's our
22 senior health physicist on the Medical Team. And
23 Cindy, many may remember her, but Cindy was the
24 Medical Team leader many years ago and then she went
25 and did other things within the agency. But she loved

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 medical so much she came back.

2 And we have Sarah Spence. Sarah joined us
3 recently, a few months back from Rutgers University.
4 She's a health physicist.

5 And you were the assistant RSO there, I
6 believe?

7 MS. SPENCE: Health physicist.

8 MR. EINBERG: Health physicist? Okay.

9 And she just passed her CHP, certified
10 health physicist, a few months back. And so we
11 welcome here.

12 And then last we have Dan Shaw. Dan Shaw
13 was the -- he joined us less than a year ago and Dan
14 was the radiation safety officer at Walter Reed. And
15 so we've -- we're grateful that we have such a strong
16 team supporting us and that these wonderful people
17 have agreed to join us.

18 And last but not least, we have Maryann
19 Ayoade. She's also on the Medical Team. And many of
20 you know Maryann from the subcommittee work, but
21 Maryann works remotely. She's in Texas and she's a
22 medical physicist. And there's Maryann. She came on
23 the screen.

24 So thank you, Maryann.

25 So Mr. Williams has joined us.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 I'm not sure if you're ready for your
2 opening remarks or you want to do that a little bit
3 later?

4 MR. WILLIAMS: How about a later?

5 MR. EINBERG: Okay. Very good.

6 MR. WILLIAMS: I will diverge and tell you
7 that I just came in from -- oh, sorry. I will take
8 this opportunity to tell you where I was. It was my
9 mother's 82nd birthday. And so my whole family came
10 down to -- she lives in Atlanta and we came down to
11 surprise her over the weekend several times. She just
12 thought it was going to be me and my wife. And so we
13 videotaped it and she saw my son and my daughter and
14 she was more excited. And then a friend of hers said
15 hey yesterday let's have a nice -- for those who
16 couldn't make the celebration she had on the 14th,
17 let's do it tomorrow. And so she was surprised as
18 well. My sister came down. So she got to --
19 surprised all around. So that's where I'm actually
20 coming from. I just got off a plane and drove here,
21 but I really did want to be at this meeting. And I
22 will share my remarks later, but thanks, Chris.

23 MR. EINBERG: Okay. Thank you, Kevin.

24 And so at this point I'd like to turn the
25 meeting over to Dr. Metter. Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 CHAIR METTER: Well, thank you, Mr.
2 Einberg.

3 And good morning. Welcome to the fall
4 2023 meeting of the ACMUI. I'm Darlene Metter, the
5 ACMUI Chair and diagnostic radiologist. I'd also like
6 to welcome our consultant Dr. John Angle for this
7 Committee. He's greatly contributed to the meeting's
8 agendas during these past few years.

9 Thank you very much.

10 So today the ACMUI meeting has several
11 interesting topics to include a two-year analysis of
12 the 2021 and 2022 medical events. A specific session
13 on lutetium-177 medical events, a section focusing on
14 veterinary regulatory protective practices, and a
15 presentation on current rulemaking efforts in revising
16 financial assurance of the disposition of Category 1
17 and Category 2 sealed sources.

18 Now if Mr. Williams is ready? You have
19 some opening remarks?

20 (No audible response.)

21 CHAIR METTER: And by the way, happy
22 birthday to your mother.

23 MR. WILLIAMS: Yes, see, she had this
24 surprised face the entire time. We really did
25 actually surprise her. She doesn't get to see my kids

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 that often, so I think it was really a good
2 opportunity for us to come down.

3 Where am I, Chris? I apologize.

4 All right. So I'll give a status update
5 of the NRC activities. I always -- I'm going to off
6 script, but I'm really extremely proud of the Medical
7 Team. And in that, I say all of Chris' brains, but
8 this particular meeting does focus on the medical use
9 of isotopes and there's a lot of work that we have
10 going on and a lot of hard work that goes on by --
11 behind the scenes and a lot of hard work by you all
12 that really actually puts this all together. We get
13 a lot of inputs from a variety of people and we all
14 come together and be able to distill it into -- take
15 the complex things and make them relatively simple in
16 plain language. And I think that's a testament to all
17 involved in this activity.

18 So I'm very much appreciative of it
19 because one, this is appraisal time. I get to take
20 credit for that. But what I'm most proud of are the
21 people, I mean the hard work, the dedication, the
22 collaboration, coordination, communication. That's
23 just demonstrated not only by Chris' team, but the
24 ACMUI as a whole. So I'm extremely appreciative of
25 that and I want to thank you. And thanks for allowing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 me to go off script.

2 But I look at these things such as the
3 infiltration extravasation rulemaking. Since the
4 spring we've -- the comment period for the
5 information we closed on September of 2023. We
6 received over 200 comments from stakeholders on a
7 number of issues. The staff plans to begin the
8 concurrence of the proposed rule and associated
9 draft implementation guidance in January of 2024.
10 The staff expects to transmit these to the Committee
11 for review and comment in the spring of 2024. We
12 should have the proposed rule to the Commission by
13 August of 2024.

14 We also have the emerging medical
15 technologies rulemaking. We recently issued a
16 regulatory basis document for this rulemaking in early
17 July of this year. The 120-day comment period closes
18 on October 31st of 2023.

19 The training and experience for
20 unsealed byproduct material. The staff continues to
21 develop the implementation guidance for training and
22 experience requirements. The draft implementation
23 guidance will be issued in August of 2024 as interim
24 staff guidance and will address how persons seeking
25 authorized individual status under Part 35 can fulfill
those training and experience requirements as well as

1 clarify the roles and responsibilities of those
2 persons involved in and subject to training and
3 experience requirements. I know you will recall that
4 the training and experience was a big issue for us
5 before the Commission for a while and we continue
6 to implement the Commission's direction.

7 Another one of the topical areas is Reg
8 Guide -- Phase 2 for Reg Guide 8.39. The comment
9 period for the proposed revision closed in August of
10 2023. We received over 60 comments. We will review
11 and incorporate the comments into the draft guidance
12 as appropriate. The ACMUI will receive the final
13 draft review and comments prior to the final issuance
14 of the Reg Guide 8.39.

15 I will tell you in between there there
16 could be some different conversations that we have
17 internally and if anything changes there, we will
18 reach out and share that information as
19 appropriate.

20 (Audio interference)?

21 MR. EINBERG: Introduce (audio
22 interference)?

23 MR. WILLIAMS: Yes, so I said I get a
24 second chance to do it. But some of the
25 organizational changes. Ms. Lillian Armstead is the

1 ACMUI coordinator. I'm sure a number of you have
2 spoken to her in some fashion.

3 Thank you, Lillian, and welcome to the
4 team.

5 Theresa Clark, who normally is my deputy
6 and would be attending these meetings, is in our
7 Region IV Office on a rotation. And Ken Erwin, who
8 comes from our Division of Rulemaking Environmental
9 and Financial Systems. He's the acting deputy. He'll
10 do that until Theresa comes back.

11 As Chris mentioned, Dr. Folkert's first
12 meeting as ACMUI brachytherapy radiation oncologist.
13 And since the fall meeting Dr. Ronald Ennis completed
14 his second term in ACMUI and his departure left a
15 vacancy for the ACMUI brachytherapy radiation
16 oncologist. And as Chris had talked about, we are
17 pleased to announce that Dr. Michael Folkert has been
18 appointed to serve as the brachytherapy radiation
19 oncologist. He is currently the Vice Chair and Chief
20 of the Brachytherapy for Northwell Health Cancer
21 Institute Radiation Medicine at the Center of Advanced
22 Medicine in Lake Success, New York. I would have to
23 get a little more information on that place. I'd like
24 to go there maybe.

25 For our meetings an item of interest; and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Dr. Metter kind of mentioned this, Dr. Harvey will
2 provide the Medical Events Subcommittee report for
3 Medical Events for fiscal year '21 and '22. Dr. Tapp
4 will discuss recent medical events related to the use
5 of radiopharmaceuticals. I'm going to mess up this
6 thing. Mr. Davila will provide an overview of our ICRP
7 Publication 153, Radiation Protection in Veterinary
8 Practice. That has a lot of interest around here as
9 well. And Dr. Tapp will provide an overview of the
10 NRC's regulatory framework for the release of animals
11 following an administration of radioactive material.
12 And finally we have a special presentation for Dr.
13 Metter, as this will be Dr. Metter's last in-person
14 meeting for ACMUI.

15 We definitely appreciate all your
16 accomplishments. I know we'll get to that part of it,
17 but I personally have appreciated your leadership and
18 how you continue to move ACMUI forward as well as
19 sharing information with the staff. I'm very
20 appreciative and definitely will miss you.

21 CHAIR METTER: Thank you.

22 MR. WILLIAMS: Thanks for this opportunity
23 for me to provide some opening remarks. I do wish you
24 a productive session today and I will say I myself
25 will be in and out because it is appraisal season and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 I have four appraisals to give today. I know that.
2 And that will be later this afternoon. But I really
3 appreciate you meeting, meeting in person, taking of
4 your time and giving of yourselves because it does
5 make us better, makes us -- and I'll say smarter and
6 better and focused. So thank you for all of your time
7 and attention. And at this time I'm turn it back over
8 to Lillian Armstead.

9 MS. ARMSTEAD: Good morning, ACMUI
10 members, attendees, both virtual and in person. This
11 morning I will be providing and old business report
12 and giving a status update on some of the items in the
13 ACMUI's recommendations and action items beginning
14 with the year 2020.

15 Item 11, from 9/21/20. As part of the
16 non-medical events report the ACMUI recommended to the
17 NRC staff and/or NNP to evaluate the issue of
18 detection of short-lived medical isotopes in municipal
19 waste, and that is waste from nuclear medicine
20 patients that might be triggering the landfill alarm.

21 CHAIR METTER: Excuse me, Lillian. Can you
22 bring the microphone a little bit closer? (Audio
23 interference)

24 MS. ARMSTEAD: Can you hear me now?

25 CHAIR METTER: That's better, yes.

1 MS. ARMSTEAD: Okay. Start over?

2 CHAIR METTER: You want her to start
3 over? Yes, why don't you start over?

4 MS. ARMSTEAD: Okay. Good morning, ACMUI
5 members, attendees, both virtual and in person. This
6 morning I will be providing an old business report
7 and giving a status update on some of the items from
8 the ACMUI's recommendations and action items beginning
9 with the year 2020.

10 Item 11 from 9/21/20. As part of the non-
11 medical events report the ACMUI recommended to the NRC
12 staff and/or NNP to evaluate the issue of detection of
13 short-lived medical isotopes in municipal waste, and
14 that is waste from nuclear medicine patients that
15 might be triggering the landfill alarms and provide
16 some level of guidance, best practices, or additional
17 instructions. We recommend this remain open.

18 The NRC staff shared a voluntary survey
19 with Agreement States via CRCPD letter. The staff
20 is analyzing the responses from Agreement
21 State respondents. The staff will also review
22 current NRC regulations and any pertinent regulatory
23 analysis to make a recommendation to the Committee in
24 spring 2024.

25 Item No. 8 from 10/4/2021. The ACMUI
formed a new subcommittee on the Liberty Vision Y-90

1 Manual Brachytherapy Source. The subcommittee is
2 expected to provide a draft report and any
3 recommendations at the spring 2022 ACMUI meeting. We
4 recommend this remain open. The subcommittee will
5 receive the guidance for review and comment in the
6 fall of 2023. The NRC staff will plan for a public
7 teleconference in the spring of 2024.

8 Item 11 from October 4th, 2021. The ACMUI
9 endorsed the Radionuclide Generator Knowledge and
10 Practice Requirements Subcommittee report and
11 recommendations provided therein. We recommend this
12 remain open. The NRC staff kicked off the Rulemaking
13 Working Group on February 23rd, 2022. The NRC issued
14 a regulatory basis for the rubidium-82 emerging
15 technologies, other medical use of byproduct material
16 in July 2023. The NRC is accepting comments on this
17 document for 120 days until October 31st, 2023, but
18 may extend the comment period if requested by
19 interested stakeholders.

20 The proposed and final rule are due by August
21 2024 and March 2026, respectively.

22 Item 15 from 12/15/2021. The ACMUI
23 endorsed the ACMUI Reg Guide 8.39 Subcommittee report
24 on CivaDerm and the recommendations therein. We
25 propose to close this. The NRC staff considered the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 subcommittee's comments. The staff issued the
2 CivaDerm memo on July 28th, 2023 and posted the memo
3 to the NRC's medical tool kit.

4 Item No. 4 from December 5th, 2022. The
5 ACMUI endorsed the Y-90 Microsphere ME Subcommittee
6 report and the recommendations therein. We recommend
7 this remain open. The staff is addressing the
8 recommendations including outreach to the Society of
9 Interventional Radiology to increase engagement and
10 communications. This will include a webinar in June
11 to discuss the current Y-90 microsphere guidance in
12 medical events. The staff is also looking more
13 closely at Y-90 microsphere medical events for the
14 next two years to evaluate if and how the use of
15 vendor tools play a role in medical events.

16 Item No. 6, December 5th, 2022. The ACMUI
17 established two subcommittees, one to create generic
18 process checklists to be used during medical
19 administrations and want to review the DFA draft
20 proposed guide. The ACMUI also reestablished the
21 Nursing Mothers Guidelines to update the 2019
22 guidelines. We recommend this remain open.

23 A subcommittee was established to review and
24 comment on the proposed rule. The other two
25 subcommittees are in the process of being established.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Item No. 1, May 15, 2023. During the
2 ACMUI's spring 2023 meeting the ACMUI requested
3 additional tentative dates from the staff for its fall
4 2023 meeting. We propose to close this action.
5 Following the ACMUI's spring 2023 meeting the ACMUI
6 tentatively scheduled its fall 2023 meeting for
7 October 23rd through 24th, 2023.

8 And I should add; I should have mentioned
9 this earlier, but what you're seeing in your handouts
10 is different than what I'm reading. There were some
11 last minute edits that I will get to you guys and
12 girls before the session ends. I do apologize for
13 that.

14 ACMUI and staff this completes the old
15 business report and review of ACMUI
16 recommendations and action items. I have proposed to
17 close two items: No. 1 and 15. Is there a motion to
18 accept the report?

19 PARTICIPANT: So moved.

20 CHAIR METTER: Do I have a second?

21 PARTICIPANT: Second.

22 CHAIR METTER: All in favor of approving
23 the report as stated?

24 (Chorus of aye.)

25 CHAIR METTER: Any opposition or

1 abstention?

2 (No audible response.)

3 CHAIR METTER: Do we have any discussion?

4 (No audible response.)

5 CHAIR METTER: Seeing none, Ms. Armstead,
6 the report has been unanimously approved by the ACMUI.

7 MS. ARMSTEAD: Thank you.

8 CHAIR METTER: Thank you very much for
9 your complete report.

10 MS. ARMSTEAD: Thank you.

11 CHAIR METTER: So now we'll go onto the
12 next agenda item, which is the open forum. Do I have
13 any comments or suggestions for the open forum?

14 Yes, Mr. Green?

15 MEMBER GREEN: Thank you, Dr. Metter. I
16 wish to -- for the benefit of the members of the ACMUI
17 and the NRC Medical --

18 CHAIR METTER: Can you speak a little
19 closer to the -- and yes, the mics -- I think if
20 everybody speaks closer to the mic, we all can hear a
21 little better.

22 MEMBER GREEN: All right.

23 CHAIR METTER: Okay. Thank you.

24 MEMBER GREEN: For the benefit of members
25 of the ACMUI and the NRC Medical Radiation Safety Team

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 I wanted to give a short update on
2 radiopharmaceuticals that have or will soon cease
3 production and one that was just recently approved by
4 the FDA. And these are all excerpted from public
5 sources. There's no proprietary information here.
6 They're all from news reports.

7 NorthStar Medical Radioisotopes announced
8 October 5th that they will shut down their moly-99
9 production facilities in Deloitte, Wisconsin by the
10 end of 2023 citing increasing costs and competition.
11 This facility produced the RadioGenix System, the
12 technetium-99 generator, for production of Sodium
13 Pertechnetate Tc 99m US -- injection USP. This item
14 was licensed under 10 CFR 35 Part 1000 -- for the
15 court reporter that was a typo. That should have been
16 1000 -- and has a separate licensing guide and
17 training requirements. As a authorized -- trained
18 authorized user of the RadioGenix System I have used
19 it and it is a very extensive training program and
20 licensing guidance. So acknowledging the work of the
21 Medical Team, but that product is being removed from
22 the market.

23 There are currently two other FDA-approved
24 manufacturers of moly-99 generators that utilize
25 fission, Non-HEU derived tech moly-99 and a third

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 manufacturer has an NDA under review with the FDA for
2 a generator using neutron capture-produced moly-99.
3 ESNMI has reached out and evaluated the impact and it
4 does not appear that there will be any shortage of
5 material.

6 Regenics Pharmaceuticals, a subsidiary of
7 Lantheus Holdings, announced on August 24th that they
8 will no longer be producing AZEDRA iobenguane 1-131
9 injection indicated for the treatment of
10 pheochromocytoma and paraganglioma due to the lack of
11 commercial demand. Manufacturing of AZEDRA will
12 continue into the first quarter of 2024 in order to
13 provide doses to current patients. This
14 radiopharmaceutical was licensed under 10 CFR 35 Part
15 300.

16 And then a new addition to the marketplace
17 on September 29th, Cyclomedica received FDA approval
18 for the imaging agent tech-99M Technegas for use in
19 ventilation perfusion studies to diagnose pulmonary
20 embolism and other respiratory pathologies.
21 Technegas, for the preparation of technetium labeled
22 carbon inhalation aerosol, is an oval-shaped graphite
23 carbon crucible upon addition of Sodium Pertechnetate
24 injection USP to the crucible. The Technegas system
25 produces Technegas aerosol for oral inhalation, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 this radiopharmaceutical is licensed under 10 CFR 35
2 Part 200.

3 It's always dynamic. Some in, some out.
4 So I want to make sure the Radiation Safety Medical
5 Events Committees are aware of departures and
6 additions to the marketplace. We may see them come up
7 in events and perhaps MEs.

8 CHAIR METTER: Thank you very much, Mr.
9 Green, for that very good update and pertinent to our
10 patients and public and to this Committee.

11 Do I have any questions for Mr. Green
12 regarding these new items that have come up?

13 Yes, Dr. Tapp?

14 DR. TAPP: Not a question, but just wanted
15 to let everyone know that the Technegas that Mr. Green
16 just mentioned -- the NRC was aware of it. We did do
17 an evaluation of the Technegas to determine to make
18 sure we agreed with the licensing pathway that he
19 mentioned, the 35.200. And we did propose to the
20 Standing Committee of Emerging Medical Technologies;
21 it's an Agreement State Standing Committee, and the
22 NRC Standing Committee that it should be licensed
23 under 35.200. We are working on a licensing memo then
24 for -- to hand out to the regions and the states to
25 let them know that recommendation, assuming they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 agree, and just following up with that process. So I
2 want to let everyone know that we did evaluate it.

3 CHAIR METTER: Well, thank you very much.

4 Any questions for Dr. Tapp?

5 (No audible response.)

6 CHAIR METTER: Any other questions for Mr.
7 Green's report or update?

8 MR. EINBERG: This is Chris Einberg. Yes,
9 thank you, Mr. Green, for that update. And we do
10 appreciate when the medical community does reach out
11 to us and to let us know what's upcoming and what's
12 planned on being discontinued. We're aware of the
13 RadioGenix System being shut down. We're going to
14 terminate our licensing guidance at the appropriate
15 time.

16 CHAIR METTER: Yes, thank you. And it
17 will be interesting what the nuclear medicine
18 community will be doing regarding that and regarding
19 interpretation for -- on their pulmonary embolism
20 criteria. They'll probably have to make new guidance.

21 Okay. If there are no other questions for
22 Mr. Green or for anything -- any other items that wish
23 -- people wish to bring up in the open forum?

24 (No audible response.)

25 CHAIR METTER: Okay. Seeing none, it's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 pleasure for me to introduce our next topic for this
2 meeting. It's the Medical Events Subcommittee report
3 by Dr. Richard Harvey, who's going to be giving
4 an analysis of the 2021 and 2022 medical events.

5 Dr. Harvey?

6 Dr. HARVEY: Thank you, Dr. Metter.

7 Good morning to everyone. It's a pleasure
8 to be here.

9 So I am the Chair of the Medical Events
10 Subcommittee, so we'll be talking about that. I'm the
11 Chair. Dr. Folkert has joined us. Mr. Green is on
12 the Committee, Dr. Metter, Mr. Ouhib, and Dr. Wolkov.
13 And special thanks to our consultant, Dr. Angle, and
14 our NRC staff resource Mr. DiMarco. Everyone has been
15 wonderful to work with and have contributed greatly to
16 this. So thank you to all of them.

17 So the Subcommittee's charge is to review
18 the medical events to advise the Advisory Committee on
19 the Medical Uses of Isotopes and the United States
20 Nuclear Regulatory Commission about emerging trends
21 that may need regulatory attention. So the NRC and
22 the ACMUI are regularly reviewing these medical events
23 and we're doing our review and bringing this report
24 forward.

25 Medical events that occur when radioactive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 materials used in health care result in unexpected
2 radiation dose to patients, and certainly the
3 regulations there are cited. The Medical Events
4 Subcommittee of the ACMUI reviews the data to analyze
5 the nature of the medical events, identify those
6 emerging trends, and then provide recommendations to
7 the Committee, the ACMUI Committee, as well as the
8 Nuclear Regulatory Commission.

9 The period under review is FY '21 and FY
10 '22, so October 1st, 2020 to September 30th, 2021 and
11 October 1st, 2021 to September 30th, 2022. So we'll
12 be focusing on that. We will see in the tables some
13 of the earlier data and trends, but we haven't --
14 we're not including anything beyond September 30th,
15 2022.

16 We have kept with what I call the Dr.
17 Ennis methodology. So Dr. Ennis did a wonderful job
18 with the medical events. I have been elected to try
19 to fill those big shoes. And we have remained
20 consistent with his methodology going forward.

21 So there were two overarching themes:
22 human error and inexperience. Human error seems to be
23 influenced by communication and feedback between
24 individuals and healthcare as well as the failure to
25 work in teams.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Inexperience has occurred when new
2 radiopharmaceuticals have come to market at a very
3 quick pace and people haven't developed the experience
4 with these radiopharmaceuticals.

5 Also, the dissemination of use of these
6 radiopharmaceuticals to smaller institutions that
7 perform these procedures at a lower frequency. There
8 seems to be more of a problem with individuals or
9 licensees that are not always, but in some cases --
10 where licensees are doing infrequent use and have
11 limited experience.

12 Increasing medical events. So again, due
13 to new radiopharmaceutical therapies coming to market,
14 theranostic treatments, and increasing use of current
15 therapeutic radiopharmaceuticals. We're seeing
16 increase of lutetium agents, yttrium-90 microspheres.
17 We're definitely seeing an increase in the volume of
18 the number of procedures being done.

19 With regards to yttrium-90 microspheres,
20 there are two common medical events. An ACMUI action
21 that I wanted to mention was that we added two
22 committee members. One is our consultant, Dr. Angle;
23 the other is Dr. Folkert.

24 ACMUI recommendation is that the
25 authorized users adhere to manufacturer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 recommendations. One thing that's been identified is
2 that there's anatomy that needs smaller catheter,
3 smaller needle gauge sizes. Interventional
4 radiologists have moved to using needle gauge sizes
5 and catheter sizes that are smaller than what the
6 manufacturer recommends. We believe this has
7 contributed to some of the medical events with
8 yttrium-90 microspheres.

9 The other issue is aggregation of the
10 microspheres. So proper delivery, proper set up of
11 the delivery box, and agitation of the microspheres is
12 very important for our licensee so that they can
13 deliver the microspheres without aggregation,
14 clumping, and all of the radiopharmaceutical or the
15 microspheres can get to the patient.

16 Looking first at 35.200, you can see some
17 of the work done by Dr. Ennis and the Committee prior
18 to my involvement. And then you can see added 2021
19 and 2022. The number of medical events in 35.200 is
20 relatively small. And keeping with the Ennis
21 methodology, items that could be or medical events
22 that could be prevented by a time-out are wrong drug,
23 wrong dosage, and wrong patients by the Ennis
24 methodology. So the four medical events that occurred
25 in 2021 could have been prevented -- at least the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Committee surmised that this could have been prevented
2 by the use of a time-out.

3 Next moving to 35.300 where a written
4 directive is required, we see a breakdown of the
5 different types of medical events by year. We see
6 that it's relatively constant, though there has been
7 -- I would say -- I'll keep it this way. Let me say
8 it that way. It's relatively constant. You see some
9 areas where there may be some uptick. So from 2021
10 and 2022 a time-out may have been useful in 50 percent
11 of the medical events that occurred in 2021. In 2022
12 about 30 percent of the medical events may have been
13 prevented by the use of a time-out. Again, these are
14 wrong drug, wrong dose, wrong patient.

15 Our next area is 10 CFR 35.400, Manual
16 Brachytherapy. So we see a relatively low number of
17 events in manual brachytherapy, which is great. We've
18 seen in the past some significant with prostate doses
19 being higher than expected, but that seems to have
20 tailed off as you look at 2019 and beyond. So most of
21 what happened in 2021 and 2022, the occurrences were
22 relatively low.

23 Let's move to the next slide, which is a
24 continuation of that slide. And you can see the
25 totals. They are 7, 13, 5, 6, 4, 1. So relatively

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 stable, relatively low. The other issue is will a
2 time-out have helped in these situations to prevent
3 the medical events? And the other area that we looked
4 at was was there a lack of experience or inattention
5 and how did that play a role in medical events?

6 Let's move to the next slide. This is a
7 note from previous work. After 2019 many of the
8 medical events were re-categorized from dose to
9 activity-based. So the potential medical event issues
10 that we mentioned were lack of attention and
11 inexperience.

12 Moving to the next slide. Summary. So
13 potentially 9 out of 36, or 25 percent, of the medical
14 events from the period of 2017 to 2022 may have been
15 prevented by the use of a time-out, which is defined
16 for this using the Ennis methodology as wrong site,
17 wrong source, wrong patient. So using a time-out or
18 a checklist in 2021 could have prevented three-
19 quarters or 75 percent of the medical events that
20 occurred. Three out of four.

21 The training of infrequently performed
22 procedures did not seem to be a factor in the medical
23 events that occurred in 2021 and 2022, although that
24 was cited in previous years.

25 Increased attention during the procedure is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 a factor in the medical events observed in 2021 or
2 2022.

3 Next area to look at is 10 CFR 35.600.
4 You can see that medical events have been relatively
5 stable with a slight drop in 2021 and sort of back in
6 that area of around 10 to 13 medical events. These
7 events have occurred from a number of different
8 reasons, and I think some of the ones that stick out
9 were wrong position treated or the wrong reference
10 length from the transfer tubes in HDR brachytherapy.

11 Moving to the next slide, what we see here
12 is a breakdown by site treated. So GYN, or
13 gynecological treatments still seem to be the most
14 prevalent, the most -- had the largest number of
15 medical events occurring at that treatment site. As
16 you can see there are 38 as compared to the others, so
17 38 out of 57, certainly a large percentage.

18 Moving to the next slide, in summary, if
19 you look at the medical events that may have been
20 prevented by a time-out, which is defined in the Ennis
21 methodology as wrong plan, wrong dose, in 2017, time-
22 out, there was no benefit. In 2018, 30 percent, 3 out
23 of 10 may have benefitted from a time-out. And from
24 2019 on no events were going -- would -- no events
25 would have benefit from the use of a time-out. And so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 that total -- this is three out of five and --
2 confused as to what that is, so I'll have to get back
3 to you on that. So let me move forward because
4 certainly the denominator is not five.

5 So I think there's a mistake there. That's on
6 me. I apologize.

7 All right. The other issue is medical
8 events caused by infrequent users or inattention while
9 performing the procedure. Again, this is very
10 difficult to determine based on the information in the
11 nuclear medical events database. So what's been used
12 in the past for this assessment is that wrong position
13 is a surrogate for infrequent users and inattention.
14 So the wrong position was treated. And you can see
15 the breakdown. 2017, two out of eight; 2018, one out
16 of ten, and so on. And that total was 25 percent, or
17 14 in 57, of these events were caused by or at least
18 surmised to be caused by wrong position analogous from
19 infrequent user or inattention and inappropriate
20 levels of attention to detail.

21 The next area that we looked at as a
22 committee was 35.1000. 35.1000, there were not --
23 there was only one additional event, none in 2022, for
24 radioactive seed localizations. So radioactive seed
25 localizations seem to be a relatively low occurrence

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 of medical events, and the one that did occur was due
2 to seed migration in 2021.

3 Moving to the next slide is intravenous
4 cardiac brachytherapy. There were no new events in
5 this category in 2021 or 2022. So the summary from
6 Dr. Ennis' work and the Committee's prior work is
7 listed here. There's nothing new to present.

8 35.1000, specifically looking at Gamma
9 Knife Perfexion, Icon, Esprit. Total medical events
10 has been relatively stable; one or two, zero to two.
11 And you can see the different causes here. In 2021
12 there were no medical events involving Gamma Knife of
13 these three models. There was one due to wrong site,
14 which was due to human error and shifting of co-
15 registration images, and there was one where the
16 patient motion management system failed. So two
17 events in 2022.

18 We spoke briefly about yttrium-90
19 microspheres earlier. There are TheraSpheres and SIR-
20 Spheres. So we're going to talk about TheraSpheres
21 first. And we can see that it looks like there is an
22 uptick in the number of medical events involving
23 yttrium-90 TheraSpheres, up to 23 in 2021 and 2022.
24 So this does seem to be fairly significant. In 2021
25 there were 10 cases where there was 20 percent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 residual activity remaining in the delivery box, the
2 delivery device due to the remaining radioactive
3 material there or possibly due to leakage within that
4 delivery set system.

5 Another area again was mentioned
6 previously was wrong site and -- actually it wasn't
7 mentioned earlier, excuse me, wrong site, where
8 catheter placement -- there was -- well, the wrong
9 placement size was mentioned, but placement location
10 was not. So let me clarify that. So there were seven
11 cases in 2022 where there was the wrong site either
12 due to catheter placement error or the size of the
13 catheter. And again size of the catheter, we should
14 stay with manufacturer recommendations. At least
15 that's our recommendation. And if you look down to
16 the bottom, a time-out may have been useful in some of
17 these situations. Time-out is those that were in the
18 category of wrong dose. So for 2021 4 out of 23, or
19 17 percent of these medical events could have
20 benefitted from a time-out. Two out of twenty-three,
21 or nine percent could have benefitted from a time-out
22 in 2022.

23 Infrequent or inattention. Ten out of
24 twenty-three, or forty-three percent could have
25 benefitted here. And this is for the residual doses

1 of 20 percent left in the delivery set box. So either
2 improper set up, problems with pressure, problems with
3 aggregation, problems with delivering the radioactive
4 microspheres properly. And then there were 2 out of
5 23, or 9 percent that might have benefitted from a
6 time-out in 2022.

7 Looking at SIR-Spheres, you can see that
8 the number is relatively constant. There was an
9 uptick in 2021 where there were 18 medical events.
10 2022 came back into the more normal realm of somewhere
11 between 7 to 11. And again, you can see a breakdown.
12 And it looked like the most prevalent situation in
13 2021 and 2022 with the SIR-Spheres was aggregation of
14 the microspheres within the delivery set. So it's
15 very important. And Mr. Green has pointed this out in
16 the past how important it is to agitate the spheres to
17 make sure that the spheres are delivered properly. If
18 the spheres sit, they can settle, they can clump and
19 aggregate and they don't get infused through the
20 delivery set properly.

21 So you can see that there were quite a
22 few: nine in 2021, six in 2022, due to aggregation of
23 microspheres. So a time-out may have benefitted in
24 one of the cases out of 18, or 6 percent in 2021. In
25 2022 one out of nine, or 11 percent may have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 benefitted from a time-out. And this is defined as
2 wrong site.

3 Infrequent, inattention. Two out of
4 eighteen, or eleven percent for 2021. One out of
5 nine, or eleven percent -- or twenty percent of the
6 residual activity remaining in the delivery set and
7 wasn't infused to the patient leading to another
8 (audio interference).

9 In sort of some summary slides here, we
10 want to make sure that we're recommending that people
11 ensure their familiarity with the mechanics of the
12 yttrium-90 microsphere delivery device and their set
13 up procedures. They know their device well and they
14 set it up properly and have good procedures hoping
15 that medical events will be reduced.

16 Very important to confirm that all the
17 data and the calculations in the treatment plan are
18 correct. I think that goes without saying, but that
19 has been something that has been an issue in the past.

20 Performing a time-out is something that
21 may be beneficial to ensure that all elements of the
22 treatment are in accordance with the written
23 directive.

24 And possible elements of a time-out. And
25 these are directly stolen/plagiarized from Dr. Ennis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Again, obviously identifying the patient via two
2 methods to make sure that you have the correct patient
3 identified, identifying the proper procedure at the
4 time of time-out, the radiopharmaceutical, the proper
5 activity being administered, performing a second check
6 of the dosage calculation, and that the written
7 directive and the dosage are identical, because there
8 have been cases where there have been errors in this
9 area.

10 Other things that are applicable are the
11 units of activity for low-dose rate prostate,
12 identifying the anatomic location so the right site,
13 the correct site is treated, making sure the patient
14 and the treatment plan is accurate. Treatment plan
15 independent second check has to be performed. You
16 have to do a second check of the primary. Reference
17 length was seen as an issue for the transfer tubes.
18 If the reference length and the transfer tube is not
19 the proper length, then we're not going to be treating
20 the correct site. And the implant site location for
21 radioactive seed localization is something that was
22 identified in the past.

23 So these are the acronyms used on the next
24 slide. All right? I think we're mostly familiar with
25 these. And at this point that concludes the

1 presentation that I would like to give. Again, I
2 appreciate the opportunity to participate on this
3 Committee and it was very valuable and I think
4 personally I learned quite a bit. I really commend
5 all of the Committee members, Subcommittee members for
6 all their effort.

7 There was some talk of potentially
8 defining maybe some of the medical events in a
9 slightly different way, but that's something that
10 we're going to be looking at before our next review,
11 so we kept with what I call the Ennis methodology, Dr.
12 Ennis' methodology going forward.

13 So thank you very much for the opportunity
14 to present and for all the help that everyone gave.
15 If I went too quickly, I apologize. I know there was
16 a lot to cover. And I'd be open to any questions that
17 you may have.

18 CHAIR METTER: Thank you, Dr. Harvey, for
19 a very comprehensive analysis of the unsealed and
20 sealed source medical events for 2021 and 2022.

21 Do I have a motion first of all to approve
22 the report by the Subcommittee?

23 (No audible response.)

24 CHAIR METTER: Okay. We can go ahead and
25 ask questions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 VICE CHAIR JADVAR: Thank you, Richard,
2 and I want to thank you and the Subcommittee members
3 for that wonderful report.

4 Just a couple of observations and
5 comments. On page 8 there is -- so there was only one
6 extravasation over five years? I just want to --
7 because that has been an issue of interest and I just
8 want to highlight that we are reporting that there's
9 only one extravasation over five years. Is that
10 correct?

11 DR. HARVEY: I don't have the raw data in
12 front of me, so I hate to say I can't answer that 100
13 percent. I'm sure that your analysis is probably
14 correct, but I don't have the raw data in front me to
15 make that comment. I don't know if Mr. DiMarco could
16 add anything just because he's done so much work as
17 the NRC staff resource on this.

18 CHAIR METTER: Let me just -- before I
19 make that, thank you very much. Yes, either Mr.
20 DiMarco or Dr. Tapp, please make a comment. Thank
21 you.

22 MR. DiMARCO: Hi, Daniel DiMarco, NRC. I
23 would like to say that although that may have been
24 listed as an extravasation in the NMED database, the
25 NRC still and has not for this entire time recognized

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 any extravasation as a medical event as per the
2 current medical event reporting criteria. So while
3 that may be in NMED listed as extravasation, that is
4 not a medical event for that.

5 CHAIR METTER: I do have a question for
6 the NRC staff. As far as medical event reporting, is
7 it primarily -- I know it's supposed to be when you
8 have a medical event, you should report it to the NRC
9 to look for trends, common trends that can help
10 protect our patients if common trends occur such as a
11 catheter issue with y-90 in the past. Are the primary
12 -- people that's into medical events, are they
13 primarily NRC or they're just also Agreement States?
14 I mean, I know they should be, but I'm just asking
15 what percent. Is it proportional to the percentage of
16 NRC versus Agreement States? Because there's only --
17 there's a small percentage of NRC states. And I just
18 want to ask that question.

19 DR. HARVEY: I would say that your
20 assumption there is correct. The medical events that
21 we get are primarily from Agreement States just
22 because, like you said, most of our licensees are in
23 Agreement States.

24 CHAIR METTER: Thank you.

25 Dr. Jadvar?

1 VICE CHAIR JADVAR: And I just want to
2 highlight that under 35.300 on page 9 there are no
3 extravasations. That's where Lutathera and Pluvicto
4 goes for treatment. I just want to highlight that.

5 The other comment I have is on page --
6 regarding SIR-Spheres and TheraSpheres, on page --
7 well, there's no page number here, but under SIR-
8 Spheres the total is all zeroes. Those should be
9 changed. I count 61 total medical events for SIR-
10 Spheres and you have 105 for TheraSpheres. So I'm
11 just wondering if this difference between these two
12 type of sphere is -- as far as the total medical
13 events is it because of the -- a reflection of the
14 type of -- or the prevalence of use of these
15 methodology? There may be more people using
16 TheraSpheres as opposed to SIR-Spheres? Or is it
17 really something dependent upon the technique itself
18 using either on one of these?

19 Perhaps you, Richard, or Dr. Angle can
20 address that.

21 DR. HARVEY: So first of all, you kind of
22 lost me. So which slide are you referring to first?
23 We could go back and take a look at that.

24 VICE CHAIR JADVAR: Okay. There is no
25 page number, so unfortunately I don't have it. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 it's under 35.1000.

2 MR. HARVEY: Yes.

3 VICE CHAIR JADVAR: Y-90 TheraSpheres, the
4 total medical events were 105.

5 Is that correct?

6 DR. HARVEY: That is correct, from 2017
7 through 2022.

8 VICE CHAIR JADVAR: Exactly. And then next
9 page, SIR-Spheres, the total medical events it says
10 zero, but it really should be 61.

11 The last column is all zeros. That's
12 incorrect. I added it myself.

13 DR. HARVEY: I apologize for that, and I
14 did not catch that. I will get that corrected and
15 get that resubmitted to the, to the NRC.

16 VICE CHAIR JADVAR: But what I'm saying is
17 that if I added correctly, it's 61 versus 105.

18 Is that just because people use more
19 TheraSpheres as opposed to SIR-Spheres, or is it
20 something related that that difference in the medical
21 events numbers?

22 Is that something related to the technique
23 itself?

24 DR. HARVEY: So, I, Dr. Angle can speak
25 to that, too. I don't know if there is a higher

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 volume of usage of TheraSpheres versus SIR-Spheres.
2 I cannot answer that question.

3 Maybe Dr. Angle can, or maybe Mr. Green
4 can maybe put some light on that. I'm not sure. I
5 know they're both used very prevalently, but I don't
6 know if one's used more than the other.

7 CHAIR METTER: Let me have Mr. Green, and
8 then we'll have Dr. Angle speak.

9 Mr. GREEN: Thank you, Dr. Metter.

10 Unfortunately, Dr. Jadvar, we can't
11 answer, I'm sorry. Unfortunately, we can't answer it
12 directly what may be the proportionality concern here.

13 Couple things come to mind. One could be
14 market share. Second could be the actual sphere
15 composition. One's resin, one's glass. One's got a
16 greater density, that could be physics.

17 The other could be the delivery apparatus.
18 And the last one that comes to my mind is the
19 container.

20 They go from a simple V-vial to a more
21 complicated interconnected delivery apparatus where
22 the incoming saline actually flushes and causes a
23 vortex, to more adequately distribute them into
24 suspension, or distribution, so they could actually
25 leave and go out to the catheter and into the patient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 So, there's I'm sure Dr. Angle can think
2 of more, but it's not as simple as to market share, or
3 other factors.

4 CHAIR METTER: Dr. Angle?

5 Dr. Angle Yes, I just want to elaborate little bit on
6 a comment Dr. Harvey made. Is it, the Y-90 delivery
7 I think, is very unique among almost anything we
8 talk about.

9 This is as you know, microspheres that are
10 injected into a very small caliber catheter. And
11 there's a bit of a rapid evolving market.

12 So not only do we not know the
13 number of procedures being done, but also the way
14 they're being administered is rapidly changing.

15 And what I mean by that is, is that up
16 until maybe five years ago, most administrations would
17 lobar And now I would say most administrations are
18 segmental, or even less.

19 And so, operators are finding great
20 results with this. The clinical outcomes are very
21 encouraging in the literature, but it is going to
22 change, I think, our medical event reporting.

23 We're going to see more occlusions of
24 catheters, because smaller catheters being put in more
25 peripherally.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 We of course in this committee, lump all
2 those medical events together. We've talked about
3 this many times.

4 Maybe in terms of patient harm, that isn't
5 as great as some other medical events, and may need a
6 repeat procedure.

7 But my point being is that, the practice
8 is changing rapidly. The administration is being done
9 in a different manner, which is going to lead to more
10 I think, medical events.

11 But their clinical impact needs to be
12 looked at not only in terms of the whole number of
13 patients being done, but the relative good to the
14 relative adverse events.

15 CHAIR METTER: Thank you, Dr. Angle.
16 We may have to just re-look at this Y-90 medical
17 event and the current systems of going
18 subsegmental, I mean, you know, very, below
19 the recommendation.

20 And maybe we'll, we might have to re-speak
21 with industry again.

22 Yes, Dr. Einstein.

23 MEMBER EINSTEIN: Thanks. Two points, a
24 comment and a question.

25 In the possible elements of a time out, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 certainly support identity of patient via two
2 identifiers.

3 Some institutions specifically exclude
4 date of birth, as I understand it, as an identifier.
5 And, the Joint Commissions Accreditation Manual
6 defines a patient identifier as information directly
7 associated with an individual, that reliably
8 identifies the individual as the person for whom the
9 service or treatment is intended.

10 Acceptable identifiers may be the
11 individual's name and assigned identification number,
12 telephone number, date of birth, or other patient
13 specific identifier.

14 I think my recommendation would be not to
15 sort of single out name and date of birth as the two
16 identifiers to be used.

17 It's going to depend upon institutional
18 policies. We do say EG here, for example, but maybe
19 it would be worthwhile to consider those other
20 identifiers.

21 CHAIR METTER: Thank you, thank you for the
22 comment.

23 Any other comments?

24 MEMBER EINSTEIN: Question. I'm curious
25 why in the 35.600, the preponderance of medical events

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 occurred in gynecologic oncology patients.

2 And, it's sort of a similar question. Is
3 that simply due to the volume of studies performed in
4 that patient population, or is there something
5 intrinsic, something else going on?

6 CHAIR METTER: Very interesting question,
7 yes. Do I have one of the radiation oncologists?
8 Yes, Dr. Wolkov.

9 MEMBER WOLKOV: What was the question you
10 were specifically asking?

11 (No audible response.)

12 MEMBER WOLKOV: The number of GYN cases,
13 correct?

14 MEMBER EINSTEIN: About 600 of medical
15 event summary --

16 (Simultaneous speaking.)

17 MEMBER WOLKOV: Okay.

18 MEMBER EINSTEIN: -- to the table.
19 Thirty-eight of the 57 events occurred in patients
20 with GYN tumors.

21 MEMBER WOLKOV: Okay.

22 So, the, sorry, yes, Harvey Wolkov,
23 radiation oncology.

24 The reason for that largely was location.
25 The catheter placement. The device placement. So,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 that was the majority of issues.

2 What we discussed as a committee, was
3 perhaps on page 16 by the way, including one other
4 factor, which partially addresses your concern, and
5 that looks at location.

6 So, location of the radioactive sources.
7 So if you look at the number of patients, actually it
8 was fairly high in the setting of GYN tumors, because
9 of that particular issue.

10 The other thing we discussed as a
11 committee, was whether or not we should change the
12 methodology specifically for 35.600, to include not
13 only wrong plan, wrong dose, but also location, wrong
14 location.

15 Because that then changes the statistics
16 quite a bit.

17 MEMBER HARVEY: And, we agreed to look at
18 that further going forward as a committee. And, we
19 may make that change that Dr. Wolkov is talking about,
20 going forward.

21 But we did remain consistent with Dr.
22 Ennis' methodology for this meeting. And, I very,
23 very much appreciate your support and the comment.

24 MEMBER OUHIB: This is the --

25 (Simultaneous speaking.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 CHAIR METTER: Thank you Dr. Wolkov --

2 MEMBER OUHIB: If I may interject here?

3 Regarding the GYN, there are a few things that
4 actually can happen.

5 And that is sometimes the, on the first
6 application there is imaging. But then assuming that
7 the applicator, GYN applicator, cylinder let's just
8 say, can fit just fine but there is no repeated
9 imaging.

10 And therefore, because of lack of re-
11 imaging, that would lead to that.

12 The other thing it has to do with the
13 prescription. There is a misunderstanding prescribing
14 to the surface of the applicator, or 3 mm., or 2 mm.
15 or what not. And that actually also lead to quite a
16 few errors.

17 I just want to add one general comment.
18 And, that is related to the time out and the
19 checklist.

20 Unfortunately, we don't have access to the
21 user's time out, what was actually used, or the
22 checklist for that matter.

23 But I think the problem is even bigger.
24 This is a paradigm shift. It is not whether the
25 institution has a checklist or a time out, because I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 can tell you the majority do have it.

2 Some is very detailed, others is a very
3 quick okay, yes, this is this, this is this. Okay,
4 let's move on.

5 But I think the big issue is really lack
6 of focus every aspect of that procedure. And that is
7 starting from patient verification, to actually end of
8 treatment.

9 And there are distractions, and so on and
10 so forth, and it's not like the people are not
11 focusing, but they might not be focusing on the right
12 thing.

13 And I think that's something that we
14 probably should look into. And, I'll be happy to
15 answer to any question on that item.

16 CHAIR METTER: Thank you, Mr. Ouhib.

17 But we have to remember what our, the NRC
18 is. We are not actually in the practice of medicine,
19 but we're on the regulatory prevention of overexposure
20 to the public, a patient in the public in regarding
21 the medical uses of radioisotopes.

22 So we have to be careful because there's
23 a fine line between that, and the practice of
24 medicine.

25 So I think you know, human error I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 as Mr. Harvey said, is actually a good term. And you
2 know, as far as being very specific, I don't think
3 it's in our purview to be that.

4 Is that correct, Mr. Einberg?

5 MR. EINBERG: Yes, that is correct, Dr.
6 Metter.

7 We want to limit or prevent over exposures
8 as much as possible, but we do not get into the
9 practice of medicine.

10 And if I may take this opportunity also,
11 I was just looking that we have a information notice
12 that we published in 2019 on the methods to prevent
13 medical events.

14 And I'm not sure if the committee,
15 subcommittee had a chance to review that. But one of
16 those aspects was to look at time outs. And, time
17 outs are discussed in that information notice.

18 And if you know, from the staff
19 perspective here, you know, if there is
20 recommendations that we need to go out and update our
21 guidance, our information notice, or generic
22 communications if it's not being effective, then you
23 know, would be interested in learning more about that.

24 Dr. Tapp is the author to that information
25 notice. And so, I'll, I see she wanted to say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 something as well, so I'll give her the opportunity,
2 if that's all right.

3 CHAIR METTER: Yes, Dr. Tapp?

4 DR. TAPP: Yes. That information notice
5 came out of an ACMUI meeting from Dr. Ennis, and his
6 recommendations.

7 I do want to circle back though, on the
8 practice of medicine. On ensuring that the treatment
9 goes as directed by the authorized user, that is
10 something that we do continue to look at.

11 And, one of the things for HDR is we do
12 have requirements of minimum calibration, and quality
13 assurance before the treatments, that are expected.

14 And, one of the places there is it is to
15 make sure the source applicators are going to the
16 location that they expect.

17 And, the calibration requirements kind of
18 tie back into professional standards. So, we do go
19 back to Zoubir, Mr. Ouhib is coming from with the
20 AAPM.

21 So, there is kind of like a loop. We do
22 make, in the role, we are making sure that the
23 administration is in accordance with the direction
24 of the authorized user.

25 So, there is a little bit of close call

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 there, but we do have a little role --

2 CHAIR METTER: Well, thank you, Dr. Tapp.

3 Yes, Dr. Harvey?

4 DR. HARVEY: Yes, I just want to beg the
5 pardon of the NRC and the committee, for the mistakes
6 on slides 22 and 16. They will be corrected, and they
7 will be resubmitted. And so, I apologize for that.

8 Thank you.

9 MEMBER MAILMAN: No worries. I think I
10 have three comments actually.

11 Following up to Dr. Jadvar's comment, not
12 only in the spheres realm but in all realms, and I
13 know it's hard to get this number, but it would be
14 interesting to know as a percentage of the procedures
15 done, rather than the absolute.

16 The absolute numbers are great, but you
17 know, we're going to have an increasing number of
18 certain therapies, and a declining of certain others.

19 And, it would be nice to know whether
20 we're getting better or worse, as the percentage of
21 procedures going, go forward.

22 Richard, do you want?

23 Dr. HARVEY: So, the problem I think there is the
24 denominator and us now knowing the total number of
25 procedures.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 So, I don't know if we'd ever be able to
2 answer that question for you.

3 MEMBER MAILMAN: So, I hope one day we
4 figure out how to get the denominator in the future.
5 The second thing I would say is, one of your points on
6 where medical errors are more likely to occur, were in
7 centers that did things infrequently.

8 But do we have a definition of what
9 infrequently is for this? And, do we have specific
10 recommendations for infrequently?

11 Because I see a general set of
12 recommendations, but if this is happening more in
13 places that infrequent, it would be nice to know what
14 that, what that definition is.

15 We have our acronyms, but we don't have a
16 definition and I don't know what infrequent is.

17 DR. HARVEY: I don't think that we have a
18 specific definition of the number of procedures that
19 would be used, that would be called frequent or
20 infrequent.

21 I think we're looking at it just very
22 qualitatively as in overarching you know, the
23 sentiment --

24 MEMBER MAILMAN: We're using it to define
25 that we have a delineation point. So, either we have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 a delineation point that's infrequent or frequent, or
2 we need to come up with it to be specific so we can
3 make specific recommendations to that point.

4 DR. HARVEY: We can certainly address
5 that going forward, or we can not speak of it that way
6 if we can't do that.

7 I mean, it's very difficult for us to say
8 that we you know, somebody that wants to do the
9 treatment. Somebody doing a low volume, they may do
10 it very, very well.

11 And so we, we're not trying to say that
12 somebody is an infrequent user shouldn't do it, or
13 anything of that nature.

14 So, I think we'll take a look at that as
15 a committee, and try to get that better defined for
16 you going forward, yes.

17 MEMBER MAILMAN: Right, because you're
18 using it as a point. You want to add to that, or not?

19 CHAIR METTER: If Dr. Einstein has a
20 comment.

21 MEMBER MAILMAN: The last, the last
22 comment.

23 CHAIR METTER: Since it's going to be on
24 this comment, Dr. Einstein?

25 MEMBER EINSTEIN: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 In terms of your question about the
2 denominator. So, such data are available. CMS has
3 some publicly available data.

4 Unfortunately, CMS's publicly available
5 data excludes studies performed by providers who
6 perform the test less than 10 times in a year.

7 I think most people performing these tests
8 do it more than 10 times per year. But even beyond
9 that, one can purchase data from CMS for \$500.00 per
10 year, which may be doable for the NRC.

11 And, I don't know if CMS would charge the
12 NRC for it. So, that covers Medicare data. I've
13 purchased that for research studies and insofar as my
14 research agreement with CMS, you know, allows, I'm
15 happy to share that with, with the NRC.

16 But I'd have to check the verbiage of the
17 agreement which I have.

18 Not every patient obviously is covered by
19 CMS. There are private payers. It's also services
20 which aggregate private payer data, it's more
21 expensive than CMS data.

22 But the data's out there if you're willing
23 to pay for it if you want to get that denominator to
24 know what the, the rate of these events are.

25 CHAIR METTER: Thank you, Dr. Einstein.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Mr. Einberg?

2 MR. EINBERG: Yes, thank you Dr. Einstein,
3 for that.

4 And just numerous years back, we did new
5 work with the manufacturers of microspheres. And, we
6 were able to get some data. Of course, that data is
7 proprietary and we have to be very careful when we
8 share that.

9 But we can take that as an action item, to
10 try to work with the manufacturers to try to get some
11 data. And Dr. Einstein, any pointers where we can
12 look for that data would be appreciated, as well.

13 CHAIR METTER: Thank you.

14 MEMBER MAILMAN: My last point I said I'd
15 have three, and I will keep it right to three.

16 You know, you do have a list of new or
17 suggested items to reduce medical events, which is
18 great.

19 Is there any concept or any idea of what
20 a patient could do to, to help reduce medical events?
21 Should they be proactive in this, or discussion of
22 pro-activity to make sure that their provider has
23 checked their data twice?

24 Or something that we can do in the patient
25 community across the board to say, when having the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 procedure, these are the following things to help
2 prevent a medical event.

3 You know, they happen rarely. I want to
4 be clear the numbers you're doing are, you look,
5 there's millions of procedures going on, or tens of
6 thousands depending on what the particular thing is.

7 So, these numbers on the absolute levels
8 are low. But if there's anything that we as the
9 recipients of these medical procedures can do to help
10 reduce this number as well, I think taking that into
11 account would be, would benefit us as well.

12 That was my three points.

13 DR. HARVEY: Richard Harvey, responding to Mr.
14 Mailman's comments. And, I think those are, all
15 your comments have been fantastic. I really like this
16 one quite a bit.

17 I can't really, I don't want to speak for
18 the NRC, but I don't think that we can tell the
19 patients what to do.

20 But I do strongly believe that patients
21 should be a very strong advocate in their own care.
22 And they should be asking questions, and challenging
23 their health care providers to make sure that they're
24 given, you know, the proper treatments, and things are
25 done properly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

(202) 234-4433

www.nealrgross.com

1 But I think I would leave it to the NRC to
2 comment on whether or not there could be guidance or
3 recommendations, given to patients.

4 MEMBER MAILMAN: Yes, I'm not asking to
5 put the onus on the patients to reduce medical events.
6 I'm just trying to be saying that we can be part of
7 the solution, and how, how we figure that out without
8 putting the onus on the patient.

9 But to keep that number at this low level,
10 or even reduce it.

11 MEMBER OUHIB: This is Zoubir Ouhib. If I
12 may? There are certainly things that a patient can do
13 to actually prevent certain medical error. And that
14 is be an active participant.

15 At a working group, we are actually
16 looking at that and say, and see what are the things
17 that a patient can actually do. Ask, or verify, and
18 so on and so forth.

19 To go back to the frequent and infrequent
20 term per se, I'm not really sure if the ACMUI should
21 be taking the lead on that.

22 I would say probably organizations such as
23 ASTRO can probably better define what's infrequent,
24 what's, and so on and so forth.

25 CHAIR METTER: Thank you, Mr. Zoubir.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Yes, Mr. Green?

2 MR. GREEN: We don't know the term
3 frequent or infrequent. Can the contractor that runs
4 NMED be asked to make modifications so if there is an
5 event regarding microspheres, to inquire of the
6 reporter of the number of procedures they do annually?

7 I mean, could we get data through NMED
8 that might give us clarity?

9 MR. EINBERG: Chris Einberg. The NMED
10 contractor would be restricting you know, reaching out
11 to the manufacturers.

12 Now, they can work with the licensees to
13 ask clarifying questions, but it has to be within the
14 constructs of our regulations.

15 Now, we, for medical events, we require
16 the certain details for that report. We can't go
17 beyond that.

18 And so, again, but we've tried to put out
19 guidance in the med annual report, what constitutes a
20 good medical event report, and tips for reporting with
21 provider training in that regard.

22 But we are limited in exactly what we can
23 ask.

24 Dr. Tapp had a question, if that's all
25 right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 CHAIR METTER: Yes, Dr. Tapp?

2 DR. TAPP: Yes, going back to what could we
3 do with recommending for patients to advocate for
4 themselves.

5 I do think the NRC would be limited in
6 that role. We license the licensees, and not the
7 patients.

8 So, I think like Mr. Ouhib had said,
9 that's something that I think professional societies
10 are usually more involved with its recommendations
11 that way.

12 It would be hard for the NRC to put out
13 anything for the patients.

14 CHAIR METTER: Thank you.

15 One thing that I was thinking of as far as
16 regarding the question of the frequency of medical
17 events, let's say for the Y-90 microspheres perhaps.

18 And it's not going to be in, I don't think
19 it's going to be in the practice of medicine. But you
20 could also add to your medical event data, has this
21 been, has there been a medical event of this nature in
22 the last month, and then you say last three months.

23 And you can kind of gauge. I mean, and
24 you're looking at protecting the public. Because if
25 it's been in the last month, you might, they might

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 have to explain it if it.

2 But if there hasn't been any in the last
3 three, you know, that sort of thing. Just might ask
4 for a short time frame.

5 Because if they're going to have more than
6 you know, an x-number, they'll have it in the next
7 three months, or one month, or something like that.

8 I don't know if that's going to be a
9 doable thing.

10 MR. EINBERG: I'm not sure I completely
11 understand what the question was, but let me kind of
12 give a little bit of background that might help.

13 When a medical event is reported, it comes
14 into our headquarters operations office. And those
15 events actually come into my branch after, or medical
16 events come into my branch.

17 And we do an evaluation, the medical team
18 does an evaluation of those events immediately. And
19 if there's any trending or trends that they see, then
20 you know, we reach out for additional information.

21 We decide whether guidance is necessary,
22 rulemaking is required, follow up inspections are
23 required.

24 So, that's what we do on the medical side.
25 The other events also come into the branch there, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 they're sent to analysis and trending groups.

2 And so, we do trending for different types
3 of events, including medical events.

4 I'm not sure if that helps with where you
5 were going with that.

6 CHAIR METTER: No, that does. That
7 explains. You are tracking.

8 I think Dr. Angle has a question, or a
9 comment.

10 DR. ANGLE: I was just going to get us
11 grounded you know, back to the basics, which is there
12 are some things that you just have to call absolute
13 straight.

14 Even though the number of flights has gone
15 up, our tolerance for planes falling out of the air is
16 zero. And that applies to wrong site, and things.

17 And the comment I'd make is you know, the
18 Joint Commission is very involved in patient advocacy
19 for time out, and talking about we have an opportunity
20 I suppose, to remind operators that time outs should
21 be very detailed, and the patient should be involved
22 in that time out.

23 CHAIR METTER: Thank you very much.

24 And just to remind you, the number of
25 procedures that we do for therapy is a large amount,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 compared to the number of medical events.

2 I think in my opinion, they're still
3 small.

4 Are there any other questions or comments?

5 Yes, Mr. Green.

6 MEMBER OUHIB: This is Zoubir Ouhib.

7 CHAIR METTER: Oh, I'm sorry.

8 MEMBER OUHIB: We did entertain actually,
9 within this working group with the APM, that how can
10 we approach the manufacturers for institution that
11 they are not doing enough cases say, throughout the
12 year.

13 And, to provide some sort of a plan where
14 if they're not doing as many, to have some sort of a
15 training whether it's six months or whatever that is,
16 to sort of refresh you know, the users with how to
17 proceed safely.

18 And, the manufacturers were sort of like
19 open to that idea. But nothing has been sort of
20 tackled yet.

21 CHAIR METTER: Thank you for that comment.

22 Any comment on that, Mr. Einberg?

23 (No audible response.)

24 CHAIR METTER: Okay, Dr. Tapp?

25 DR. TAPP: (Audio interference) -- working

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 group that he's on AAPMs.

2 CHAIR METTER: Well, thank you.

3 So to keep on time, do I have any other --
4 yes, Mr. Williams?

5 MR. WILLIAMS: I have two questions. Mr.
6 Einberg had mentioned that you know, based on the
7 assessment and what you looked at over the events, is
8 there a need for us to you know, re-look at our
9 generic communications?

10 I don't think I heard an answer to that,
11 that piece. But I would be interested in knowing do
12 we think that's something we should look at.

13 And my second statement was, maybe you
14 want to put a finer point on what Daniel had said is,
15 because we have not made any determination that people
16 need to report extravasations, I wouldn't infer
17 anything from the report that you know, there are not
18 extravasations happening because we've not required
19 anyone to request, to report them.

20 I'm just trying to make sure that we don't
21 infer anything from that because we, we haven't
22 finished the rulemaking. We haven't made a
23 determination.

24 So, I think it would be a little premature
25 to make that statement.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 CHAIR METTER: Thank you very much. Yes,
2 those are very important comments to make, and I
3 appreciate your comments on that. And Mr. Harvey will
4 be taking those into consideration.

5 Any other comments or questions?

6 (No audible response.)

7 CHAIR METTER: So, we have comments and
8 questions taken from our ACMUI subcommittee, our
9 committee on the NRC staff.

10 Do I have any questions from the public?

11 (Pause.)

12 (No audible response.)

13 CHAIR METTER: Okay, seeing none, do I have
14 a motion to approve the subcommittee -- yes, Dr.
15 Wolkov?

16 MEMBER WOLKOV: Move approval of the
17 committee report.

18 CHAIR METTER: Approval of the report with
19 the suggestions, and addendums.

20 Okay, thank you. Do I have a second for
21 that?

22 MEMBER MARTIN: Second.

23 CHAIR METTER: Thank you, Ms. Martin.

24 All in favor of the report and the
25 additions, and the comments to be amended say aye.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 (Chorus of aye.)

2 CHAIR METTER: Any opposition or
3 abstentions?

4 (No audible response.)

5 CHAIR METTER: Well, thank you very much,
6 Mr. Harvey for a very comprehensive, and thank you for
7 the committee and NRC staff for a very comprehensive
8 discussion.

9 So, just to be on time, we'll go ahead and
10 go to our next topic, which is by Dr. Tapp, of the
11 NRC.

12 She'll give an overview of the NRC
13 requirements for veterinary release.

14 Dr. Tapp?

15 DR. TAPP: I promise I won't take up the
16 entire time so if you need a little break, stand up,
17 you know.

18 Thank you guys, stuck -- good. Thank you
19 guys for letting me speak. I'm going to move over
20 here for the presentation, and not get distracted by
21 the screen.

22 At this presentation, I'm going to talk
23 about veterinary release. So, we're going to be
24 switching it up a little bit to talk about animals,
25 and the veterinary practice, and how do we release

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 the, how do licensees release animals from the
2 veterinary clinics.

3 Next slide, please.

4 Before I do that, I'm going to talk first
5 about reminder of how do we release patients. As we
6 all know, 10 CFR, Part 35 is specifically for medical
7 use of byproduct material.

8 10 CFR Part 35.75 allows medical licensees
9 the ability to authorize release of patients, if the
10 dose to another individual from the exposure to that
11 patient, is not likely to exceed 5 millisieverts.

12 This is a per release limit. 10 CFR Part
13 35 is specifically for medical use. So, it's not for
14 veterinary use.

15 Therefore, veterinarians and veterinary
16 clinics cannot use 35.75 to release animals from their
17 clinics.

18 Next slide, please.

19 So, the veterinary release regulations are
20 contained in Part 20. Part 20 public dose limits then
21 apply for the release.

22 Because the licensees and vets do not have
23 the ability to use 35.75 and that regulation that
24 allows the release, the licensees must have the
25 release procedures approved on their license condition

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 prior to use.

2 So, there's no regulation that is stands
3 for everyone. They have to have it approved prior to
4 use.

5 The dose limits in Part 20 are 1
6 millisieverts per year from all licensed operations.
7 So, no longer per release limit. This is from
8 everything they're going to be exposed to from that
9 licensee's operations.

10 In addition, it's .02 millisieverts in any
11 one hour from external sources. So, the exposure from
12 the animal to a human, they have to meet that
13 requirement of 2 millirem in any one hour from the
14 sources.

15 This is a little different from 2 millirem
16 per hour. This limit is 2 millirem in any one hour.
17 So, it can be slightly higher if it's going to be a
18 shorter duration of dose rate.

19 Sorry, it can be a slightly higher dose
20 rate if the animal is not around a person for that
21 hour. So it's 2 millirem in any one hour.

22 So say if they, it's 4 millirem but
23 they're there less than 30 minutes, that would be okay
24 because they're getting less than 2 millirem in that
25 one hour.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 To use these dose limits for release, the
2 licensees are required to demonstrate by measurement
3 or calculation, that the dose to the individual who is
4 likely to receive the highest dose, does not exceed
5 the annual dose limits.

6 Or, that the individual cannot exceed the
7 limits if they're continuously present near the
8 source. Or the animal in this case.

9 Most veterinary license users are going to
10 use that first one. They're going to show by
11 measurement or calculation, that the dose to the
12 individual is not likely to exceed the highest, the
13 annual dose limit.

14 Next slide, please.

15 The veterinary release guidance is
16 contained in Appendix D of NUREG 1556, Volume 7, which
17 is to consolidate guidance for material licensees
18 specific to academic, research and development, and
19 other licenses of limited scope.

20 This is different than Volume 9 that's
21 used in medical.

22 This guidance states that licensees should
23 provide owners with written instructions to reduce
24 dose to members of the public.

25 These instructions should be used as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 margin for dose reduction, but should not be relied
2 upon as the primary way of keeping members of the
3 public below the annual dose limits.

4 It also informs licensees and applicants,
5 that the criteria for release must be submitted in the
6 application for review and approval by a licensed
7 viewer before implementation.

8 Next slide, please.

9 The current guidance that's in this NUREG
10 is specific for cats treated with Iodine-131. This is
11 the most common veterinary use, and it's very,
12 provided in the guidance.

13 The guidance criteria that's approved in
14 the guidance, is that cats are to be held not less
15 than four days after administration.

16 The dose rate is less than .01
17 millisievert per hour at six inches. Written
18 instructions are provided to owners.

19 And, licensees can demonstrate that
20 members of the public would not receive a dose from
21 the cat, to exceed that .02 millisieverts in any one
22 hour, or 1 millisievert in any year.

23 This guidance is like I said, is very
24 specific to the cats and the iodine, because this is
25 what was used most frequently in the past, and what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 was available.

2 But the guidance does state that other
3 release criteria may be accepted on a case-by-case
4 basis.

5 In addition, not just for other animals or
6 other treatments, cats can also have different types
7 of release criteria, but it has to be reviewed on a
8 case-by-case basis. This is what's just approved in
9 the guidance as like the starting point.

10 Next slide, please.

11 The NUREG does have recommendations for
12 what should be included in the instructions to the
13 owners.

14 These include that the regulatory limits,
15 and the need to keep doses as low as reasonably
16 achievable.

17 The potential radiation field surrounding
18 the animal, and the potential dose rate, the potential
19 dose with time at various distances.

20 Maintaining distance from people and
21 public places, and in the home. Minimize time in
22 public places.

23 Precautions to spread, to reduce the
24 spread of radioactive contamination. The handling and
25 storing of animal excretia, and the duration for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 storage if it is going to be held in for the decay.

2 This is to avoid landfill picking up the
3 excretia, the waste.

4 The permitted extent and duration of
5 contact by individuals with the animals and handling.
6 Talks about contaminated bedding or other objects
7 which the animal may come into contact with, and give
8 instructions on that.

9 And then, the length and time each of
10 these precautions should be in effect.

11 Next slide, please.

12 So as I said, the guidance is very
13 specific to cats with iodine. In 2019 the NRC
14 received an application for release of dogs being
15 treated with Synovetin OA.

16 Synovetin OA is a tin-117m colloid that's
17 used to treat osteoarthritis in dogs' joints. What
18 happened was Exubrion provided a template procedure to
19 release these dogs so veterinarians in the future
20 could use their, their procedure, and then use that
21 for proposals so they could get a license to release
22 animals after following treatment with their product.

23 Their specific proposal that the NRC
24 reviewed was for treating both dog's elbows with a
25 maximum of 111 megabecquerels per elbow, or 222

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 megabecquerels total.

2 For consistency and efficiency, the NRC
3 evaluated this template provided by the manufacturer,
4 for future licensees' use.

5 As described in the licensing guidance,
6 licensees still have to provide this procedure as part
7 of their applications if they wish to use it, even
8 though the NRC conducted their review and had
9 conducted our evaluation, and determined it was
10 appropriate.

11 Next slide, please.

12 So, the procedure proposal was to allow
13 release of the dogs with a measured dose rate of less
14 than .45 mR per hour at 1 meter.

15 To provide competence that the dose limits
16 would not be exceeded, the procedure included a multi-
17 layer approach.

18 As I said, these, that dose rate there is
19 much higher than you see in the guidance, so they
20 provided a lot more assurance that the dose limits
21 would not be exceeded.

22 They first did a technical assessment to
23 evaluate common dog/human interactions that could
24 potentially exceed the dose limits that are in Part
25 20.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 They then created a release procedure,
2 which included pre-screening questionnaire to
3 determine if the dog had these type of behaviors.

4 And if they needed to stop or modify these
5 behaviors, which could exceed the dose limit. Or
6 potentially, if they could not stop or modify these
7 excedures, exclude the release of the animal following
8 treatment to ensure the dose limit is not exceeded.

9 The release procedure states the licensee
10 would only provide the treatment if they're confident
11 the owner understands the need to comply with these
12 instructions, to ensure the dose limits wouldn't be
13 exceeded.

14 And, they could comply with the behavior
15 modifications as necessary. And the patient specific
16 instructions are signed by the owner.

17 The NRC found Exubrion's proposed
18 procedure provides adequate assurance that public dose
19 limits would not be exceeded, when licensees perform
20 adequate pre-screening and the instructions are
21 followed.

22 Next slide, please.

23 A little bit about this pre-screening
24 questionnaire. It is more --

25 (Audio interference.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 VICE CHAIR JADVAR: Hossein Jadvar. Very
2 interesting report, thank you.

3 So, I-131 for cats and tin-117m colloid
4 for dogs. I just wonder as a curiosity. What is the
5 range of radionuclides that are used in veterinary
6 medicine?

7 DR. TAPP: They are increasing. We have a
8 report in our Office of Research, that has looked at
9 some recent uses.

10 They are increasing. Most is Iodine-131
11 and tin to my knowledge, in clinical sense at this
12 point.

13 But, do you have something to add?

14 MR. GREEN: You're correct, feline
15 hyperthyroidism I think, is the leading veterinary
16 use. I personally have never had any exposure with
17 t-117m.

18 But we must not forget the equine use, the
19 bone scan. There are certain parts of the country
20 that is very, very large 200 millicurie bone scan for
21 a horse.

22 DR. TAPP: There is a Yttrium-90 gel also
23 being used in animals, but they are increasing.

24 CHAIR METTER: And, what is the Y-90 gel
25 used for?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 DR. TAPP: I believe it's sarcomas in dogs.
2 But it's relatively new.

3 MEMBER OUHIB: This is Zoubir Ouhib. Are
4 there any guidance as far as cremations for these
5 animals, in the event of death?

6 DR. TAPP: Yes.

7 In the tin-117 proposal, it is a
8 recommendation that you would tell the owner they have
9 to, if something were to happen, to contact the RSO.

10 And then, they would be determined what
11 could be done with the animal's body at that time. It
12 would probably be a decay situation.

13 But there's no specific hard set guidance,
14 but it is recommended that they contact the RSO if
15 something were to happen.

16 CHAIR METTER: Yes, Dr. Einstein, and then
17 Dr. Harvey.

18 MEMBER EINSTEIN: I assume because you
19 didn't specify otherwise, that this, these dose limits
20 would be the same for service animals and non-service
21 animals.

22 So, that could lead to delay of the
23 release of a service animal back to their, the
24 individual for whom they are caring, because that
25 individual would receive more than 1 millisievert over

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 the course of the year.

2 Is there any discussion about whether
3 exemptions should be made for essential service
4 animals?

5 DR. TAPP: That is a good question. I
6 believe from the manufacturer for this one product,
7 they did state that service animals likely would not
8 have osteoarthritis, because they wouldn't be able to
9 perform the activity.

10 So they didn't request that. So we have
11 not done any type of valuation on that yet.

12 CHAIR METTER: Dr. Harvey?

13 DR. HARVEY: Hi, Richard Harvey.

14 Yes, I just wanted to clarify. I mean, I
15 think the health medical physicists are so, should you
16 know, be available in a consolatory role to help with
17 this.

18 What I was kind of referring to before was
19 where this practitioner wanted me to be their RSO, and
20 accept all the responsibility as more of a third
21 party, which is why I wasn't comfortable with it.

22 So, I just think that's something to watch
23 out for. I'm sure you already know that, but I just
24 wanted to clarify that comment from before.

25 Thank you, Dr. Tapp.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 DR. TAPP: Thank you for the clarification.

2 CHAIR METTER: I do have a question.

3 What are the criteria for a veterinarian
4 to become an authorized user? And for example, I
5 think you said it's on a case-by-case basis, but who
6 determines it?

7 Is there a veterinary state board? Is it
8 the local licensee, or who determines who gets put on
9 the license, or how they become an authorized user?

10 Because I guess there wouldn't be a
11 license on, you know, in their own clinic.

12 DR. TAPP: And, I do believe this varies by
13 state to state, but veterinary authorized users I do
14 not believe are listed on a license.

15

16

17 I'm looking for a license reviewer.

18 MEMBER SHOBER: So, this is Megan Shober.

19 We would, you know, typical limited scope
20 license that would cover veterinary uses, would have
21 authorized users listed on it.

22 Those would typically be the
23 veterinarians, but not the vet techs that would be
24 handling, caring for the animals during the time they
25 were boarded.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 So, yes, absolutely the veterinarians
2 would be listed on the license.

3 CHAIR METTER: And you mentioned as far as
4 veterinarian training and experience, it's not
5 standardized.

6 So, was I correct?

7 MEMBER SHOBER: The NUREG 1757 -- 1556,
8 line 7, does include criteria for authorized users in
9 general. And then the veterinary use is a subset of
10 the whole, that whole document.

11 So, we would be looking for specific
12 training and experience that did involve radioactive
13 material, with the feline therapies.

14 The people that have come to us seeking
15 approval, AU status for the I-131 therapies, I mean,
16 that is around in clinics.

17 And so, those veterinarians come in
18 usually with experience, because they would have
19 gotten that somewhere else before they're setting up
20 their own vet clinic.

21 But yes, we would be reviewing their
22 hours, and their experience with radioactive material
23 before we put them on the license.

24 CHAIR METTER: The other thing is that you
25 know, as far as when they get their authorized use, it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 is going, is it going to be like our 35.390, or is it
2 going to be individual just for I-131, and then when
3 the tin comes in and all these other ones, they need
4 to get separate authorized users?

5 MEMBER SHOBER: So, to speak to that, we
6 haven't seen clinics that have more than one use. So,
7 it's either you have a vet clinic that is doing the I-
8 131 therapy.

9 The clinic we have in Wisconsin that is
10 licensed for the Exubrion, doesn't do I-131. So, we
11 would, if there were a clinic that were having more
12 than one type of use, yes, we would want to see
13 experience with both products.

14 I would, personally. Not going to speak
15 for all the states, or the NRC. But for certain,
16 that's, I think that would be pretty common tactic.

17 DR. TAPP: Yes, to follow on with that,
18 there is not regulatory training and experience
19 requirements for veterinary use.

20 It's contained in the guidance, which
21 gives it a little bit more flexibility for license
22 reviewers.

23 But they are listed individually like,
24 what they are approved for. And as I said, if they're
25 going to use a release procedure, that has to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 reviewed and tied down in a license.

2 So it's going to be reviewed by a license
3 reviewer, and they're going to ensure that the
4 training's there, that there's an RSO capabilities.

5 And that's the tie ins. There's not in
6 the regulations, but it's a license reviewer.

7 CHAIR METTER: And who would be the license
8 reviewer? Is there a specific one let's say for OSA?

9 MEMBER SHOBER: So, each state, you know,
10 would be doing that.

11 CHAIR METTER: Okay, thank you.

12 Do I have any other questions? It's a
13 very, very interesting topic and I'm glad you brought
14 it up because it's another scope that we're, I just
15 personally, I knew about hyperthyroid catd I-131, but
16 I didn't know about these other entities, and
17 treatments.

18 Yes?

19 DR. TAPP: I see Maryann has her hand
20 raised.

21 CHAIR METTER: Oh, I'm sorry. Yes, go
22 ahead.

23 MS. AYOADE: Hi, not a question, just a
24 comment for the record and for the court reporter
25 because we did lose signal, Katie, for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 presentation.

2 I believe it was on your slide for the
3 pre-screening questionnaire. The slides are available
4 to the members of the public, and so they can look at
5 those there.

6 But I didn't know if you all were fully
7 aware that we missed about maybe 4-5 minutes of the
8 last, towards the end of your presentation.

9 But just for the record, just so that it's
10 on there and they can review the slides.

11 CHAIR METTER: Thank you very much Ms.
12 Ayode. I didn't realize that, but thank you for that
13 very, that comment for our public members -- viewers.

14 Any other last comments or questions from
15 the committee, or the NRC staff?

16 (No audible response.)

17 CHAIR METTER: Any public members in the
18 room?

19 (No audible response.)

20 CHAIR METTER: Any public members on the
21 call?

22 (No audible response.)

23 CHAIR METTER: Okay, thank you very much
24 for a very interesting, and very comprehensive report.
25 And appreciate your looking into that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 So at this point, that is our last
2 presentation for the morning and we're a little bit
3 early, so you have a little more time to, for lunch.

4 And, we will conclude the morning session
5 of the ACMUI and we will re-adjourn at 1:30.

6 Thank you.

7 (Whereupon, the above-entitled matter went
8 off the record at 11:52 a.m. and resumed at 1:31 p.m.)

9 CHAIR METTER: Good afternoon, and welcome
10 back to the 2023 fall meeting of the ACMUI. I'm
11 Darlene Metter, ACMUI chair and diagnostic
12 radiologist.

13 Before we start our afternoon
14 presentation, the committee needs to revote on the
15 open items that Ms. Armstead had presented today. So
16 may I have a motion regarding the open items for
17 approval by the committee?

18 MEMBER EINSTEIN: So moved.

19 CHAIR METTER: Dr. Einstein moves to
20 approve those open items. Do I have a second?

21 DR. HARVEY: I'll second.

22 CHAIR METTER: Dr. Harvey has seconded.
23 Do I have any -- all in favor, say aye.

24 (Chorus of aye.)

25 CHAIR METTER: All opposed or abstain?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Ms. Armstead, we have unanimous approval of the open
2 items.

3 Okay, for the first presentation for this
4 afternoon will be Mr. Davila, NRC staff, on ICRP
5 Publication 153.

6 Mr. Davila.

7 MR. EINBERG: He's going to be presenting
8 remotely.

9 MR. DAVILA: Good afternoon. I think I
10 need to be made a presenter so I can share my screen.

11 MS. ARMSTEAD: You should be able to do it
12 now. And we can see the screen and we can see you.
13 Thank you.

14 MR. DAVILA: Okay, perfect awesome.

15 Thank you so much for the introduction.
16 Good afternoon, everybody, or good morning for those
17 of you on the West Coast. As they mentioned, my name
18 is Tony Davila. I am currently the Radiation Safety
19 Officer for Tulane University.

20 However, I was fortunate enough to serve
21 on the ICRP Task Group 110, Radiological Protection in
22 Veterinary Practice under Dr. Nicole Martinez. And
23 today I'll be giving an overview of the task group's
24 publication.

25 I have no conflict of interest to declare.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 However, I do have seven pets, so do what you will
2 with that information.

3 I'd like to start by just kind of showing
4 you task group members. I'm not going to, list
5 everybody out by name. However, I do want to mention
6 Debbie Gilley, who is not pictured here but made
7 significant contributions.

8 Like I said, I'm not going to list
9 everybody. But I do just want to point out the
10 diverse background of the task group. We had health
11 physicists, medical physicists, nuclear physicist,
12 veterinary radiologists, veterinary radiation
13 oncologists, regulators, researchers, radiation
14 ecologists. So a wide range of disciplines were
15 covered on the task group.

16 So here I have the contents of both the
17 publication and of my presentation today. I'm
18 essentially going to be giving everybody a guided tour
19 through the document, highlighting some of the most
20 important ideas.

21 So first even before the introduction, we
22 have a section called why this publication. And
23 essentially the purpose was to provide a summary of
24 the motivation for the explicit considerations of
25 radiological protection in veterinary practice.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Veterinary practice has changed
2 considerably over the years, and along with it the
3 types and number of applications of ionizing radiation
4 have increased. And because of this evolution, the
5 radiological risks have also increased as a result.

6 And these risks can affect both the animal
7 being examined or treated, as well as the humans
8 involved in the procedures, whether they're veterinary
9 professionals, or laypersons, owners who may be
10 helping out.

11 The objective of this publication is not
12 to discourage veterinarians or animal user from using
13 radiation. However, we just want to be sure that it's
14 done safely.

15 Also, why now? Well, veterinarians were
16 some of the first people to understand the importance
17 of ionizing radiation. Pictured here is a dog who was
18 being operated upon back in 1918 with the use of
19 radiology.

20 And in fact, the chair of the first and
21 second congress, a radiological congress, was a
22 veterinarian. He was a close friend of William
23 Roentgen, and he's actually the only veterinarian
24 to have ever held the honor of chair.

25 And early on, most applications it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 pretty much strictly plain film radiography. So by
2 just applying a few simple rules, it was easy to
3 sufficiently limit the risk to staff, the animals, and
4 even the owners and handlers. And so it was believed
5 that, you know, the animal's not really any real risk
6 from just plain film radiography.

7 However, over the past several years,
8 applications and the availability of those
9 applications has grown and diversified considerably.
10 Factors such as digitalization, the increase in
11 veterinary-specific equipment and also second-hand
12 equipment, and even social factors have all played a
13 role in this growth.

14 Kind of honing in on the social factor
15 here, nowadays a lot of animals are considered part of
16 the family. You know, we recognize the human-animal
17 bond and the human -- the different benefits we get
18 from the human-animal interactions. Just to name a
19 few, things like, you know, stress relief, joy,
20 empathy. You know, there's lots of benefits we derive
21 from our relationship with animals.

22 And so we want to give them the best care
23 possible. And if they're not necessarily a pet, you
24 know, it could be a working animal and that would mean
25 that they're part of a family's livelihood. Or maybe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 it's an endangered animal or an exotic species, and
2 it's important for conservation effort.

3 In all of these cases, the animals deserve
4 the best care and their owners want the best care for
5 them. And so that often entails some sort of
6 radiological procedure.

7 And we can see this in the fact that, you
8 know, a lot of states have some sort of law against,
9 you know, animal cruelty. And we can even see this in
10 our research ethos, right. We want to protect lab
11 animals. In fact, any place that uses animals for
12 research must have a institutional animal care and use
13 committee, right.

14 And there's the three r's of animal
15 research, you know, replacement, reduction, and
16 refinement. And so, you know, it's evident throughout
17 society that animals are important. And you know,
18 monetary value can further stimulate this interest in
19 an animal's welfare.

20 Again, you know, an animal research
21 subject is -- can be very important. But also going
22 back to the pet side of things or the family life, pet
23 insurance has become more commonplace. And so these
24 procedures are becoming increasingly affordable, and
25 some pet insurances even require it as part of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 kind of eligibility screening process.

2 But going even beyond just the animals,
3 you know, there's an increasing awareness of the
4 interconnectedness of the health between human health,
5 animal health, and environmental health and welfare.
6 You may have heard of this as the CDC's One Health
7 approach. Sometimes it also goes by the One Welfare
8 approach.

9 And basically by optimizing, you know, the
10 environment and animal health, we can also better
11 human health as well. It's important to recognize,
12 you know, the interrelationship between the human,
13 animal, and environment.

14 So getting into the introduction,
15 basically we provide our objective and the scope of
16 the publication, along with elaborating on some of the
17 historical background and modern motivation. As I
18 mentioned with the advances in technology and the
19 availability of said technology, there's a need to
20 fully describe the radiological protection challenges
21 in veterinary practice and how we can manage them by
22 applying the ICRP's framework.

23 And the objective of the publication isn't
24 to provide direct practice-oriented advice, but rather
25 just kind of give an initial set of recommendations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 and observations.

2 You know, the priority of radiological
3 protection in veterinary medicine is still of the
4 humans involved, however, the animal patient's
5 protection is now also explicitly being considered.
6 As well as protection of the environment from any
7 veterinary nuclear medicine applications.

8 And this publication is intended for a
9 wide-ranging audience. So radiological protection
10 professionals, veterinary staff, students and anybody
11 who would be providing education and training to those
12 individuals. And as well as interested members of the
13 public.

14 And you know, we aren't the only ones who
15 have noticed this need. Several authorities have
16 either updated or released some sort of guidance in
17 regards to radiation safety in veterinary medicine.
18 Probably the two most impactful to us or meaningful
19 here in the States would be the NCRP's Report 148,
20 Radiation Protection Veterinary Medicine.

21 And they came to a similar conclusion.
22 The reasons for using radiation in veterinary medicine
23 are to either obtain optimum diagnostic information,
24 or to achieve a specific therapeutic effect while
25 maintaining the radiation dose to the radiological

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 personnel and the general public as low as reasonably
2 achievable. In other words, the ALARA principle.
3 Similarly, it is also important to avoid all
4 unnecessary irradiation of the animal patient.

5 And so in the next section, kind of
6 getting into the meat of the publication, it is basic
7 concepts of radiological protection. As I mentioned,
8 this is intended for a wide-ranging audience, so we do
9 review dosimetric quantities, such as absorbed dose,
10 equivalent dose, effective dose, activity. We
11 discussed the deterministic and stochastic effects.

12 And it also covers the ICRP's framework
13 for radiological protection, including things like the
14 different exposure of situations and different
15 exposure categories, along with the principles of
16 protection.

17 So I want to talk a little bit about the,
18 you know, biological basis for radiological protection
19 in veterinary medicine. And we know it's really the
20 same in, as in human medicine, right. The things that
21 we expect to see are, you know, deterministic effects
22 and stochastic effects.

23 In veterinary medicine, there tends to be
24 this misconception with regards to the deterministic
25 effects that radiation doses in veterinary medicine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 are not high enough to produce deterministic effects.
2 Now, this generally comes from people who I guess are
3 still operating under the assumption that most -- that
4 you know, it's mainly plain film radiography.

5 However, as I've mentioned, you know,
6 things have changed. High dose radiological
7 procedures are being increasingly adopted. Here I
8 have pictured a dog that exhibited leukotrichia three
9 months after receiving IMRT for a sino-nasal
10 neoplasia.

11 Now you know, this is a trivial
12 deterministic effect, the change in the fur color, you
13 know, doesn't affect the dog's health. However, the
14 effects aren't always so trivial, you know. They do,
15 they can receive things like skin burns and you know,
16 deterministic effects that you would expect in human
17 medicine.

18 And then when it comes to stochastic
19 effects, there's a wide-held misconception that
20 animals don't live long enough to get radiation-
21 induced cancer. However, we've known as early as the
22 1970s that this is not true. Cancer patterns in
23 mammals are similar and relative to lifespan. So
24 animals with shorter lifespans have shorter latency
25 periods for cancer onset.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 And there have been a large number of
2 studies showing this. And in fact there has been a
3 study that showed dogs who have osteosarcoma five
4 years post-treatment of a mast cell tumor following
5 radiotherapy.

6 And it is important to note that radiation
7 sensitivity is known to differ among species. And of
8 interest in veterinary practice is that dogs as a
9 species are particularly cancer-prone. And in fact
10 canine cancer prevention literature explicitly states
11 that, you know, only to expose dogs to radiation when
12 the benefits clearly outweighed the risks. And so you
13 know, justification is important here.

14 The next section, Ethics and Values,
15 reviews the ethical basis of the system of
16 radiological protections with connections to
17 veterinary and environmental ethics.

18 The system of radiological protection is
19 rooted and informed by the three pillars, science,
20 ethics, and experience. And you know, ethics kind of
21 focuses on being able to distinguish right from wrong.

22 And so I'll discuss briefly some of the
23 ethical theories that kind of underpin the ICRP's
24 system of radiological protection. And those are
25 utilitarianism, the ontology, and virtue ethics.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Basically, you know, utilitarianism seeks
2 to maximize good for the greatest number of people.
3 It's the furthering of the collective interest.
4 Actions are preferable, based on, you know, their
5 outcomes. And this falls in line with optimization.

6 On the ontology, generally that's a
7 respect for individuals and their rights. There's
8 like a set of obligations or rules that decides what
9 moral or just. And this can kind of be seen in
10 application of dose limits.

11 And then lastly we have virtue ethics,
12 which is the promotion of integrity, discernment, and
13 wisdom. And basically a moral or virtuous life is
14 based upon some concept of human nature. And this can
15 be seen in justification, right. Do good, do no harm.

16 This all builds off of the framework that
17 ICRP kind of laid down in Publication 138. But from
18 these ethical theories, the ICRP identified five core
19 values, along with a few procedural values to kind of
20 aid in the implementation of them. And that's
21 beneficence, non-maleficence, prudence, justice, and
22 dignity, those are the core ones.

23 And then accountability, transparency, and
24 inclusiveness. And these aren't the only values, but
25 these are just some of the main ones here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 And so it's important to note that ethics
2 not only encompasses what should be done, but also how
3 it should be done. And so ethical risk evaluation and
4 management, you know, goes, considers factors that go
5 beyond just the magnitude of the radiation exposure
6 and the cost associated with reducing that exposure.

7 And so one of the aims of this section was
8 to make ethical ties between the values of
9 radiological protection and ethical values in
10 veterinary practice. And so here I've kind of
11 outlined a few, such as animal welfare, solidarity,
12 sustainable development, reverence for life,
13 stewardship, respect for autonomy, and empathy.

14 And they all correlate well with one of
15 the core or procedural values. And now this shouldn't
16 be taken as either the only, you know, this is a
17 single one-to-one relationship. These are all
18 interrelated.

19 So the next section is unique aspects of
20 veterinary practice where we discuss the similarities
21 and differences between human medicine and veterinary
22 medicine, kind of highlighting some of the unique
23 veterinary challenges.

24 Veterinary applications of ionizing
25 radiation and their protection challenges are to a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 large extent similar to situations in human medicine.
2 So we don't necessarily need to reinvent the wheel.
3 Justification, optimization, and application of dose
4 limits are still our friends.

5 However, radiological protection
6 challenges specific to veterinary medicine typically
7 arise from unique operational environments that are
8 required when dealing with animals, and also a
9 different combination of personnel and members of the
10 public who could be involved.

11 And some of the issues I'm about to
12 discuss is not meant to be seen as exhaustive, merely
13 illustrative. Right, so as I kind of just touched
14 upon, one of the unique aspects in veterinary medicine
15 is the environment that they sometimes have to work
16 in. They're not always specifically designed for a
17 radiological procedure.

18 Sometimes veterinarians have to go out
19 into the field, do a rad out on the farm. Or maybe if
20 it's a, you know, if you're on a conservation and
21 working with exotic animals.

22 The next one is equipment, right. So
23 there's a prevalence of second-hand equipment from
24 human medicine, and there's also dedicated veterinary
25 equipment that typically falls under industrial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 standards because it's not recognized as a human
2 medical device.

3 And while you know, we welcome dedicated-
4 for-purpose equipment, it's important that it meets
5 the appropriate radiation standards. And if it's
6 regulated as industrial equipment rather than medical,
7 it may not always comply with the imaging quality or
8 radiation protection standards.

9 And then what I have here is competence.
10 Because there is a lot of difference worldwide, but
11 even just within the country on the basics and
12 specific education and training requirements that are
13 needed. Right, radiological protection isn't
14 necessarily covered in the veterinary curriculum. If
15 they're lucky, they'll maybe get some of it in their
16 radiology class.

17 A lot of times these procedures can have
18 a lack of specialized staff involved, right. A
19 veterinarian doesn't have to be a veterinary
20 radiologist to perform or even interpret a radiograph.

21 And similarly, the people involved in the
22 procedure may not be a veterinary x-ray tech, they may
23 simply be a veterinary nurse. And there's not always
24 the involvement of a medical or a health physicist as
25 well.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 And another thing is the uniqueness of the
2 regulations and guidelines. There's lack of things
3 like appropriateness criteria or diagnostic reference
4 levels to aid the practitioners. Very little
5 regulatory harmonization, not only worldwide, but I
6 mean even just here within our states, right. You
7 pick any two states and they could have very different
8 ways of handling this.

9 In fact I -- there's only a few states off
10 the top of my head that come to mind that have
11 explicit veterinary regulations.

12 Additionally, in veterinary medicine there
13 are no guards against self-referral or self-
14 presentation. What I mean by self-referral is that
15 the same veterinarian basically refers the dog for a
16 radiograph and then he can perform that same
17 radiograph and even interpret it himself.

18 Self-presentation in a veterinary medicine
19 case would be a client, the owner coming to a vet and
20 saying I want my dog to have a radiograph. And
21 there's not a lot of methods or controls in place to
22 prevent those.

23 And of course in veterinary medicine the
24 patients come in all shapes and sizes. You could have
25 a leopard gecko that needs to get a radiograph done.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Or maybe you work at a zoo and the elephant needs a
2 rad of its tusk.

3 And as I've mentioned, the applications
4 are becoming increasingly high dose. Pictured here in
5 the center is a cat receiving strontium-90
6 brachytherapy. And of course we have pictures of dogs
7 here that are receiving external beam therapy and
8 other types of applications.

9 So how do we apply the system of
10 radiological protection in veterinary practice?
11 That's kind of the main theme behind Section 6, where
12 we kind of discuss justification, optimization, and
13 application of dose limits in the context of
14 veterinary medicine.

15 So when it comes to justification, you
16 know, obviously we want proper justification of
17 radiological procedures. It's necessary in order to
18 avoid the unnecessary exposure of people, animals, and
19 the environment.

20 And like I said, we don't need to reinvent
21 the wheel. The three levels of justification that we
22 have for human medicine can be adopted. And so we
23 have a recommendation for that here. So at level one,
24 proper use of radiation in medicine is accepted. You
25 would just have to change that to proper use of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 radiation in veterinary medicine is accepted.

2 Now, generally for medicine, level one
3 justification is taken as a given. Level two, you
4 know, specific procedures achieves a specific
5 objective. Again, just a slight change in the
6 language from exposed individual to exposed animals.

7 And then level three, the justification of
8 a particular procedure. Again, instead of --

9 (Off-record comments.)

10 MR. DAVILA: Instead of doing more good
11 than harm to the individual patients it's to the
12 individual animal patient.

13 Talking about justification a little bit
14 more specifically, for medical procedures it is
15 important that the veterinary practitioner has
16 received appropriate training and education so they
17 can make that justification.

18 And one thing that we think is warranted
19 would be the development of decision support tools to
20 help the clinicians with justifying procedures. And
21 of course it's important that equipment is properly
22 assessed for radiological protection.

23 And so when it comes to medical
24 procedures, level three justification is really
25 important, that that specific procedure should be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 answering a specific clinical question.

2 But one thing that is unique in veterinary
3 medicine is that we have non-medically indicated
4 investigations. So they do perform imaging of
5 asymptomatic animals in veterinary medicine, usually
6 as part of screening programs. So hip or elbow
7 dysplasia screening in dogs, but also radiographic
8 exams of horses are another example.

9 And so for non-medically indicated
10 investigations, it needs to be consistent with current
11 clinical evidence. And so in this sense, level two
12 justification is really important. There needs to be
13 thorough clinical evidence and a demonstrable
14 relationship between the imaging findings and this
15 goal of -- and the goal of the screening.

16 So for example, talking about pre-sale
17 radiographic exams of horses, there should be a
18 demonstrable relationship between the findings of that
19 imaging and their performance later on.

20 When it comes to optimization,
21 optimization is always aimed at achieving the best
22 levels of protection under the prevailing
23 circumstances through an ongoing iterative process.
24 And so, and this is usually done in two steps. You
25 know, you want the appropriate design and construction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 of the installation, along with the careful selection
2 of the equipment.

3 But also in the day-to-day strategies.
4 And so that includes things like adequate education
5 and training of staff. Clarity of roles and
6 positions, routine performance tests of equipment.
7 And it all comes down to the safety culture at the
8 organizational level.

9 As I've mentioned, the priority is always
10 going to be the safety of the humans involved. But as
11 human medicine, it's important to not confuse
12 optimization with dose minimization.

13 If you focus too much on dose reduction,
14 you could impede the diagnostic or therapeutic quality
15 of the procedure. And then you, you know, you're
16 providing suboptimal care or you may even have to
17 repeat a procedure, which would not be ALARA.

18 And so factors to consider are going to be
19 other occupational hazards. Radiation is just one
20 hazard that veterinarians have to deal with. Right,
21 as I'm sure you can imagine, if you need to do a
22 radiograph of a live horse, just being around a live
23 horse itself is an occupational hazard. They could
24 very easily injure somebody.

25 And then of course the animal's clinical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 condition needs to be considered. The use of sedation
2 and anesthesia is something that's generally
3 recommended in veterinary practice. However,
4 depending on the condition of the animal, that may not
5 be the best course of action.

6 When it comes to application of dose
7 limits, I want to talk about a topic that's of
8 interest to a lot of licensees. And that's this carer
9 concept. And so in human medicine a carer is an
10 individual who may be exposed to radiation as a
11 volunteer helper providing support or care for a
12 patient.

13 And this is, you know, this is something
14 that's outside of their job. It's not their
15 occupation to help this patient. So it's typically a
16 loved one, a family member, a friend.

17 But as far as the law goes, veterinary
18 medicine animal patients are not legally recognized as
19 patients. So the carer designation is not applicable
20 to them. In fact, as Ms. Tapp mentioned in her
21 presentation earlier, all of 10 CFR 35 does not apply
22 to veterinary medicine.

23 However, we believe that the concepts of
24 patients and carer ideally should be tailored to be
25 applicable within reason in the veterinary practice.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 And obviously the issues it kind of most impacts would
2 be things like hospital stays and release criteria.
3 And we believe that further studies are warranted,
4 looking specifically at doses to owners and handlers
5 from veterinary nuclear medicine procedures.

6 And if an owner or a handler is deemed,
7 you know, if the exposure of an owner is deemed
8 justified based on the prevailing circumstances, then
9 dose constraints should be used, potentially set above
10 the public dose limit, like the -- like in the case of
11 a carer to guide the optimization in a practical and
12 proportionate way.

13 So recall that we have a few different
14 exposure categories and exposure situations. On the
15 exposure category side, an exposure could either be
16 occupational, something you get in the line of your
17 work. It could be medical. Or if it's not either of
18 those, it could be public.

19 And an exposure situation could either be
20 planned, meaning that you have the ability to prepare
21 for the exposure. Or it can be existing, meaning it's
22 already there and a decision needs to be made on how
23 to control it. Or an emergency situation where it's
24 unexpected.

25 But so where would animals fall into this?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Animal patients don't really fit neatly into any of
2 these categories, because as I've already mentioned,
3 they -- it's not considered medical exposure. But
4 veterinary applications are to a large extent
5 comparable to the human medical applications. The
6 only difference is that in one the subject is a human,
7 and the other the subject is an animal.

8 In both cases, you have occupational and
9 public exposures occurring, but there's only medical
10 exposure in one of those situations. And so this
11 could lead to a bit of conflict because from a
12 regulatory perspective, essentially veterinary
13 practices can -- is considered comparable to an
14 industrial application.

15 And this can lead to an approach where the
16 animal is considered an object without consideration
17 that it is indeed a sentient living creature. Or you
18 know, neglecting unique, the necessary aspects, such
19 as the safety of patients under anesthesia.

20 And so the Commission does now specify
21 that the system include protection of the individual
22 animal in special circumstances. And so animal
23 patients undergoing a veterinary procedure is one such
24 case. Others include things like animal research
25 subjects and pets and domestic animals in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 radiological or nuclear emergency situation.

2 Then Section 7 is a summary of the
3 recommendations and considerations. Basically we just
4 kind of list the key takeaways. And this, as I've
5 mentioned, you know, the objective of this publication
6 was to provide an initial set of relevant
7 observations, considerations, and general
8 recommendations to a wide-ranging audience.

9 The radiological protection challenges
10 specific to veterinary medicine come from a
11 combination of different personnel involved, both
12 professionals and members of the public. And also the
13 different operational environments that may be
14 necessary when dealing with animals.

15 And as I've mentioned, the priority is
16 always of the people involved. However, the exposure
17 of the animal does deserve explicit attention. And in
18 general, you know, if you're able to reduce the
19 exposure to the animal patient, that will in turn
20 reduce exposure to staff as well, generally speaking.

21 And then veterinary practice, the core
22 and procedural ethical values of the system of
23 radiological protection are elaborated on. And we
24 kind of take the values further and connect them to
25 veterinary values.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 And as I've mentioned a few times,
2 veterinary applications are comparable to the human
3 applications. And so it could benefit from similar
4 approaches, right. We could still use justification,
5 optimization, and dose limits, of course always taking
6 into account all the different factors, such as
7 economics, societal and environmental.

8 Then we did have a couple of annex
9 sections. We have the roles and responsibilities
10 section where we discuss the individual and
11 organizational functions and the anticipated
12 obligations.

13 As you all know, radiological protection
14 requires commitment from all parties involved, right.
15 It can't just be the RSO or even just the doctor.
16 Everybody involved has to commit.

17 Just kind of quickly -- is kind of
18 responsible for like evaluating and assessing the
19 radiological and epidemiological studies. ICRP then
20 kind of takes that scientific data, kind of applies
21 ethics and values judgments to kind of issue initial
22 recommendations, and then like the IAEA. We will take
23 those recommendations to set -- to set regulations
24 that then individual countries can adopt.

25 But more specifically in terms of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 veterinary practice, there are a few rules and
2 responsibilities that we do highlight. A rule is the
3 individual or organization's position or function and
4 the responsibility is the anticipated obligation,
5 duty, or commitment associated with that rule.

6 And so the first role is that of the
7 hospital or practice. And so some of the things that
8 they're responsible for is making sure that their
9 installation is appropriate and that the location is
10 fit, the location and all the equipment is fit for
11 purpose. And of course they're responsible for
12 maintaining the quality assurance program.

13 We have the role of radiological
14 practitioner, who will generally be a veterinarian.
15 They're responsible for the appropriateness of the
16 procedure and how the procedure is performed. And so
17 they must be responsible for informing and instructing
18 any non-staff members who may be helping out.

19 And then we have training programs whose
20 responsibility is to provide the adequate education
21 and training. And they should be explicitly
22 addressing radiological protection in those training
23 programs.

24 And then the last section we have is
25 ethical issues associated with the protection of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 animals and the environment, where we elaborate on
2 humanity's relationship with and responsibility to
3 animals and the environment. Because you know,
4 humans, we share our environment with many other life
5 forms. We don't live in a vacuum.

6 And animals can serve a lot of different
7 purposes, whether a companion, providing comfort or
8 entertainment. They could be livestock providing
9 labor or food, other commodities, or even workers from
10 non-food service operations like therapy or military
11 operations. And even research subjects.

12 So some of the specific ethical issues in
13 veterinary practice are animal ethics, or what's also
14 known as the animal problem, a discussion going back
15 to the days of Aristotle, basically. What is the
16 difference morally speaking between humans and
17 animals?

18 I don't have the answer to that. But if
19 there is no difference, how do we justify treating
20 animals the way that we do? And if there is a
21 difference, what is it about that difference that
22 allows us to treat animals the way that we do?

23 And then of course animal welfare is
24 always a big topic, how an individual animal's life
25 could be improved or impoverished through our actions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 or inactions and what does that mean for what we're
2 responsible for.

3 And then lastly I want to touch on what I
4 call the three-party problem in veterinary medicine.
5 In human medicine you have the patient and the doctor,
6 and generally they both agree pretty well on the best
7 course of action for the patient. However, in
8 veterinary medicine you have the veterinarian, the
9 animal patient, and the animal's owner or guardian.

10 And so a lot of things -- or one of the
11 main ethical dilemmas of the veterinarian is who
12 should their primary responsibility be to? Is it to
13 the animal patient, or is it to the animal owner?

14 Again, I don't have an answer for this,
15 but it's just something to consider, right, because in
16 many places the owner has property rights over the
17 animals. And so the owner is free to do essentially
18 what they want with their pet.

19 And, right, an ethical vet could maybe
20 very well refuse to perform a certain procedure if
21 they don't think it's in the animal's best interest.
22 However, that owner and guardian could then just go to
23 a practice that would be willing to accept their money
24 for such a procedure.

25 But the bottom line is this, that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Commission hopes that highlighting radiological
2 protection concerns and related knowledge gaps will
3 inspire additional research and development related to
4 the evidence-based use of ionizing radiation in
5 veterinary practice in support of the justification
6 process.

7 Dedicated facilities and equipment,
8 improved understanding of the radiosensitivity of
9 different types of animals, and practice guidelines in
10 support of exposure management and other relevant
11 areas to promote health and safety of personnel, the
12 general public, and the environment, while further
13 improving the quality of care for the patients and
14 healthy animals submitted to radiological procedures.

15 And I'll leave you with some future
16 considerations. So that would be things like how to
17 decontaminate livestock following an emergency. What
18 if instead of the animal being radioactive after a
19 procedure, what if the animal is an emotional support
20 or a service animal and their owner received some sort
21 of nuclear medicine procedure? What's the appropriate
22 course of action then?

23 And of course there's a wide range of
24 working animals, right, search and rescue or military
25 or police. And of course research animals are another

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 group of animals that deserve special consideration.
2 And of course for all of this, we need more dosimetric
3 data in order to be able to make the best decision
4 possible.

5 And so I thank all you guys for your
6 attention. I do have a QR code here that you can scan
7 to access Publication 153. And I also have my contact
8 here as well if you ever want to reach out with any
9 questions. And I believe we have some time for Q&A?

10 CHAIR METTER: Well, thank you, Mr.
11 Davila, for that very interesting and complete and
12 quite unique presentation on the aspects of radiologic
13 protection in veterinary medicine.

14 I do have a question regarding human
15 exposure. And I believe you had mentioned that the
16 priority of radiologic protection in veterinary
17 practice is that of the humans involved. And so I was
18 wondering are the -- is the veterinarian and the
19 veterinary technologist badged, and who checks their
20 badges?

21 MR. DAVILA: So this will be up to each
22 licensee. But generally you do see badging in place.

23 CHAIR METTER: Okay, thank you. Dr.
24 Harvey has a question.

25 DR. HARVEY: Mr. Davila, I might have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 missed it, but is there a recommended dose limit for
2 carers, like 5 millisieverts, or something along that
3 lines? Or is it just up to the licensee to determine?

4 MR. DAVILA: Well, so again, in veterinary
5 medicine the concept of carer does not apply. So as
6 Ms. Tapp mentioned earlier, they have to follow the
7 public dose limit. However, our recommendation is
8 that the concept of carer be tailored to veterinary
9 medicine with a dose limit that is higher than the
10 public dose limit.

11 MEMBER HARVEY: Maybe I misunderstood. I
12 thought that the carers could have a higher dose limit
13 than members of the public. Maybe I misunderstood.

14 CHAIR METTER: I think Dr. Tapp -- Oh, I'm
15 sorry, Dr. Tapp does have something to say. I'm
16 sorry, I keep interrupting you.

17 MR. DAVILA: No, that's okay. I was just
18 going to say yeah, the concept of carer does not apply
19 in veterinary medicine.

20 DR. TAPP: Does not apply right now.

21 CHAIR METTER: Sorry, thank you again.
22 Are there -- yes? Mr. Green and then Ms. Martin.

23 MEMBER GREEN: Mr. Davila, personally I'm
24 more familiar with human use of radiopharmaceuticals.
25 Do you have any idea as to the number of nuclear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 medicine licensed veterinary practices in the United
2 States?

3 MR. DAVILA: I don't have that number off
4 the top of my head. However, I do know that there is
5 going to be upcoming paper in the HBJ that kind of has
6 some of that information. A colleague of mine
7 performed a survey of several licensees, and so she is
8 working on publishing that information.

9 MEMBER GREEN: Thank you.

10 CHAIR METTER: Ms. Martin?

11 MEMBER MARTIN: So I'm still confused. I
12 heard a couple of things, that you cannot declare a
13 workers as -- a carer as an occupational dose limit,
14 and yet I'm hearing that you're not requiring badges
15 to be worn by these people.

16 So how -- what is the recommendation as
17 far as tracking and knowing what dose the carers are
18 receiving? If you don't require badges on them, how
19 do you know if they're getting more than the public
20 limit?

21 MR. DAVILA: So again, right now the
22 concept of carer doesn't apply in veterinary medicine.
23 So there is no tracking of their doses because
24 licensees are limiting their dose to the public dose
25 limit.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 In terms of badging staff, you know, that
2 just follows the, you know, you're required to badge
3 staff if they're likely to get 10% of the annual
4 occupational limit.

5 MEMBER MARTIN: But do you know if you
6 have documentation that that's being done? Do you
7 have enough data to show that that's being done in
8 these facilities?

9 MR. DAVILA: Yes, there is -- there are
10 publications out there about the occupational doses
11 that veterinary staff receive.

12 CHAIR METTER: I believe Ms. Shober has a
13 comment as an OAS representative.

14 MEMBER SHOBER: So all of these sites that
15 would be doing nuclear medicine would have a
16 radioactive materials license. When they apply for a
17 radioactive materials license, dosimetry is one of the
18 items on the application form. And they -- the ones
19 that I've seen all commit to badge their
20 occupationally exposed workers.

21 So and those sites would be inspected at
22 a regular inspection frequency. So there is
23 regulatory oversight for the dose monitoring aspects
24 of that work.

25 CHAIR METTER: Thank you. I'm sorry, who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 has a comment? Oh, Zoubir, sorry I didn't see you.

2 (Simultaneous speaking.)

3 CHAIR METTER: -- comment or question?

4 MEMBER OUHIB: Thank you. I'm just
5 curious, how are mishaps and medical errors or medical
6 events, whatever we want to call them, handled at the
7 level of the veterinarian society?

8 MR. DAVILA: So that's actually a great
9 question. There is actually a greater need to make
10 veterinary licensees aware of how and where to report
11 medical events and accidents. We do believe that
12 those are probably -- actually not probably, those are
13 being under-reported.

14 MEMBER OUHIB: Yeah, if nothing else it
15 will be lesson learned for others.

16 MR. DAVILA: Correct.

17 MEMBER OUHIB: Thank you.

18 CHAIR METTER: Thank you. Are there any
19 other questions from the committee? Any questions
20 from the NRC staff? Any questions from the public
21 members in the room?

22 MS. PICCONE: Will the slides be made
23 available?

24 CHAIR METTER: Mr. Davila, did you hear
25 that, will the slides be made available?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 MR. DAVILA: Yeah, I can make them
2 available.

3 CHAIR METTER: Could you put it, I guess
4 on the ACMUI website?

5 MR. DAVILA: Of course.

6 CHAIR METTER: Okay, I'm sorry. Ms.
7 Armstead, what did you say?

8 MS. ARMSTEAD: I will do that.

9 CHAIR METTER: Thank you. Okay, are there
10 any -- yes, Dr. Tapp?

11 DR. TAPP: Just for the attendees on the
12 line, the slides will be made available for all
13 presentations at the end of the meeting online.

14 CHAIR METTER: Thank you. Are there any
15 questions from the public? Is that a question from
16 the public? I can't tell. Go ahead --

17 MR. EINBERG: If there's any questions
18 from the public, please raise your hand. I don't see
19 any.

20 CHAIR METTER: Okay, looks like there are
21 no questions from the public.

22 Well, Mr. Davila, thank you for, again,
23 for a very interesting, comprehensive, and quite
24 unique presentation. It makes us more aware and
25 appreciate our pets and animals. And congratulations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 to -- you said you had seven pets?

2 MR. DAVILA: Yes, I do.

3 CHAIR METTER: Great, wonderful, I have
4 four. But anyway, it's -- plus our cows. But anyway,
5 I appreciate it.

6 MR. DAVILA: Yeah, thank you so much.
7 Thank you all for having me and giving me this
8 opportunity to speak to you guys. Greatly appreciate
9 it.

10 CHAIR METTER: Thank you very much. A
11 very unique perspective and actually an eye-opener for
12 sure.

13 Okay, our next presenter is going to be
14 Mr. Whited, who'll be NRC staff talking about the
15 financial assurance for disposition of Category I and
16 II byproduct material in radioactive sealed sources.

17 Mr. Whited.

18 MR. WHITED: Thank you very much, can you
19 hear me?

20 CHAIR METTER: Yes, we can.

21 MR. WHITED: Okay. I will share my screen
22 hopefully and pull some slides up. And can you see
23 the slides now?

24 CHAIR METTER: Yes, we can.

25 MR. WHITED: Great.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 CHAIR METTER: Yes, we can.

2 MR. WHITED: Okay, wonderful.

3 Good afternoon, everyone. My name is Ryan
4 Whited, I am a Senior Project Manager in the Low-Level
5 Waste and Projects Branch of the Office of Nuclear
6 Material Safety and Safeguards.

7 I'm going to provide a brief presentation
8 today on a rulemaking effort that's currently under
9 way regarding financial assurance for the disposition
10 of Category I, II, and III sealed radioactive sources.

11 A little background first. NRC's
12 requirements for byproduct material financial
13 assurance are contained in 10 CFR 30.35, which is
14 entitled Financial Assurance and Recordkeeping for
15 Decommissioning.

16 However, the threshold for providing
17 financial assurance does not currently apply to a
18 majority of radioactive sealed sources, including many
19 Category I and II sources. And so for many licensees
20 that had resources, there is no requirement for
21 decommissioning or end-of-life financial planning.

22 However, licensees are still responsible
23 for providing safe and secure end-of-life management
24 for these sources. And the associated financial
25 burden may be significant if it's not properly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 considered in advance.

2 And this can include costs related to its
3 kind of storage when the sources become disused, to
4 packaging, transportation, and ultimately the
5 selective disposition option, which could be disposal
6 in a low-level waste site. It could be returned to a
7 supplier for reuse or recycling.

8 So this effort started back in the 2015-16
9 timeframe. In 2016 the NRC staff conducted a scoping
10 study to determine if additional financial assurance
11 requirements were needed for some radioactive
12 byproduct material, in particular sealed sources.
13 That scoping study is documented in a SECY paper,
14 SECY-16-0046.

15 And because that study led us to think
16 about rulemaking, we then followed that with a
17 rulemaking plan, which is SECY-16-0115, which was
18 submitted in October of 2016. And both of those
19 papers are publicly available on the NRC website.

20 And so based on the information that was
21 collected and analyzed in these two SECY papers, the
22 staff recommended rulemaking to expand the financial
23 assurance requirements in 10 CFR 30.35 to include all
24 byproduct material Category I and II sealed sources
25 that are tracked in the national source tracking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 system.

2 We focused on Category I and II sources
3 because they have the highest risk significance and
4 they're generally the most likely sealed sources to
5 pose disposition challenges.

6 So in 2021, we did get Commission
7 direction through an SRM. And in that SRM the
8 Commission directed the staff to proceed in expanding
9 requirements in 30.35 to require financial assurance
10 for Category I and II byproduct material sources.

11 They provided some additional direction in
12 terms of how we go about doing that and directing us
13 to carefully explore the options to mitigate potential
14 adverse impacts on existing and future licensees,
15 particularly with medical users and others that
16 benefit from the use of these radioactive materials.

17 They directed us to also look at Category
18 III sources to see if financial assurance needs to be
19 extended to those as well. And to make sure that we
20 use a risk-informed basis for doing this that
21 considers factors such as overall risk and the total
22 cost of disposal.

23 I wanted to talk a little bit about the
24 types of sources this rulemaking's intended to
25 address. So the chart you see shows the distribution

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 of radionuclides in the National Source Tracking
2 System. And you can see that more than 91% of the
3 tracked Category I and II sources are cobalt-60.

4 And this is because cobalt-60 is used in
5 devices that contain a lot of individual sources, such
6 as large panoramic irradiators and Gamma Knives.
7 About 4% of the sources are irridium-192, and another
8 almost 4% are cesium-137.

9 However, NRC's financial assurance
10 requirements don't apply to radionuclides with half-
11 lives below 120 days. And that includes irridium-192.
12 We don't plan to change that in this rulemaking. And
13 so because of that our focus is really on cobalt-60,
14 cesium-137, and americium-241.

15 However, it's important to understand that
16 the NSTS tracks sources and not devices. And so if,
17 you know, if it's -- with a panoramic irradiator with
18 500 sources, each one of those sources is going to be
19 listed individually in the NSTS.

20 And what we found in looking at this issue
21 is you really have to make the jump from what sources
22 a licensees has to what devices they have. Because
23 the kind of device is really going to drive the
24 disposition options that are available and the
25 associated cost.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 And so where we're at right now on this
2 effort, we're in the first stage of rulemaking, and
3 that is the development of the regulatory basis. We
4 established a working group that includes
5 representatives from the NRC regions and the
6 organization of agreement states.

7 That working group has been coordinating
8 quite a bit with the Department of Energy's National
9 Nuclear Security Administration, and also the
10 Conference of Radiation Control Program Directors to
11 understand costs that are associated with NNSA source
12 recovery efforts.

13 NNSA operates what's called the Offsite
14 Source Recovery Program, which deals with the higher
15 activity, Category I and some Category II sources.
16 And they also fund CRCPD's source collection and
17 threat reduction program, or SCATR Program, which
18 deals with the slightly lower activity sources that
19 generally have a commercial disposal pathway.

20 We've also conducted outreach to certain
21 stakeholders, including low-level waste disposal
22 facilities that can accept some of these sources, low-
23 level waste brokers, some sealed source device
24 manufacturers and distributors. And the purpose of
25 these meetings has been to help the working group

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 understand and assess various categories of devices to
2 understand the disposition pathways and the associated
3 costs.

4 The group's currently focused on
5 identifying and analyzing potential regulatory options
6 for the rulemaking, such as financial assurance based
7 on what type of device that a licensee has, and
8 possible changes to requirements for developing
9 decommissioning funding plans.

10 Typically a decommissioning funding plan
11 is a case-by-case assessment. Given the licensee and
12 the facility they have and all of the devices they
13 have, what is their plan when decommissioning comes
14 around and what are they going to do with each of
15 those sources in terms of their disposition.

16 And so we are looking at who's currently
17 required to do a DFP and do we need to change some of
18 those requirements to encompass more types of
19 licensees.

20 I just want to talk a little bit about
21 some of the issues and challenges that the working
22 group's grappling with. This is an issue that several
23 groups have looked at over the past 20 years,
24 including the Interagency Radiation Source Protection
25 and Security Task Force that NRC leads.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 There was another interagency working
2 group led by NRC that put a report out in 2010 on this
3 issue. And those groups have generally recommended
4 NRC do rulemaking, but the action has been deferred
5 over the years until now. And some of the reasons for
6 that are listed on this slide.

7 There's not a lot of cost data to support
8 financial assurance requirements because in general
9 these devices have either been picked up by NNSA and
10 dealt with through that government-funded offsite
11 source recovery program, or they're in storage. There
12 have been very few disposals at commercial low-level
13 waste facilities.

14 The second issue, there are many different
15 types of devices that use these sources, Category I,
16 II, and III sources, from small radiography devices,
17 gauges, and calibrators, to very, very large panoramic
18 irradiators. And so looking at the possible
19 disposition pathways and the associated costs for all
20 of these different kinds of devices is a complex task.

21 And adding to that complexity is the low-
22 level waste disposal landscape in the United States.
23 Disposal costs vary significantly, depending on what
24 disposal site a licensee has access to. There
25 typically are two places that these sources can go,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 and that's the waste control specialist facility in
2 Texas or the U.S. Ecology Facility in Washington
3 State.

4 Costs can vary quite a bit between those
5 two facilities. But only certain waste generators
6 have access to the U.S. Ecology Facility.

7 And even for the Texas facility, it makes
8 a big difference whether you're in the Texas low-level
9 waste compact, which is the state of Texas and state
10 of Vermont, or if you're outside of the compact.
11 There are additional costs if you're an out-of-compact
12 generator.

13 The fourth issues, and for some sources,
14 such as those that are classified as greater than
15 Class C low-level waste, there may be no commercial
16 disposal pathway. For example, some of the cesium-137
17 blood irradiators have very high activity sources.
18 The only place those can go right now is through NNSA.
19 NNSA can pick them up through their offsite source
20 recovery program.

21 And last thing is the range of licensees
22 that use these sources is very broad, from very small
23 businesses to large hospitals, universities,
24 industrial facilities. And so looking at how this
25 rule could impact the very diverse licensee base is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 complicated task.

2 And so the working group's grappling with
3 all of these issues as we work through and develop
4 options for this regulatory basis.

5 So just in summary, where we're at right
6 now, the staff is preparing a regulatory basis to
7 expand financial assurance requirements as we were
8 directed to do by the Commission for Category I and II
9 and possibly Category III byproduct material sealed
10 sources.

11 We're looking at several potential
12 regulatory options and analyzing that and doing cost-
13 benefit analyses. And you know, we will step through
14 those options in the regulatory basis. And we
15 anticipate providing that draft regulatory basis for
16 ACMUI's review next spring, in the spring of 2024.

17 And that is all I had for this afternoon.
18 And I'm happy to take any questions you may have.

19 CHAIR METTER: Thank you, Mr. Whited, for
20 that very interesting topic on the current status of
21 the financial assurance disposition of Category 1 and
22 Category 2 sealed sources. Do I have any questions or
23 comments from the ACMUI Committee? Mr. Green?

24 MEMBER GREEN: Always an education.
25 You're always going to find something you don't know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 anything about. I have more experience with financial
2 assurances for germanium generators.

3 And this is outside the scope of this
4 presentation. This is Category 1, 2, and 3 sealed
5 sources. Has the NRC teed up a review of financial
6 assurance warranties that are currently in place and
7 required for germanium generators?

8 MR. WHITED: So there's another rulemaking
9 on that exact issue. And sometimes it is confusing to
10 folks because there's an ongoing rulemaking on 10 CFR
11 30.35. And it's my -- I'm not directly involved in
12 that rulemaking.

13 But it's my understanding that the
14 germanium/gallium generator issue was one of the key
15 things that prompted that rulemaking. And what that
16 one is doing is it's expanding. There's a table in
17 30.35 of isotopes.

18 And depending on what kind of device you
19 have, you go to that table and that table tells you
20 how much financial assurance you need. Well,
21 germanium and gallium were unlisted in that table.
22 And so there was a petition for rulemaking to add
23 those and other unlisted isotopes.

24 And that rulemaking is ahead of the one I
25 just talked about. It's going on right now to address

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 some issues. And basically for those kinds of
2 devices, licensees felt the current requirements were
3 too burdensome, and it really was because those
4 isotopes weren't listed in the table.

5 And so there's an effort ongoing to update
6 that table and unlisted isotopes and address that
7 issue. I'm not sure if that's exactly your question.
8 But I just wanted to make that point that there's a
9 separate rulemaking dealing with that issue that is
10 believe with the Commission now for their review.

11 MEMBER GREEN: Thank you, Ryan. That's
12 great. I think Mr. Einberg also has a comment.

13 MR. EINBERG: Actually, Cindy Flannery has
14 some additional information on that.

15 MS. FLANNERY: Yeah. I mean, I guess I
16 don't really have anything else to add to what Ryan
17 said. He covered everything. That rulemaking, yeah,
18 is up with the Commission, and it does address the
19 germanium issue.

20 But that table that Ryan was talking about
21 isn't going to include a lot of isotopes that aren't
22 currently listed and updating them. It's only going
23 to apply to -- right now, the list has many different
24 isotopes. But it's only going to list the ones with
25 a half-life of greater than 120 days. So several

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 updates to that table. And that's really the focus of
2 that.

3 MEMBER GREEN: From a practical and
4 operational standpoint, every facility, pharmacy, or
5 medical institution that possess a germanium generator
6 has an established contract with the germanium
7 generator provider. But they'll accept a full return.
8 All that is, is a less than 100-dollar FedEx fee to
9 make it go away to an authorized place. So financial
10 assurances warranty is an extreme.

11 CHAIR METTER: Thank you for that comment.
12 Are there any comments from the public? Zoubir, I'm
13 sorry. I can't see you. But if you'll let me know.
14 Thank you. Go ahead, Mr. Zoubir.

15 MEMBER OUHIB: Okay. Thank you. I think
16 this is a great initiative. I recall several years
17 ago being in the state of Florida where there was a
18 similar initiative to unload some sources. Those were
19 cesium-137.

20 And you have no idea what a relief that
21 was that we did not have to deal with anything. I
22 mean, that was a very smooth operation unloaded. And
23 they took on and that was fantastic because we were
24 wondering what the heck are we going to be doing with
25 these sources that we no longer use basically. Thank

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 you, Ryan, for that.

2 MR. WHITED: Thank you. Thank you for
3 that comment.

4 CHAIR METTER: Thank you. Any other
5 comments? Are there any comments from the public or
6 questions?

7 (No audible response.)

8 CHAIR METTER: Okay. Seeing none, thank
9 you again for that very updated report on this very
10 important topic.

11 (Simultaneous speaking.)

12 MR. WHITED: We'll see you in the spring.

13 CHAIR METTER: Okay. Our next topic is by
14 Dr. Katie Tapp of the NRC staff. She'll be speaking
15 on lutetium-177, radiopharmaceutical medical events.
16 Dr. Tapp?

17 DR. TAPP: Okay. Lillian is going to
18 bring up the slides. But Ryan, you have to stop
19 sharing your screen first. There you go.

20 MR. WHITED: Yes, I will do that now.

21 DR. TAPP: Great. I can switch. Okay.
22 I'm going to talk about radiopharmaceutical medical
23 events. Next slide, please. So before I talk about
24 the events, I want to do a reminder on the written
25 directive and then a reminder on what is a medical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 event that's the rules for radiopharmaceutical
2 therapies.

3 So a written directive must be dated and
4 signed by an authorized user before the administration
5 of iodine-131, sodium iodide greater than 1.11
6 megabecquarels or any therapeutic dosage of unsealed
7 byproduct material. So basically, all therapeutic
8 radiopharmaceuticals except for anything under 1.11
9 megabecquarels of iodine must include a written
10 directive. Per administration of therapeutic dosages
11 of unsealed byproduct material other than sodium
12 iodide-131, the written directive must include the
13 radioactive drug, the dosage, and the route of
14 administration.

15 And then licensees must have and follow
16 procedures to ensure high confidence that each
17 administration is in accordance with what is in the
18 written directive. Next slide, please. So next will
19 be the medical event criteria that are associated with
20 radiopharmaceutical therapies. Next slide. So the
21 first one I like to call is deviation medical event
22 criteria.

23 This has two parts to it. First, the
24 event must meet a dose threshold. And the dose
25 thresholds are listed on the one side which is 5 rem

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 effective dose equivalent, 50 rem to an organ or
2 tissue, or 50 rem shallow dose equivalent to the skin.

3 In addition, there must be a deviation.
4 So the deviations are plus or minus 20 percent the
5 prescribed dosage or the dosage falls outside the
6 prescribed dose range. So for radiopharmaceuticals,
7 you're allowed to have that directive provide either
8 a set dosage or a range of dosages.

9 If they use a range, if it falls outside
10 that range, that would be a deviation. And then
11 there's also the plus or minus 50 percent, a single
12 fractionated dose. Historically, that wasn't used as
13 much in radiopharmaceutical therapy. But that could
14 become an issue going forward now that we have some
15 fractionated therapies.

16 And then the corner as you see, patient
17 intervention is excluded from this event criteria as
18 well as all the medical event criteria. So if the
19 event was caused by a patient intervention, it would
20 not meet these. Can you go back one slide, Lily? I'm
21 sorry. There you go.

22 The next medical event is -- I called it
23 the error medical event criteria. And again, we still
24 have the dose thresholds. But this one has a cause to
25 the medical event.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 So this could be, like, a wrong patient,
2 the drug was delivered to the wrong patient, the wrong
3 drug, the wrong route, or the wrong mode. Not as
4 important with the radiopharmaceutical therapy for the
5 wrong mode. But those are the cause of these type of
6 medical events.

7 Again, patient intervention, it would be
8 excluded. Next slide, please. And finally, we have
9 the wrong site medical event criteria. Again, we have
10 a dose threshold.

11 But this dose threshold is a little bit
12 different. This one is 50 rem or more is expected to
13 that site if the administration had been given in
14 accordance with the written directive. So this is a
15 medical event where the site is moved.

16 And you have to look at what was expected
17 to that site. So if the site was expected to get,
18 say, one gray but then it received two gray, that
19 would be a medical event. But if the site was
20 expected to get 50 rem and it only got 75 rem, it
21 would not meet that dose threshold. I'm sorry to
22 switch units on you there.

23 In addition to that dose threshold, there
24 is the deviation. So it's, like, this medical event
25 in addition to the dose threshold has to have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 deviation of 50 percent or more than the expected dose
2 to that site if the administration had occurred with
3 the way the written directive was prepared. So again,
4 we're looking at the event and looking at what was
5 expected to have been received on that site and then
6 what was actually received.

7 I'm looking at what was the deviation.
8 Again, this is a medical event criteria where patient
9 intervention would not be included in reporting. So
10 if it was involved, patient intervention that would
11 not be reported to the NRC. Next slide, please.

12 For a patient intervention report, you see
13 I cross off the dose threshold. In patient
14 intervention reports, there is no dose threshold
15 included. These events are reported if there's any
16 event resulting from intervention of a patient which
17 administration results in unintended permanent
18 functional damage to an organ or physiological system
19 as determined by a physician.

20 And patient intervention means actions by
21 the patient or human research subject, whether
22 intentional or unintentional, such as dislodging or
23 removing treatment devices or permanently terminating
24 the administration. Next slide, please. And I think
25 we talked about this a little bit earlier about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 reporting or what's required. And in the regulations,
2 we do have the specifics of what is required for a
3 report.

4 A report comes in. We are looking for
5 what is the dose, what happened, what's the root
6 cause, and what are the corrective actions. And the
7 regulations are very specific about what we can ask
8 for in these reports.

9 But we do have some guidance out about
10 some best practices because we do use these medical
11 event reports to look for trends and generic issues.
12 And there is a bare minimum that's in the regulations
13 that licensees can meet. But it is helpful when we
14 get reports that have a little bit more information to
15 help us look for trends and generic issues.

16 The report should allow an uninvolved
17 individual to have full understanding of the event.
18 As a reminder, a lot of people assume when they send
19 in these reports that you guys are looking at it
20 immediately or other doctors are looking at it
21 immediately. But it's NRC staff looking at it
22 immediately, and we are not doctors.

23 And there's a lot of new treatments out.
24 We may not have been exposed to this. So we're really
25 asking that when reports come in especially with a new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 radiopharmaceutical or a device, there's a little bit
2 more information so we can have a full understanding
3 of the event. Takes much longer for us to go out,
4 reach out, and play the telephone game.

5 In addition, there is helpful details to
6 include so we can catch trends quickly. And these
7 include the manufacturer, the model, and any specifics
8 about the supporting equipment associated with the
9 event such as the IV pump or gauge size. A lot of
10 times we'll hear of the incorrect gauge size was used,
11 but we don't know what was the gauge size.

12 In addition, relevant information that
13 proceeded the event. Sometimes we'll see that there
14 wasn't enough staff. But maybe if you provide how
15 many staff were on site would be helpful.

16 What staff was present, how the event was
17 identified, including short and long-term corrective
18 actions, and how they're actually linked to the event
19 is helpful. And then clearly highlight if the event
20 or corrective actions involve common industry-wide
21 practice or procedures. This last one I think is very
22 important.

23 If the event is something that's commonly
24 used and especially, like, a software or a procedure.
25 And it's important to let us know this is a common

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 practice or procedure that's done and it caused this
2 event. So it really clearly highlights something that
3 could impact other licensees.

4 So we can make immediate notification if
5 necessary. Next slide, please. So now I'm going to
6 cover some examples of recent radiopharmaceutical
7 medical events. Next slide. As I think everyone here
8 knows, there's an increasing use of
9 radiopharmaceuticals.

10 In January 2018, Lutathera was approved by
11 the FDA for treatment of some gastroenteropancreatic
12 neuroendocrine tumors. And in March 2022, Pluvicto
13 was approved by the FDA for treatment of some prostate
14 cancers. In addition, there's numerous ongoing
15 clinical trials with current and new therapeutic
16 radiopharmaceuticals.

17 We have had one report of a patient
18 receiving the wrong radiopharmaceutical. This is an
19 event where one patient came in to receive Pluvicto
20 and they received Lutathera. And the other patient
21 received Lutathera when they were meant to receive
22 Pluvicto.

23 That type of event we found to be a
24 serious event. And we're hoping as there's
25 increasingly uses and a lot more therapeutics coming

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 out, we really want to make sure those events are
2 avoided. In addition, both lutetium-177
3 radiopharmaceuticals have a recommended standard
4 dosage of 200 millicuries for multiple fractions
5 unless the patient conditions warrant a reduction in
6 dosage.

7 And the reduction can occur between the
8 fractions. So they could have 200 millicuries to
9 start and then it could be a reduction later on. In
10 the last two years, we've had five events where an
11 authorized user prescribed a smaller dosage based on
12 patient lab results, but the patient still
13 administered the full standard dose of 200
14 millicuries. Next slide, please.

15 I'll just give one example of that
16 reduction of dose. There was a Lutathera standard
17 dose protocol of 200 millicuries every eight weeks for
18 a total of four doses. That's the standard protocol.

19 A patient was prescribed 100 millicuries
20 by the authorized user on a later fraction due to
21 kidney disease but received the standard dose of about
22 206 millicuries. The administer technologist did not
23 review the written directive and just drew the
24 standard dose. The root cause in this event was
25 failure to follow established protocols and lack of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 communication in the department.

2 This licensee had a corrective action to
3 include a daily huddle to communication key
4 information and secondary verification requiring
5 physician signature on the written directive. Next
6 slide, please. Next I want to cover types of events
7 with the verification of activity was shown to be very
8 important. 10 CFR 35.63 requires licensees to
9 determine and record the activity of each dosage
10 before medical use.

11 During this check, it is important that
12 the activity be checked against the written directive
13 immediately prior to administration because failure to
14 do so has caused several events recently. Next slide,
15 please. One type of event was the patient was
16 rescheduled to receive 3.47 megabecquarels of radium-
17 223 Xofigo. On the day of the treatment, the
18 patient's procedure was canceled due to low blood
19 pressure.

20 The licensee then kept the dosage in the
21 hot lab for decay. One month later, the patient came
22 back for their treatment. They received the dosage
23 from the original vial which resulted in
24 administration of 0.63 megabecquarels.

25 This demonstrates the need to verify the

1 dosage on a written directive immediately prior to
2 treatment. Next slide, please. Next I'll talk about
3 protocol and scheduling. The protocols are becoming
4 more complex and sometimes include multiple steps and
5 other treatments in addition to the
6 radiopharmaceuticals.

7 These new products coming out have a
8 little bit more complex protocols I think, and they're
9 becoming more complex from one of those societies I
10 think even than they are today. In addition, certain
11 drugs may interfere with the distribution of the drug
12 in the body. It is important to note that the way
13 medical event criteria is written is not all incidents
14 involving incorrect protocol scheduling or drug
15 interference are reportable to the NRC per
16 regulations.

17 These events can be medically important
18 and sometimes do qualify as medical events. The NRC
19 has been notified of several of these events.
20 Sometimes they are medical events and sometimes we
21 find that they aren't.

22 For example, if the chemo drug was given
23 the day before versus the day after, that may not be
24 a medical event for us because we're looking at the
25 radiopharmaceutical and the dosing. So it doesn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 actually change the dose, but it's changing the
2 protocol. It's medically important. Next slide,
3 please.

4 The one protocol example that I wanted to
5 highlight was during a typical -- or the standard is
6 during a typical Lutathera treatment, an amino acid
7 infusion begins 30 minutes prior to the radioactive
8 drug administration to protect the kidneys by lowering
9 the dose. In one event, a patient's Lutathera
10 treatment began without the amino acid infusion as the
11 amino acid line was still clamped. The technologist
12 realized this approximately 20 minutes after the
13 Lutathera treatment began and started an amino acid
14 infusion.

15 The licensee calculated the kidneys
16 received an estimated dose of 740 centisieverts
17 instead of the intended 490 centisieverts and reported
18 this event. The corrective actions include moving the
19 amino acid solution to a separate primary IV line
20 which would alarm if it was still clamped, training
21 the nursing staff, and adding a pause to ensure the
22 amino acid infusion has begun before starting the
23 Lutathera. Next slide, please. There's also
24 scheduling examples, and I provide this one.

25 It's while a patient was undergoing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 lutetium-177 Lutathera infusion, they were informed by
2 the AU that they received the chemotherapy the day
3 before. They said the normal protocol for Lutathera
4 treatment is for the chemotherapy to be done after the
5 radioactive lutetium infusion. So the AU immediately
6 stopped the infusion, and this led to an underdose and
7 a medical event.

8 If they wouldn't have stopped the dosage
9 there, it might not have been reported to the NRC
10 because it might not have been a medical event. But
11 I would still imagine this is medically important.
12 You'd want the schedule correct.

13 So this event demonstrates that the
14 authorized users and staff should check the status of
15 the patient's entire protocol, especially if multiple
16 departments are involved prior to each administration.
17 As we're finding with these new radiopharmaceuticals,
18 there's more staff involved, new departments that may
19 not be used to working with nuclear medicine. And so
20 when someone is bringing off a treatment, making sure
21 that the entire medical departments and everyone
22 involved is aware has been important to avoid medical
23 events. Next slide, please.

24 And finally, we'll talk about set up and
25 administration incidents. In the last two years,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 we've had six events reported due to unexpected set up
2 issues associated with the newer procedures. Everyone
3 should be trained on a new administration and the
4 equipment they may handle during the procedures.

5 With a lot of places starting up, we found
6 that we got to make sure we're training the support
7 staff and staff who may not have been used to handling
8 radiopharmaceuticals. And then we can't forget about
9 those who handle the equipment or perform set up even
10 before the administration begins. As I mentioned, the
11 amino acid with the clamp, that occurred before the
12 administration even began.

13 That was before the nuclear medicine
14 technologist was there and with the nursing staff. So
15 that shows that we have to make sure the training is
16 throughout the whole procedure. One thing we've heard
17 from societies was cold -- administrations, including
18 set up with the entire team, could significantly
19 reduce the chance of an event. Next slide, please.

20 One of these examples was a nurse removed
21 the clamp and opened the roller clamp on a flush bag
22 line at the beginning of an iodine-131 IV treatment.
23 This led to a leaking tube in an infusion system and
24 resulted in a patient only using 53 percent of the
25 prescribed dose. Luckily, there was no contamination.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Again, the root cause was failure to train
2 staff on this specific procedure and the support
3 staff. And the corrective actions in this event was
4 to ensure nuclear medicine and radiopharmacists were
5 trained on the infusion pump and would solely be in
6 charge of that pump for future patients. So for this
7 licensee, they decided to move the pump control to the
8 nuclear medicine and radiopharmacy staff. Next slide,
9 please.

10 Another one is a Xofigo administration.
11 There's a three-way stopcock was used to allow the
12 administration of saline in radium dichloride. An
13 incorrect cap was used on the unused port of the
14 three-way stopcock. The cap that was used was
15 designed to maintain sterility of the port connection
16 but did not prevent flow which led to a leak.

17 And the root cause again was failure to
18 train staff on the equipment of this administration
19 prior to the administration. Next slide, please. And
20 this is the last type of events which is the leaks.
21 In the past two years, we've had seven events
22 associated with leaks and spills.

23 Some of the ones I just mentioned were
24 included in these type of events, but I broke it down
25 a little farther to look into what was causing these.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 And four events were expected to be associated with
2 the incorrect setup that I was just mentioning. But
3 there are three more events associated with infusion
4 tubing, but not setup issues were noted.

5 Leaks occurred with Xofigo, Lutathera,
6 Pluvicto administrations. So they didn't seem like
7 they were located to just one. But they occurred with
8 different types. And one licensee reported to us that
9 they did test the additional tubing from the same lot
10 and identified more tubing leaked.

11 So they removed the entire tubing lot. So
12 this licensee went further and testified all the
13 tubing and found there's more leaking from their
14 administration and IV sets. Next slide, please. So
15 in summary, the NRC has seen an increased number of
16 medical events associated with radiopharmaceuticals as
17 new drugs come into the market.

18 I will say, I want to point out that Dr.
19 Harvey's group, you guys might not have seen these
20 because a lot of these events occurred in 2023. I'm
21 reporting the events that occurred. Some of them
22 occurred within the last month. But we're definitely
23 seeing more events happen and different types of
24 events happen and different types of events as these
25 radiopharmaceuticals are coming onto the market.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Many of these events associated with the
2 increased complexity and lack of training of staff on
3 the new protocols before they start using them in
4 their clinics. Many types of these events are new to
5 the NRC such as leaks and set up issues associated
6 with the delivery. NRC expects to see -- continue to
7 see new types of medical events as new protocols enter
8 the clinic. And the NRC is in the process of
9 developing an information notice to inform licensees
10 of these events that have been reported and then the
11 industry recommended corrective actions. Next slide,
12 please. I think this is the acronyms.

13 CHAIR METTER: Well, thank you, Dr. Tapp.
14 That was a very, very nice and very excellent report.
15 And it's very clear and concise. And really your
16 examples were very helpful and help for us to
17 understand what happened and the site's corrective
18 actions regarding this.

19 And I hope that not only the people on the
20 committee here but also the public on this call will
21 learn from that. And I do like the idea of the
22 information notice coming out. Do you have a time
23 frame when that will be?

24 DR. TAPP: In the next couple months. We
25 are actively working on it.

1 CHAIR METTER: That's very interesting
2 because I think, like I said, the new
3 radiopharmaceuticals and the more complexities of
4 these therapies, I think every little step will cause
5 -- has the potential for another issue to come up.
6 Now are there any questions from the committee? I see
7 a lot of questions. Okay. Let me go ahead and have
8 Dr. Jadvar. He hasn't asked any questions recently.

9 VICE CHAIR JADVAR: Thank you so much for
10 that presentation. Just one quick question. So
11 Pluvicto package insert says that if you want to give
12 -- if there is adverse events, you're allowed to
13 decrease the dose by 20 percent from 200.

14 So let's say the patient gets 160. But
15 then for the next fractionation -- and also it says --
16 the package insert says that you should not re-
17 escalate. So it should be 160 and then 160 from then
18 on. But suppose let's say number 3, it's 200 by
19 mistake.

20 The patient is given 200. Does that
21 constitute a medical event or not? It is not what the
22 package insert says, but still it's 200.

23 DR. TAPP: If that's what the authorized
24 user wants to give, that would not be a medical event
25 in the written directive, right, in the written

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 directive.

2 VICE CHAIR JADVAR: Thank you.

3 CHAIR METTER: Dr. Harvey?

4 DR. HARVEY: Thank you. One comment
5 and one question. Yeah, I did take a sneak peek just
6 on my own to look for some of the medical events that
7 you talked about today just for my own edification.
8 And then the second thing was a question. And so if
9 licensees in agreement states have stricter
10 interpretations of the regulations, say 10 percent
11 instead of 20 percent of prescribed activity. And if
12 we report those because we're required to because
13 either our license conditions or our state regulations
14 and those come to the NRC, would they be excluded from
15 the NMED database them?

16 DR. TAPP: That's a good question. The
17 work between agreement states and the NRC, sometimes
18 we do get events that don't meet the NRC's criteria.
19 We do not then -- we'll work with the agreement states
20 to see if they would like to pull it out of the NMED
21 and see if they think it meets our criteria.

22 Because in NMED, we do want to keep it to
23 NRC criteria. So we do generally probably exclude it.
24 But there is collaboration with agreement states.

25 MEMBER SHOBER: Yeah, this is Megan

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Shober. So once an event gets reported through the
2 operations center, it automatically goes in NMED even
3 if it's subsequently retracted. So once something
4 goes in NMED, it doesn't come out.

5 But that being said, if we get an event
6 report for something that's not reportable for NRC's
7 regulations, we won't forward that report. So I think
8 that's pretty standard practice. But of course,
9 there's some things that's, like, you're not sure the
10 time of the event comes in. And so those things may
11 get reported even if they're later determined not to
12 be reportable.

13 DR. HARVEY: Thank you both.

14 CHAIR METTER: And thank you, Megan, for
15 that addition. Dr. Einstein?

16 MEMBER EINSTEIN: Andrew Einstein. Thanks
17 for a great presentation. In the remediation plan
18 which you cited there was a daily huddle rather than
19 a patient-specific time out, why is that?

20 DR. TAPP: The corrective actions were
21 specific to the licensees. The inspectors ensure that
22 they believe the corrective actions are adequate. But
23 we don't really have a say.

24 We just report what they report to us as
25 what they want to do for their corrective actions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Maybe that would be a good one for an information
2 notice or a recommendation. But we don't know why
3 they did what they did.

4 MEMBER EINSTEIN: Particularly for an
5 institution which has already failed for one patient.

6 DR. TAPP: Yeah.

7 CHAIR METTER: I think Ms. Allen has a
8 comment or question.

9 MEMBER ALLEN: Yes, first, thank you for
10 the presentation. As an administrator looking at it,
11 a lot of this goes back on education, training,
12 retraining. We also know that retraining and
13 education is a level of reliability of a one.

14 So it's not very reliable when you go and
15 say part of the action plan is retraining. And so I'm
16 looking forward to seeing the recommended corrective
17 actions and some examples or some lead way because I
18 think it's very important that it's easy to say we're
19 going to retrain. And that's a part of it. But it's
20 not going to give us the level of reliability to
21 reduce the medical event.

22 CHAIR METTER: Thank you.

23 MEMBER ALLEN: Thank you.

24 CHAIR METTER: Mr. Ouhib has a question.

25 MEMBER OUHIB: Yeah. Thank you, Dr. Tapp.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 That was a great presentation. I think in quite a few
2 slides you talked about providing training and so on.

3 But I think along that line, there should
4 be a statement that says people who have not gone
5 through proper training or official training or
6 manufacturer training should not be part of the
7 procedure, period. And I think because providing
8 training someone could still be participating until
9 they get their training next year or in six months
10 from now. But I think eliminating any person without
11 any training from the procedure I think is a wise
12 move.

13 DR. TAPP: I would say that one thing is
14 for the information notice. I won't be able to add
15 something. That would be a new regulation. I can
16 only provide what we're seeing from the industry,
17 corrective actions, or if you guys have
18 recommendations into an information notice.

19 But I wouldn't be able to do far reaching
20 into that. I would think if there's actions to take
21 based on therapeutic radiopharmaceuticals that require
22 a regulation change which I'm not sure if that would.
23 But if something did, that would have to go into a
24 rulemaking.

25 MEMBER OUHIB: When you think in the case

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 of our procedures or whatever, the only requirement is
2 that someone has to be trained and know what they're
3 doing as far as medical physicist and all of that.
4 It's very, very clear. And if you don't have that
5 education and that training and knowledge and all
6 that, you can't participate in that, period.

7 CHAIR METTER: I do have a comment on
8 that. Usually at sites when any new entity or any
9 procedure, particularly therapy comes in, it's a
10 credentialing issue. So I think it's a local issue
11 and really like Dr. Tapp said as far as that is
12 probably more at that level. Okay. Any other -- oh,
13 yes, Mr. Green.

14 MR. GREEN: Thank you, Dr. Tapp. A
15 great presentation. Really intrigued by the
16 categorization of how events can occur and the error
17 medical event where there's the wrong patient or the
18 wrong drug or the wrong route and the importance of
19 verification of activity, assay it.

20 And Ms. Allen, I appreciate your
21 evaluation of the poor return on investment. I'll
22 train. Doesn't last very long.

23 There is an industry standard throughout
24 the hospital that is used. Needs to make its way
25 further into radiology. That's Barcode Medication

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Administration, BCMA.

2 And if that occurred, people are
3 wonderful. But barcodes can do things that people
4 should do but don't. Is it the right drug? Is it the
5 right patient? Is it the right amount? Is it the
6 right route?

7 Check the computer system. BCMA made its
8 nuclear recommendation you might put in your advisory
9 document. BCMA, you can impose. It should be an --
10 it is an industry standard in the hospital. It's not
11 made it to nuclear yet and it should.

12 CHAIR METTER: Thank you, Mr. Green, for
13 that addition, additional comment in safety issue.
14 Mr. Mailman?

15 MR. MAILMAN: Thank you for an
16 excellent presentation as well. Just for your report,
17 though, Dr. Harvey's report later, I would assume that
18 a patient getting Pluvicto when they should've gotten
19 Lutathera is a medical event. I would assume when a
20 patient getting Lutathera when they should've been
21 getting Pluvicto is a medical event as well.

22 I don't consider them one medical event.
23 I consider them two medical events because there were
24 two patients. It was listed as a single medical
25 event, and it may be a single screw up. But to me,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 it's two patients both getting the wrong dose. And I
2 would say for the future accounting that I would hope
3 that it listed twice.

4 MR. DIMARCO: Daniel DiMarco. I remember
5 this event specifically. This was caught when only
6 one of the patients was given the wrong drug and the
7 other patient had not been given the wrong drug. So
8 they caught it at the first part of a patient. And
9 then that second injection was canceled when they
10 realized that they had given the wrong --

11 MR. MAILMAN: So this is the event from
12 December of last year. I have to go back to it
13 because I read it differently. But that's okay. So
14 it was only in one patient. That's fine. And it's a
15 single event.

16 MR. DIMARCO: To the best of my
17 remembrance, that was --

18 MR. MAILMAN: But that's not how it was
19 written here. So I'm just double checking.

20 CHAIR METTER: Okay. Thank you. Any
21 other questions from the ACMUI? NRC staff? Any
22 members in the public? Yes, I do have Lantheus. Do
23 you want to come to the microphone here?

24 MS. THOMPSON: Hi, can you hear me? Hi,
25 my name is Diana Thompson. I'm the director of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 Radiopharmaceutical Health Physics at Lantheus. And
2 I had two questions.

3 Also, first, thank you very much for your
4 wonderful presentation, Dr. Tapp. So the first
5 question that I wanted to ask is that because there
6 are four doses, are the doses considered fractionated?
7 Or is it one written directive for one administration?

8 DR. TAPP: That is dependent on how the
9 authorized user writes the written directive. Across
10 right now, I mostly see individual written directives
11 per each treatment. And I think that's because you're
12 drawing blood, taking labs, checking the status. So
13 I think they're writing written directives each time
14 right now.

15 MS. THOMPSON: The second question that I
16 had was in the beginning of your presentation, you
17 noted that you could write a dose range on a
18 therapeutic administration. And I think I've only
19 seen that for diagnostics. And I wanted to confirm if
20 that is an acceptable way to document a dose on a
21 written directive for therapeutics.

22 DR. TAPP: I do not have the regulations
23 in front of me. In something that tight, I'd have to
24 double check. I'd have to look at 35.40.

25 35.40 pulls up exactly what the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 requirement is. And it could be different amongst
2 agreement states. So I think everyone should double
3 check what is required for 35.40. You're probably
4 correct. Chris is pulling it up right now.

5 MS. THOMPSON: I believe 35.40 says dose.
6 But that could be a range if that's what the clinical
7 trial approves. And I just wanted to -- if there's
8 examples out there that has been seen on inspection or
9 with other products. I just wanted to clarify since
10 it was in the slides.

11 DR. TAPP: Yeah, the slides could be --
12 because it is possible to have a medical event with a
13 diagnostic. And diagnostics are allowed to have
14 ranges.

15 MS. THOMPSON: That does clarify. Thank
16 you very much.

17 MR. GREEN: I don't know the
18 regulation. I agree you should look it up. But when
19 you're doing a sodium iodine capsule, it all goes down
20 the gullet. Here you have an infusion where you can
21 have residual activity in the vial or the syringe and
22 infusion apparatus.

23 So even though it measured 200, you're not
24 getting 200 in. There's always going to be something.
25 So I think the range makes sense. We should check the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 regs.

2 CHAIR METTER: I do see Mr. Zoubir. Do
3 you have a question? I do see --

4 (Simultaneous speaking.)

5 MR. OUHIB: No, I don't. My hands are
6 down.

7 CHAIR METTER: Okay. I see a public
8 member. Could you read that?

9 (Simultaneous speaking.)

10 DR. TAPP: Just one second to the virtual
11 caller. It does say in 35.40 it's dosage. Dosage is
12 defined in 35.2. And it is dose or dosage range.

13 CHAIR METTER: I have -- I can't read the
14 -- yeah, could you pull it up? We have Nicole. Are
15 you able to unmute, Nicole?

16 MS. NARDECCHIA: Hi, sure. Thank you.
17 Thanks for the presentation. My name is Nicole
18 Nardecchia. I work as a quality improvement and
19 patient safety manager for radiology at Yale-New Haven
20 Hospital.

21 And I just wanted to go back quick to the
22 root cause analysis and corrective action plans that
23 were mentioned. I don't remember who mentioned it.
24 But I completely agree about education and retraining
25 being a really weak form of a corrective action plan.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 And I just would challenge any of the root
2 cause analysis. I'm not sure how those are performed
3 or how the NRC documents those. But stating that
4 there was a failure to follow policy or protocol as a
5 root cause, that's actually kind of -- that's the
6 problem. That's not really the root cause. So I
7 would just challenge maybe some of the documentation
8 on how a root cause or corrective action plan is put
9 into place because I think there could be more strong
10 corrective action. Thank you.

11 CHAIR METTER: Thank you. Dr. Tapp, can
12 you read the next person?

13 DR. TAPP: Sure, Venkata Neti. I'm sorry.

14 MR. NETI: Yeah, can you hear me?

15 DR. TAPP: Yes.

16 MR. NETI: I'm Neti, radiation safety
17 officer at RBHS Newark. Particularly, I do want to go
18 into specific example, the one you mentioned as one of
19 the examples Lutathera administered to Pluvicto
20 patient and Pluvicto administered to Lutathera
21 patient, these are two medical events because two
22 patients are involved, but I have a question. In
23 Pluvicto, we don't have any infusion. Is that
24 correct?

25 Whereas for the Lutathera you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 infusion. So even the infusion is also done
2 incorrectly thereby changing the dosimetry for
3 Pluvicto because we are not protecting kidney by
4 infusion for Pluvicto. But -- to the Pluvicto
5 patient. That's the dosimetric change is the big
6 issue from the dosimetric point of view.

7 DR. TAPP: The medical consequence, I
8 would have to leave that up to the licensee and the
9 inspectors and look it up. But wrong drug in a
10 therapeutic would be a big concern, I think. If
11 anybody here you guys want to add?

12 MR. NETI: That's true in case of
13 Pluvicto. What about Lutathera where there was no
14 infusion. But as for the procedure, you have the
15 infusion. Without infusion you can't administer
16 Lutathera. Besides the medical event, is there any
17 consequences to the patient from the health point of
18 view?

19 DR. TAPP: I do not have the -- in front
20 of me the patient consequence in this type of view in
21 the individual events. But when we do have a medical
22 event, we do follow up with patient consequence.
23 Patient consequence is a required reporting item as
24 well as you know the states would follow up and find
25 out the medical consequence. So an individual event,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 they would follow up on that and I would be concerned
2 in those type of events.

3 MR. NETI: Thank you.

4 CHAIR METTER: Thank you. I do not see
5 any other questions, do you, from the public?

6 DR. TAPP: Mr. Neti just put his hand back
7 up.

8 CHAIR METTER: Oh, but I do see one from
9 the committee. Zoubir, go ahead.

10 MR. OUHIB: Yeah, I just want to
11 comment briefly regarding the root cause that one of
12 the attendees just brought up. We did look at this
13 within the AAPM. And Bruce Thomadsen as probably most
14 of you know him was the chair of this task group.

15 And we looked at it and just sort of
16 thought about what would the verbiage should be. What
17 is really required? What is needed? What could
18 actually help understand the event itself also the
19 remedies that would be appropriate?

20 And I think maybe at some point it would
21 be worth looking into that, knowing it might not be
22 easy. But it's almost like a mandatory form that has
23 to be filled out by every user, per se, in the case of
24 a medical event. And any blank is not accepted,
25 period. Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 CHAIR METTER: Thank you. I do see other
2 individuals. I can't read their names.

3 DR. TAPP: Yeah, Venkata Neti.

4 MR. NETI: Hi, it's me again. So it's not
5 a concern at least for Lutathera and Pluvicto, because
6 Lutathera is for neuroendocrine and Pluvicto is for
7 MCRP patients. Maybe written directives should
8 include those checkmarks where it's a neuroendocrine
9 or MCRP patient. That way we may avoid in the
10 future some of the cases.

11 CHAIR METTER: I have a question for Mr.
12 Green. Are they in different colored -- do they get
13 distributed in different colors as far as the
14 radiopharmaceuticals so we can identify them as
15 different?

16 MR. GREEN: I don't know how many
17 colors there are in the world. But I know there's 26
18 English letters in the English alphabet. And the
19 English letters are different.

20 And BCMA can read letters through a
21 barcode and tell you it's the wrong drug. I looked it
22 up. There are 56 FDA approved drugs, 45 of which
23 currently are intravenously administered.

24 There are 16 tech drugs, 9 fluorinated
25 drugs, and 2 lutetium drugs. There's going to be a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 lot more lutetium drugs coming. There's 257 lutetium
2 clinical studies underway at clincialtrials.gov.

3 We will see some of them hit the
4 marketplace. It's new, but we got to do better. And
5 that means read the label. Sorry.

6 CHAIR METTER: Thank you, Mr. Green. Are
7 there any other questions in the room here? I do not
8 see any questions in the public. Is that correct?
9 Oh, I'm sorry. There is. Dr. Einstein?

10 DR. EINSTEIN: Barcodes and labels are
11 great. But I do think colors serve as a second check.
12 I don't think the two of them are mutually exclusive.
13 It would be great if drugs which can get confused are
14 color coded appropriately as well.

15 CHAIR METTER: We just have to be sure not
16 to use green and red. But anyway, okay, I do see a
17 hand in the public. There's an individual. William?

18 MR. HINCHCLIFFE: Hi, yeah, William
19 Hinchcliffe, radiation safety officer at -- Hospital.
20 Just to sort of give a direct answer to this question,
21 the Lutathera and the Pluvicto come in identical
22 colored pigs, same size. They are indistinguishable
23 at first glance.

24 The vials are very similar sized and the
25 volumes are different in size but not so different to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 be easily noticeable. They come from the same
2 companies. The labels do look very similar except
3 when you read them identically and typically do not
4 come with patient information on the outside of the
5 pig. So they're easy to confuse until you look at
6 them very closely.

7 CHAIR METTER: Thank you very much for
8 that confirmation of the idea of colors. Dr.
9 Einstein?

10 DR. EINSTEIN: Does the NRC have
11 regulatory capabilities of packaging materials? And
12 could they -- could you mandate different colors?

13 DR. TAPP: No, it wouldn't be in the
14 regulations today. That would fully require
15 rulemaking. And I'd see that being a difficult one.

16 I know we talked about it before and I
17 know we talked about it here. But barcoding and
18 recommendations with different colors could come from
19 the ACMUI. I'm just saying if there's a subcommittee
20 that did a formal recommendation because right now I'm
21 just taking it as a comment from Mr. Green. So I
22 didn't know if that's something for consideration for
23 the future.

24 CHAIR METTER: Since this is actually a
25 new therapeutic that's come up with a significant

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 number of medical events particularly in 2023, I think
2 we might need to form a subcommittee with maybe
3 looking at that and suggest recommendations. But
4 thank you. That's a very good suggestion.

5 DR. EINBERG: Yeah, Chris Einberg here.
6 I was going to say as alluded, Dr. Tapp is developing
7 the information on this right now on medical events.
8 And this information will come to the ACMUI for
9 review. At that time, if you want to make comments on
10 that information notice, that's another opportunity to
11 influence that information notice.

12 CHAIR METTER: Excellent. Thank you, Mr.
13 Einberg. Okay. Any other final comments before we go
14 to our break? Seeing none in the room or in the public
15 chat box, let's go ahead and conclude this portion of
16 the afternoon meeting. And we'll reconvene at 2:35.
17 Thank you, Dr. Tapp.

18 MR. EINBERG: 3:35.

19 CHAIR METTER: I'm sorry, 3:35.

20 (Whereupon, the above-entitled matter went
21 off the record at 3:22 p.m. and resumed at 3:35 p.m.)

22 MR. EINBERG: Yes, this is Chris Einberg.
23 We're reconvening the meeting right now. The next
24 agenda item is the special presentation to Dr. Metter.
25 As you all know, this is Dr. Metter's last official

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 meeting of the ACMUI.

2 And so it's with great regret that she's
3 going to be leaving us. She's still going to serve
4 until the March time frame. But this is her last
5 official meeting.

6 And so it's with a heavy heart that I am
7 saying goodbye to her. But we have a special
8 presentation from Commissioner Wright. Commissioner
9 Wright is going to have an award for you.
10 Commissioner Wright?

11 COMMISSIONER WRIGHT: Thank you so much.

12 MR. EINBERG: Okay. Well, are we there?

13 COMMISSIONER WRIGHT: All right. Well,
14 good afternoon, everyone. And I'm pleased to be with
15 you here today to recognize the contributions of Dr.
16 Darlene Metter to the ACMUI and actually to the NRC as
17 a whole. As many of you know, her term ends February
18 24th of next year, I believe.

19 So this, as you mentioned, is her last
20 meeting as ACMUI chair and as diagnostic radiologist
21 representative. And we want to take time, the time
22 that we have today to recognize you for your
23 contributions and to celebrate your service here. So
24 first before I bring you up here, let me take a moment
25 to recognize your expertise in the field of radiology

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 and to celebrate just everything that you've done, the
2 leadership that you've provided not just to this
3 committee but to the NRC and how we as Commissioners
4 and the agency as a whole have benefitted from things
5 that you have been involved in since you were
6 appointed as a representative back in 2016.

7 That was seven years ago. We've had the
8 good fortune to learn from you, from your expertise
9 and the experience that you have and you've garnered
10 throughout your life. You were appointed to the
11 position of vice chair in 2018.

12 That was just two years after you joined
13 the committee. And having done something like that
14 before, that's not an easy thing to do to come in. It
15 takes usually a couple years just to get up to speed.

16 And then you were appointed chair in 2019
17 -- in September of 2019. During your time here at the
18 ACMUI, you had kept me and the other Commissioners
19 well informed of this committee's views on different
20 medical topics including presenting to the Commission
21 and this committee's comments on things like the
22 guidelines to nursing mothers and training and
23 experience requirements for all modalities. And that
24 was back just in April of 2019, pre-COVID.

25 You've also provide overviews of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 committee's activities in 2021 -- in 2020, 2021, and
2 2022 at our annual Commission briefings. And I
3 remember these meetings, virtual and in person. And
4 I've always appreciated your professionalism, the way
5 you carry yourself, your clarity, and everything that
6 you do that just enhances your presentations to the
7 Commission.

8 During your tenure as well, you've
9 actively participated in committee meetings and
10 provided valuable advice to the NRC on very technical
11 relevant policy issues by serving as chair and member
12 of numerous subcommittees which by my count is almost
13 20 different subcommittees that you've been involved
14 in. And again by my count, that's almost every
15 subcommittee that you could possibly have been
16 involved in. So you're not just good, but you're a
17 workaholic.

18 I appreciate you for wanting to do that.
19 You've been involved in everything from medical events
20 to abnormal occurrences, from linear no-
21 threshold petitions to Y-90 licensing guidance.
22 And not just the committee but the NRC is
23 better for your involvement and participation
24 here. And I want to thank you for that.

25 So if you would come up here, stand by me,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 I want to present you with a few tokens of our
2 appreciation and gratitude for your eight years of
3 dedicated service to ACMUI and to the NRC. So first
4 is a flag of the United States that has been flown
5 over the Capitol. It's a certificate that's been
6 signed by Maryland U.S. Senator Chris Van Hollen which
7 is kind of cool, I have one of these for each of my
8 children.

9 A second thing is a certificate of
10 appreciation that's signed by our chair, Chris Hanson.
11 Okay? And then last but not least is an NRC pin,
12 right? Now it's just like this one, and I wear it
13 everywhere. It's very pretty. It's very nice.

14 And then last of the last but certainly
15 not least is a handshake from me on behalf of the
16 Commission thanking you for your eight years of
17 service and everything that you've done to make this
18 agency better and this committee better. The advice
19 that you've given to us has been seriously taken and
20 considered. And I just want to thank you for not just
21 myself but the members of the commission and the NRC.
22 Congratulations. Would you like to say something?

23 CHAIR METTER: Thank you, Commissioner
24 Wright. My fellow ACMUI members and NRC staff, thank
25 you for the privilege and honor of being a member of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 the ACMUI since 2016, and as Commissioner Wright said,
2 your vice chair in 2018 and your chair since 2019. As
3 an ACMUI member, as you know, work can be quite
4 intense and challenging in advising the NRC in their
5 mission which includes protecting patients and the
6 public health and safety in the medical uses of
7 radioisotopes.

8 Despite these challenges, the ACMUI tasks
9 have been quite rewarding. And only in large part due
10 to the incredible expertise, support, and knowledge of
11 the NRC staff and my fellow ACMUI members. When I
12 attended my first meeting in 2015, Bruce Thomadsen was
13 the ACMUI chair.

14 It was really a true eye opener and to be
15 a part of this massive federal organization was
16 totally impressive. As today, we're in a conference
17 room with this horseshoe table. And the newest
18 member would start at one end of the table and
19 through their tenure rotate around the table to a
20 final position before rotating off.

21 And as you remember, one member actually
22 said, I'm falling off. But during these first
23 meetings, my first goal was really just to observe.
24 And I truly wanted to be a silent member.

25 I wanted to rotate around the room and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 fall off. Unfortunately, the following year, Dr. Phil
2 Alderson, a fellow nuclear radiologist, became the
3 ACMUI chair. And Dr. Christopher Palestro, another
4 nuclear medicine colleague, became the next chair.
5 And I was soon tasked at being a chair of
6 subcommittees regarding Y-90 microsphere, nursing
7 guidelines, and training and experience.

8 And as you had heard with Commissioner
9 Wright, I was also on several other subcommittees.
10 The subcommittee topics and work were all really quite
11 challenging. And especially with a highly
12 engaged active national stakeholder audience,
13 video recording and legal transcripts of our
14 meetings.

15 However, I soon discovered that the
16 expertise, knowledge, and support of my fellow ACMUI
17 members and NRC staff whose teamwork contributed to
18 the success in producing well thought out and
19 comprehensive final subcommittee reports. During
20 these last few years, the ACMUI experience has been
21 very rewarding and not only in contributing to
22 the regulatory safety of our patients and the public
23 but in part being a part of the rich camaraderie of
24 this organization. Thank you for this great privilege
25 and honor to being a part of the ACMUI and NRC
family.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 The ACMUI experience will be a true highlight in my
2 professional career and a very, very long lasting
3 treasured memory. In reminiscing the final words
4 of our recent past chair, Dr. Christopher Palestro,
5 and I truly agree with him, the hardest thing I
6 have had to do as an ACMUI member is leaving the
7 ACMUI. Thank you very much.

8 (Pause.)

9 MR. EINBERG: Some members requested that the ACMUI
10 members come up here along with the NRC staff for
11 a group photo. And so if we can do that, it'll be a
12 nice memory. (Pause.)

13 CHAIR METTER: Thank you very much,
14 everyone.

15 (Pause.)

16 MR. EINBERG: So Dr. Metter, remember
17 during these presentations after you give your closing
18 remarks or your farewell, we open it up to the ACMUI
19 staff to see if they have any thoughts that they'd
20 like to share. And so I'll open it up to the staff
21 right now -- to the ACMUI members. I see Dr. O'Hara
22 has something.

23 MEMBER O'HARA: Yeah, I want to thank you
24 for the example of leadership that you've shown since
25 I've been here. I've enjoyed learning from you and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 from all of my colleagues and all of our colleagues
2 from the past. So I want to thank you and wish you
3 the best.

4 CHAIR METTER: Thank you, Dr. O'Hara.

5 MR. EINBERG: Dr. Jadvar.

6 VICE CHAIR JADVAR: Darlene, we have known
7 each other for many, many years. You have been a
8 great colleague and friend and I always cherished it.
9 And just want to say that I learned much from you.

10 Thank you for mentorship over the past few
11 years here in the ACMUI. And I know we're going to
12 see each other for many, many years to come. And
13 again, I'm very privileged to have you as a friend.
14 Thank you.

15 CHAIR METTER: Thank you, Dr. Jadvar. And
16 your leadership has been very helpful to me too and
17 your presentation as chair in all these subcommittees.
18 And I know you'll do a great job in leadership in the
19 ACMUI in the future. Thank you very much.

20 MR. EINBERG: Mr. Green?

21 MEMBER GREEN: How many years ago was it
22 when we were the new fish at that corner of the table?
23 I felt like a very small fish in a very big pond.
24 You're very kind to be welcoming and receptive.

25 And your leadership has been remarkable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 I'm very impressed. I shouldn't be impressed, but you
2 have an impressive degree of concern for the patient.
3 And that's always forefront in your mind.

4 Even though we're not dealing with the
5 patients here, we're dealing with regulations. But
6 you always bring it back to the patient. I appreciate
7 that.

8 CHAIR METTER: Thank you, Mr. Green. I do
9 remember the time that you, myself, and Zoubir were
10 sitting at the end of the table and just kind of being
11 really quiet. But we weren't that quite. But it was
12 very good.

13 And we are here for our patients. And I
14 think we dedicate our lives to the safety and
15 protection and the best of health for our patients.
16 Thank you.

17 MEMBER OUHIB: And on behalf of -- sorry.
18 Zoubir.

19 MEMBER OUHIB: Yes. Speaking of quiet, I
20 just want to thank you, Dr. Metter, for all the hard
21 work you put together. And it showed over the past
22 years a lot of contributions to the ACMUI. Thank you
23 so much. And it was certainly a pleasure and an honor
24 to know and work with you. All the best.

25 CHAIR METTER: Thank you, Zoubir. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 I've always learned from your comments and your
2 questions. And they're always very unique and really
3 something that I wouldn't have thought of. But I
4 thank you too for your contributions to the ACMUI.

5 MR. EINBERG: Okay. So on behalf of the
6 NRC, I think the collaboration that we've had between
7 the ACMUI and the NRC staff is in large part due to
8 your leadership and your collaborative nature, your
9 friendly nature, your welcoming. I think we've had a
10 very good working relationship over the years. And
11 you've really fostered that.

12 And so that goes without saying we're very
13 appreciative of that, your expertise, your knowledge
14 that you bring to the table, and your care for the
15 patients. It's all in the interest of treating the
16 patients and for the good for the American public.
17 And so when Commissioner Wright went through all the
18 subcommittees that you've been on, you've been very
19 involved in all aspects of ACMUI.

20 And when you think of the reach of nuclear
21 medicine in this country, we touch about 20 million
22 individuals per year. You had the very influential --
23 you've had a very influential role in all of this. So
24 on behalf of the NRC, I want to say thank you.

25 CHAIR METTER: Thank you very much. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 you've got, like I said, a great team and a great
2 culture. And I was very honored to be -- I'm
3 privileged to be a part of that. Thank you very much.
4 For the open forum, any other items or comments from
5 the ACMUI? NRC staff? Dr. Tapp?

6 DR. TAPP: Yes. For the open forum, I
7 just wanted to let the ACMUI know that we have two
8 35.1000 documents coming to you guys for review coming
9 up. We have the EYE90 which is a Y90 microsphere
10 product for manual brachytherapy for HCC. And that
11 will be coming your way.

12 It's similar to the TheraSphere and the
13 SIR-Spheres and the licensing guidance document as
14 well as we have the Akesis Galaxy which is a new gamma
15 stereotactic radiosurgery unit used for treatment of
16 the head and neck. And that will also be coming your
17 way for review. We're hoping that there could be
18 subcommittees formed to review these. And hopefully
19 you'll have a teleconference before the next meeting
20 in this spring so we can issue these guidance
21 documents with your recommendations and your review
22 for them.

23 CHAIR METTER: Thank you, Dr. Tapp, for
24 that update on our upcoming subcommittees. Any other
25 items for the open forum? Seeing none, we're on our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 last portion of our meeting with our administrative
2 closing with Ms. Armstead.

3 MS. ARMSTEAD: Prospective dates for the
4 spring 2024 conference. As you can see on the
5 calendar for the month of March, it's the 18th and the
6 19th of March. And for the month of May, it's the 8th
7 and the 9th of May -- I'm sorry, April 2024. I did
8 receive greater response from the ACMUI for the April
9 8th and 9th dates. Is there any further discussion
10 for these dates?

11 (No audible response.)

12 MS. ARMSTEAD: So other than finalizing
13 the potential spring 2024 meeting, I do not have
14 anything else to add. Dr. Metter?

15 MR. EINBERG: And Dr. Metter, Chris
16 Einberg. So can we -- assuming the tentative dates
17 are the April 8th and 9th because that was the first
18 priority, that's acceptable to all. Thank you.

19 CHAIR METTER: Do we need to vote on that?
20 Yes, Ms. Shober.

21 MS. SHOBER: Yes, thanks. Are we doing a
22 Commission briefing at the spring meeting, or are
23 those dates -- will they take that into account?

24 MR. EINBERG: Dr. Tapp?

25 DR. TAPP: Yes, the plan at this time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 would be to do a commission briefing in the spring.
2 So the tentative dates that we would aim for is the
3 8th and 9th. But you're right. We have to wait for
4 SECY to finalize dates. We're trying to get it
5 together.

6 CHAIR METTER: Okay. Thank you. Do we
7 have to vote on that?

8 DR. TAPP: I do believe -- I think we just
9 hold it tentatively at this point.

10 MS. ARMSTEAD: Dr. Metter, there was a
11 vote that went out last month and the team favored the
12 8th and the 9th.

13 MR. EINBERG: But what Dr. Metter was
14 asking whether right now in the public forum do they
15 need to vote on that. And so Dr. Tapp had something
16 to say.

17 DR. TAPP: Yes, we do vote on the
18 tentative dates to have it on those dates. So yes,
19 there should be a vote.

20 CHAIR METTER: Okay. Do I have a motion
21 to approve the tentative dates for the spring meeting
22 as April 8th and 9th pending the Commission's
23 scheduling and our meeting with them?

24 MEMBER GREEN: So moved.

25 MEMBER WOLKOV: Second.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 CHAIR METTER: Okay. All in favor, say
2 aye.

3 (Chorus of aye.)

4 CHAIR METTER: All opposed or abstain?
5 Thank you. The motion is unanimously approved by the
6 committee. Any other administrative closing items?
7 Ms. Armstead?

8 MS. ARMSTEAD: That's it, Dr. Metter.

9 CHAIR METTER: Thank you. So Mr. Einberg,
10 any other final comments before we close this meeting?

11 MR. EINBERG: I just wanted to thank the
12 ACMUI members for all their hard work they put in
13 throughout the year, their expertise that they bring
14 to the NRC and to the public. I want to thank the NRC
15 staff for putting together this meeting. And there's
16 a lot of preparation that goes into it.

17 But that's all I have for right now. And
18 I want to say goodbye to Dr. Metter. And so all the
19 best to you.

20 CHAIR METTER: Thank you very much. And
21 this concludes the 2023 fall meeting of the ACMUI.
22 And thank you for your attention and participation and
23 the timely updates and reports by the ACMUI members
24 and NRC staff.

25 I also would like to wish you all a happy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1716 14th STREET, N.W., SUITE 200
WASHINGTON, D.C. 20009-4309

1 holiday, upcoming holiday and be safe and safe travels
2 to your home. Thank you very much. The meeting is
3 adjourned.

4 (Whereupon, the above-entitled matter went
5 off the record at 4:03 p.m.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

C E R T I F I C A T E

This is to certify that the foregoing transcript

In the matter of: Meeting of the Advisory Committee
on the Medical Uses of Isotopes

Before: US NRC

Date: 10-23-23

Place: teleconference

was duly recorded and accurately transcribed under
my direction; further, that said transcript is a
true and accurate complete record of the
proceedings.

Neal R Gross

Court Reporter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1716 14TH ST., N.W., STE. 200

WASHINGTON, D.C. 20009-7831

(202) 234-4433

www.nealrgross.com