

UNITED STATES OF AMERICA  
NUCLEAR REGULATORY COMMISSION

+ + + + +

MEETING WITH ADVISORY COMMITTEE ON THE  
MEDICAL USES OF ISOTOPES

+ + + + +

TUESDAY,  
APRIL 9, 2024

+ + + + +

The Commission met in the Commissioners' Hearing Room,  
at 10:00 a.m. EDT, Christopher T. Hanson, Chair, presiding.

COMMISSION MEMBERS:

CHRISTOPHER T. HANSON, Chair

DAVID A. WRIGHT, Commissioner

ANNIE CAPUTO, Commissioner

BRADLEY R. CROWELL, Commissioner\*

ALSO PRESENT:

CARRIE M. SAFFORD, Secretary of the Commission

BROOKE CLARK, General Counsel

\*Present via video teleconference

PANEL:

HOSSEIN JADVAR, ACMUI Chair

RICHARD HARVEY, ACMUI Radiation Safety Officer

Representative

MEGAN SHOBER, ACMUI Agreement State Representative

JOSH MAILMAN, ACMUI Patients' Rights Advocate

## P-R-O-C-E-E-D-I-N-G-S

9:57 a.m.

1 CHAIR HANSON: I will now call to order today's meeting  
2 with the Advisory Committee on the Medical Uses of Isotopes since we're all  
3 here. I know it's a little before 10:00, but if we're broadcasting on the internet,  
4 I think we can probably just go ahead and get started.

5 Thank you all for being here. This is a routine meeting to  
6 hear the views of the Advisory Committee on the Medical Uses of Isotopes on  
7 significant issues that have come before them. This last meeting with the  
8 committee was in December 2022, so we're just a little overdue and we're glad  
9 to have you all with us.

10 I'll recognize each speaker and we'll hold questions until the  
11 end of the speaker presentations, and then we'll hear questions from the  
12 commissioners this morning.

13 Today, I'm pleased to acknowledge the newest members of  
14 the committee, Dr. Michael Folkert, brachytherapy radiation oncologist, and  
15 Dr. Joanna Fair, diagnostic radiologist, who are both undergoing the  
16 onboarding process. Welcome.

17 Before we start, I'll ask my colleagues if they have any  
18 comments they'd like to make? So, with that, Dr. Jadvar, it's nice to see you  
19 again, and we'll begin with you.

20 DR. JADVAR: Thank you very much, Chair Hanson,  
21 Commissioners Caputo, Crowell, and Wright. Good morning. This is my first  
22 presentation to you as the newly appointed chair of the ACMUI since the

1 previous chair, Dr. Darlene Metter, completed her term on the committee in  
2 February of this year.

3 I look forward to serving the ACMUI and the NRC the best  
4 possible way that I can, and beforehand, I would like to thank all of you, my  
5 colleagues on the ACMUI and the entire NRC staff for your support and  
6 camaraderie. So, with that, perhaps I can start my presentation. So, I'm going  
7 to give an overview of the ACMUI activities. Next slide, please?

8 On the agenda after my presentation, Dr. Richard Harvey,  
9 Radiation Safety Officer Representative, will give the ACMUI's review analysis  
10 of the reported medical events from fiscal years 2021 to 2023. Next slide,  
11 please?

12 Then Ms. Megan Shoher, the ACMUI Agreement State  
13 Representative, will comment on the revisions to the Regulatory Guide 8.39,  
14 Release of Patients Administered with Radioactive Material. And after that, it  
15 will be Mr. Josh Mailman, ACMUI Patients' Rights Advocate, who will present  
16 perspectives on the Regulatory Guide 8.39 and also on the reporting of  
17 nuclear medicine injection extravasations. Next slide, please?

18 This is the agenda for today, the ACMUI role, membership,  
19 the 2022 through 2024 topics, the current subcommittees that we have at the  
20 ACMUI, and the future activities. Next slide, please?

21 Just to remind us, the role of the ACMUI is to advise the U.S.  
22 Nuclear Regulatory Commission staff on policy and technical issues that arise  
23 in the regulation of the medical use of radioactive material in diagnosis and  
24 therapy, comment on changes to NRC regulations and guidance, evaluate

1 certain non-routine uses of radioactive material, provide technical assistance  
2 in licensing, inspection, and enforcement cases, and also bring key issues to  
3 the attention of the commission for the appropriate action. Next slide, please?

4 ACMUI membership currently have 13 members. The  
5 nuclear medicine physician is represented by me, who is serving as the chair.  
6 The nuclear pharmacist who is also the vice chair of the committee is Mr.  
7 Richard Green. The nuclear cardiologist is Dr. Andrew Einstein.

8 We have two radiation oncologists on the panel, Dr. Mike  
9 Folkert and Dr. Harvey Wolkov. The diagnostic radiologist, as was mentioned,  
10 is Dr. Joanna Fair, who is undergoing the onboarding process, and then the  
11 FDA representative is Dr. Michael O'Hara. Next slide, please?

12 We have two medical physicists on the panel, for nuclear  
13 medicine, Ms. Melissa Martin, and for radiation therapy is Mr. Zoubir Ouhib.  
14 The patients' rights advocate is Mr. Josh Mailman. The agreement state  
15 representative is Ms. Megan Shober. The healthcare administrator is Ms.  
16 Rebecca Allen, and the radiation safety officer is Dr. Richard Harvey. Next  
17 slide, please?

18 We also continue to benefit from the expertise and  
19 knowledge of our consultant, Dr. John Angle, who is the interventional  
20 radiologist. Next slide, please?

21 These are the ACMUI topics for December 2022 to April  
22 2024, decommissioning financial assurance for sealed and unsealed  
23 radioactive materials. The ACMUI subcommittee presented its  
24 recommendations on the staff's draft proposed rule that seeks to amend the

1 regulations for decommissioning financial assurance and funding for sealed  
2 and unsealed radioactive material in 10 CFR Part 30.

3 The impact of ABR's termination request and review of the  
4 NRC's process for recognition of specialty boards, the ACMUI subcommittee  
5 presented its assessment of the impacts of the American Board of Radiology's  
6 request to terminate NRC recognition of its board certification processes and  
7 its review of the NRC's process for recognizing medical specialty boards.

8 Under medical events, the ACMUI reviewed medical events  
9 from fiscal years 2021 through 2023 and provided its recommendations to  
10 staff. A review of prescription error reduction methods was also discussed.

11 The ACMUI nuclear pharmacist provided an overview of the  
12 published articles on error reduction methodologies for administrations of  
13 byproduct material for medical use and the relative success and value of these  
14 error reduction methods. Next slide, please?

15 We also discussed the Akesis Galaxy RTi Unit licensing  
16 guidance. The ACMUI reviewed and commented on the NRC's staff draft  
17 licensing guidance for the Akesis Galaxy RTi device. This is a new gamma  
18 stereotactic radiosurgery device that the staff is recommending to be licensed  
19 as an emerging medical technology under 10 CFR 35.1000.

20 Eye90 Microsphere device licensing guidance, the ACMUI  
21 reviewed and commented on the NRC's staff draft licensing guidance for the  
22 Eye90 Microsphere device, which is a new Y-90 microsphere device under an  
23 investigational device exemption by the FDA, and which staff is  
24 recommending to be licensed as an emerging medical technology under 10

1 CFR 35.1000.

2 The Liberty Vision Y-90 episcleral brachytherapy source  
3 licensing guidance, the ACMUI reviewed and commented on the NRC's staff  
4 draft licensing guidance for the Liberty Vision Y-90 episcleral brachytherapy  
5 source. The staff is recommending that this source be licensed under 10 CFR  
6 35.1000 because current NRC regulations only cover the ophthalmic use of  
7 the Strontium-90 sources. Next slide, please?

8 Overview of ICRP Publication 153, Radiological Protection  
9 in Veterinary Practice, the ACMUI heard an overview of the ICRP Publication  
10 153 from one of the authors of this publication. The ACMUI is interested in  
11 how the veterinary use of radioactive material continues to evolve beyond  
12 radioiodine I-131. Next slide, please?

13 These are the staff presentations to the ACMUI during 2022  
14 through 2024. Medical events, the staff has presented overview of medical  
15 events, including root causes and corrective actions for recent fiscal years.  
16 Limited revisions to the NRC's abnormal occurrence criteria, the staff provided  
17 an overview of the changes to the abnormal occurrence criteria that were  
18 approved by the commission in the SRM-SECY-22-0009.

19 Reporting of nuclear medicine injection extravasations, the  
20 staff provided an update to the ACMUI on the efforts related to the rulemaking  
21 that would codify reporting requirements for certain nuclear medicine  
22 extravasations. An ACMUI subcommittee is currently reviewing the staff's  
23 draft proposed rule and associated implementation guidance.

24 Overview of the NRC requirements and guidance for

1 release of animals administered with radioactive material, the staff presented  
2 to the ACMUI an overview of the NRC's regulatory framework, including  
3 regulations and guidance for licensing the use of byproduct material in  
4 veterinary medicine.

5 Financial assurance for disposition of category one and two  
6 byproduct material radioactive sealed sources, the staff provided the ACMUI  
7 with an overview of the rulemaking effort that will revise the regulations that  
8 require financial assurance for the disposition of category one and two  
9 byproduct material sealed sources, including costs of end of life and timely  
10 disposition. Next slide, please?

11 Recent medical events related to radiopharmaceutical  
12 administrations, the staff discussed regulations for written directive and  
13 medical event reporting, and provided examples of different types of medical  
14 events related to the use of radiopharmaceuticals and their root causes and  
15 corrective actions. ACMUI reporting structure, the staff provides the ACMUI  
16 with its reporting structure on an annual basis. In fact, we had that yesterday.

17 Medical team updates, the medical team provides the  
18 ACMUI an update related to the ongoing rulemaking and guidance  
19 development efforts as well as discusses medical issues of interest with the  
20 ACMUI. INFOSEC, ethics, and allegations training, the ACMUI continues to  
21 receive training from the NRC staff on these and other topics. Next slide,  
22 please?

23 These are the current ACMUI subcommittees, Eye90  
24 microspheres, Akesis Galaxy RTi Unit, Liberty Vision Y-90 brachytherapy



1 source. Training and experience for all modality subcommittee, the ACMUI is  
2 reviewing the staff's draft training and experience implementation guidance.

3 Extravasations and medical reporting, the ACMUI is  
4 currently reviewing the staff's draft proposed rule and associated  
5 implementation guidance for the reporting of nuclear medicine injection  
6 extravasations. The implementation guidance is a draft regulatory guide for  
7 the evaluation and reporting of all medical events, including extravasation  
8 medical events. Next slide, please?

9 And these are the future activities. ACMUI will continue to  
10 provide advice and technical assistance, comment on the NRC regulations  
11 and guidance, evaluate uses of radioactive material, and also bring key issues  
12 to the attention of the commission. And with that, the next slide, I believe, is  
13 just my acronyms, and thank you very much for your time.

14 CHAIR HANSON: Thank you, Dr. Jadvar. Next, we'll hear  
15 from Dr. Richard Harvey. He's the radiation safety officer representative. Dr.  
16 Harvey?

17 DR. HARVEY: Good morning, commissioners, and thank  
18 you for allowing me to present the subcommittee's report on medical events.  
19 Next slide, please?

20 Our subcommittee members are Dr. Folkert, Mr. Green, Dr.  
21 Metter, who has finished her term, Mr. Ouhib, and Dr. Wolkov. Our consultant  
22 is Dr. Angle, who serves the committee very well, and our NRC staff resource  
23 is Mr. DiMarco. Next slide, please?

24 The subcommittee's charge is to review medical events to

1 advise the Advisory Committee on the Medical Use of Isotopes and the United  
2 States Nuclear Regulatory Commission about emerging trends that may need  
3 regulatory attention. Next slide, please?

4 Background, the NRC and ACMUI review these medical  
5 events that occur throughout the country on a regular basis. Medical events  
6 occur when radioactive material used in healthcare results in unexpected  
7 radiation dose to patients. Please refer to 10 CFR 35, Subpart M, reports, and  
8 more specifically, 10 CFR 35.3045, report and notification of a medical event  
9 for more information.

10 The Medical Events Subcommittee of the ACMUI reviews  
11 the data to analyze the nature of medical events, identify emerging trends,  
12 and provide recommendations to the ACMUI and NRC. Next slide, please?  
13 As Dr. Jadvar mentioned, the fiscal years reviewed were FY21, '22, and '23.  
14 Next slide, please?

15 There's two overarching themes that seem to remain. There  
16 seem to be human errors, medical events caused by human errors, as well as  
17 inexperience, human errors resulting from failure to have good communication  
18 and feedback, and failure to work in teams.

19 With regards to inexperience, it's presumed that because of  
20 the rapidly evolving use of radiopharmaceuticals, there is some inexperience  
21 for new users, and there is some dissemination of the radiopharmaceutical  
22 therapy use to small institutions that may perform these radiopharmaceutical  
23 or theranostics procedures with a lower frequency.

24 There is no real data to quantify this with 100 percent, so we

1 are making an assumption here. There may be infrequent users that do this  
2 very well, but the assumption has been made that infrequent users, there  
3 might be some increased risk of medical events. Next slide, please?

4 So, some specific issues, again new and increasing use of  
5 therapeutic radiopharmaceuticals, we're seeing certainly a boon in the use of  
6 some of the lutetium agents and others, and some research protocols that are  
7 leading to the potential for more medical events in 10 CFR 35.300. The  
8 Yttrium-90 Microsphere procedures, which you're probably familiar with, tend  
9 to be the most common medical events, and you'll see that in the data coming  
10 forward.

11 The actions by the committee, we've added two specialty-  
12 specific subcommittee members, and an ACMUI recommendation, the  
13 authorized users should adhere to manufacturers' recommendations to avoid  
14 aggregation, and they should use the recommended catheter size and needle  
15 gauge. That's specifically for the Yttrium-90 microspheres.

16 We have seen in some of the medical events, if the  
17 manufacturer recommendations were not followed, it led to medical events  
18 and residual activity being left in the treatment device, and therefore not all of  
19 the activity reaching the patient.

20 Another important issue is that microspheres need to be  
21 agitated to avoid settling or clumping, and this will assist in prevention of  
22 aggregation. Users must remain conscientious and adhere to all  
23 manufacturer recommendations during delivery of the microspheres. Next  
24 slide, please?

1                   So, for 10 CFR 35.200, we have a breakdown for the past  
2 seven years of the medical events defined in classifications of wrong drug,  
3 wrong dosage, wrong patient, and extravasation, and human error. And what  
4 we believe is that a timeout may have prevented all of the medical events in  
5 2021, FY21 and 2023. So, timeouts seem to be a valuable practice that can  
6 certainly help prevent medical events going forward.

7                   Currently, extravasations is in guidance process and being  
8 developed with SECY, so there is no reporting requirement for extravasations  
9 at this point in time. Next slide, please?

10                  10 CFR 35.300, you can see the breakdown for the seven-  
11 year period. Again, timeouts were deemed to be valuable for wrong drug,  
12 wrong dosage, and wrong patient medical events. For 10 CFR 35.300, a  
13 timeout may have prevented 50 percent of the medical events in 2021, 30  
14 percent of the medical events in 2022, and 91 percent of the medical events  
15 in 2023. Next slide, please?

16                  Moving to 10 CFR 35.400, manual brachytherapy, you can  
17 see the breakdown, and this is on two slides, so there will be a second slide  
18 where this continues. Excuse me. So, there were two eye plaque applicator  
19 issues, one in 2022 and one in 2023.

20                  Interesting with these ophthalmic brachytherapies,  
21 excessive rubbing of the eyes can shift the plaque and change the dose  
22 delivered to the patient, and so there can either be a shift or there was even a  
23 situation in 2022 where the source was actually dislodged. So, let's move to  
24 the next slide so we can see the continuing 10 CFR 35.400, please?

1                   And there is a typographical error on here. Did we -- oh, we  
2                   may have fixed it. In 2021, there was three. So, it was originally a two, so the  
3                   typographical error was corrected by the staff. They saved me from that, so  
4                   thank you.

5                   So, this shows a summary of the manual brachytherapy and  
6                   where timeouts may have been beneficial. In 2023, or I'm sorry, excuse me,  
7                   2021, the three medical events may have benefitted from a timeout, and there  
8                   were none in 2022 or 2023 that would have benefitted from a timeout. Next  
9                   slide, please?

10                  So, potentially 23 percent or nine of the 39 medical events  
11                  from 2017 to 2023 may have been prevented by the use of a timeout. Those  
12                  again were defined as wrong site, wrong source, and wrong patient. So, a  
13                  timeout or checklist for 2021 may have prevented 75 percent of the medical  
14                  events, and again, we didn't see any benefit in 2022 or 2023. Please move to  
15                  the next slide? Thank you.

16                  10 CFR 35.600, all right, for the last three fiscal years, the  
17                  most significant causes of medical events have been from human error, those  
18                  from wrong position, wrong reference length, and wrong dose or source  
19                  strength, and machine applicator malfunction.

20                  For the human error situation, there's been 37 of 65 medical  
21                  events or 57 percent during this period that may have benefitted from a  
22                  timeout, and 12 of 65 medical events or 18 percent from 2017 to 2023. It does  
23                  show a relatively stable trend, around ten plus or minus medical events in this  
24                  area. Next slide, please?

1                   The next slide shows a breakdown of the medical events for  
2           10 CFR 35.600 by anatomical location, and predominantly gynecological  
3           procedures were the most common site for medical events, and two-thirds or  
4           66 percent of the medical events were from gyn procedures. Next slide,  
5           please?

6                   So, in this category, a timeout may have benefitted in eight  
7           percent of the time, five of the 65 medical events during this seven-year  
8           period. Next slide, please?

9                   Medical events in this area that may have been caused by  
10          infrequent users or authorized users that don't do this frequently, or  
11          inattention, or lack of conscientiousness during the delivery of the procedure,  
12          there's a breakdown by year, but the total is 20 out of 65 of these or 31 percent  
13          may have benefitted from users having more experience, more training, and  
14          being more conscientious during this, during the procedures.

15                  Again, we have no data to quantify the number of medical  
16          events by organization, so we don't really have the total volume that different  
17          organizations are doing. Next slide, please?

18                  Now we're moving into 10 CFR 35.1000. The first item that  
19          I want to talk about is radioactive seed localizations, and these are relatively  
20          rare as you can see. We've tracked these since 2018, and there was one  
21          event in 2019, one in 2021, and one in 2023.

22                  So, the one medical event in 2023 was due to a delayed  
23          seed removal during a radioactive seed localization procedure. So, the  
24          authorized user removed the clip rather than removing the seed, which is

1 certainly avoidable using a gamma surgical probe in the OR. The next slide if  
2 you would, please?

3 The next area in 10 CFR 35.1000 is intravenous cardiac  
4 therapy, again a relatively infrequent type of procedure. We don't see a large  
5 number of medical events. It's been fairly stable. And we have one medical  
6 event in 2023 where the radioactive source did not reach the intended  
7 treatment site because the author failed to verify source location. They had  
8 difficulty in the fluoroscopy being able to see where the source was in the  
9 heart. Next slide, please?

10 The next area is the Gamma Knife, and we're talking about  
11 Gamma Knife Perfexion, Icon, and Esprit units. All three are in use  
12 domestically. As you can see there, Gamma Knife treatments have resulted  
13 in very few medical events and they're very stable. Next slide, please?

14 Maybe where it gets a little bit more interesting is the  
15 Yttrium-90 microspheres. We've divided these up into TheraSpheres, which  
16 we'll cover first, and then SIR-Spheres from the two different manufacturers.  
17 Excuse me.

18 So, wrong dose medical events are assumed to be  
19 preventable by the use of a timeout. A timeout would seem to be very useful  
20 in the Yttrium-90 microspheres' delivery and administration, and greater than  
21 20 percent residual activity left in the treatment device is a surrogate for  
22 infrequent use of microspheres and authorized user lack of  
23 conscientiousness. There's some subjectivity in that. And if you  
24 look at the data, a timeout may have prevented 17 percent, nine percent, and

1 five percent of the medical events in FYs 2021, 2022, and 2023 respectively.  
2 Failure to deliver at least 80 percent of the treatment activity has resulted in a  
3 significant number of medical events in 2021 and 2023, 43 and 50 percent  
4 respectively. Next slide, please?

5 On the next slide, we're going to be looking at the Yttrium-  
6 90 SIR-Spheres. We're using the same surrogates and assumptions for the  
7 SIR-Spheres as we did with the TheraSpheres where a timeout benefits for  
8 wrong site, and infrequent user inattention is reflective of the 20 percent  
9 residual activity being left in the treatment device.

10 A timeout may have prevented six percent, 11 percent, and  
11 22 percent of the medical events in FY2021, 2022, and 2023 respectively.  
12 Failure to deliver at least 80 percent of the treatment activity has resulted in a  
13 significant percentage, 67 percent of medical events in 2023.

14 In 2021 and 2022, 11 percent of the medical events were  
15 from 20 percent residual activity remaining in the treatment device. Again, this  
16 may be due to infrequent users performing treatments and users not being  
17 conscientious during delivery. Next slide, please?

18 Actions to prevent Yttrium-90 microsphere medical events,  
19 ensure the familiarity of the mechanics of the Yttrium microsphere delivery  
20 device and setup procedures, confirm all data and calculations in the  
21 treatment plan, perform a timeout to ensure all elements of treatment are in  
22 accordance with the written directive. Next slide, please?

23 There's a list here of some possible elements that could be  
24 included in a timeout, identifying the patient, the procedure to be performed,



1 the radioactive device to be used, which is not here on the slide, the  
2 radiopharmaceutical, the activity.

3 For the dose, it's a second check of the dosage calculation,  
4 and that the written directive and dosage to be delivered are identical, and  
5 then for some of the other treatments, you know, understanding the units of  
6 activity, especially for low dose rate prostate, the anatomic location, identifying  
7 the treatment plan for the patient correctly, performing second checks, making  
8 sure that the reference length for the HDR catheters and the delivery catheters  
9 are the right lengths so obviously the source gets to the proper location, and  
10 then the implant site for radioactive seed localizations.

11 And then the next slide is just my acronyms, and I guess I'd  
12 be willing to take any questions or if we're doing that at the end, and I just want  
13 to thank the commissioners for allowing me to present this today. Thank you.

14 CHAIR HANSON: Thank you, Dr. Harvey. Yeah, we'll hold  
15 questions to the end, and so next we'll hear from Megan Shober. She's the  
16 ACMUI agreement state representative. She's appeared before the  
17 commission numerous times and this is just her latest role, so Ms. Shober,  
18 over to you.

19 MS. SHOBER: Good morning. This morning, I'm going to  
20 be speaking to you on ACMUI's perspective on Regulation Guide 8.39 which  
21 deals with the release of patients. Next slide, please?

22 Our subcommittee members, I guess I should say the  
23 current incarnation of the committee, has Dr. Jadvar, Mr. Mailman, Ms.  
24 Melissa Martin, and myself. This committee has been in place for a long time,

1 I want to say maybe about six years or so, so we've had a number of  
2 committee alumni as well, but a lot of people have been working on this for a  
3 long time, and our staff resource is Dr. Tapp. Next slide, please?

4 So, just to give a little bit of historical perspective here  
5 regarding Regulatory Guide 8.39 and patient release, the commission has  
6 been involved with this for quite a long time.

7 Back in 2011, the former Chairman Jaczko proposed to staff  
8 to evaluate gaps, whether there were gaps in available data regarding doses  
9 received by the members of the public following patient release of individuals  
10 who have been treated with medical isotopes, and this proposal was due to a  
11 concern about I-131 patients being released from a hospital and going to a  
12 hotel and potentially exposing members of the hotel staff.

13 So, following commission approval, the staff requirements'  
14 memorandum directed NRC staff to evaluate gaps in available data and to  
15 consider a recommendation regarding possibly revisiting the dose  
16 assessment that was used to support the patient release rulemaking.

17 So, then in 2012, staff identified two potential gaps in the  
18 patient release data, and the commission directed the staff to revisit patient  
19 release calculations and also to conduct additional analytical and empirical  
20 data collection regarding that, patients traveling to a hotel.

21 So, after that research was completed and the information  
22 provided back to the commission, the commission directed NRC staff to  
23 complete four tasks that were associated with I-131 patient instructions. One  
24 of those tasks was to update Regulatory Guide 8.39 to specify guidelines for

1 that patient information and instructions.

2 So, in 2018, the staff submitted a SECY paper and  
3 communicated to the commission that based on the research done in  
4 response to those 2012 and 2014 papers, that a more comprehensive update  
5 to Regulatory Guide 8.39 was warranted.

6 The original request from the commission regarding patient  
7 release instructions began phase one of the Regulatory Guide 8.39 update,  
8 and the more comprehensive dosimetric update became what we now call  
9 phase two. So, next slide, please?

10 So, originally, Regulatory Guide 8.39 was released in April  
11 of 1997, and that was following a rule change to 10 CFR 35.75, which allowed  
12 the release of patients administered radioactive material on a solely dose-  
13 based criteria.

14 So, since that time, there have been several challenges to  
15 the appropriateness of the release criteria and the associated precautions that  
16 are required to minimize radiation exposure to other individuals from the  
17 released patient.

18 So, Regulatory Guide 8.39 was being revised in two phases.  
19 The first phase of the revision updated the patient release guidance, patient  
20 instructions, and instructions and recordkeeping related to breastfeeding for  
21 nursing infants.

22 So, the initial proposed revision one to this regulatory guide  
23 was issued in March 2019 and was reviewed by this ACMUI subcommittee.  
24 The subcommittee then subsequently provided comments on the final draft

1 phase one revision which was issued in December of 2019, and the NRC  
2 subsequently published revision one in April of 2020.

3 So, in the phase two revision, the dosimetric equations, the  
4 methodologies, and the tables used to calculate dose to members of the public  
5 are being updated. So, NRC staff provided the ACMUI with the draft  
6 proposed revision two back in August of 2021. Next slide, please?

7 So, the phase two revision, it overhauled the methodology  
8 that was used to calculate bystander dose. It significantly lowered the release  
9 thresholds based on administered activity and dose rate by making some  
10 more conservative assumptions in certain places, and then again provided  
11 additional updates to the breastfeeding interruption times. Next slide, please?

12 So, again for the timeline for this phase two revision, which  
13 is where we still are with this regulatory guide, in August of 2021, the ACMUI  
14 subcommittee received the draft proposed revision two, and then we held a  
15 public teleconference in December of 2021 and provided recommendations  
16 on that draft document, and then the NRC staff formally responded to our  
17 comments last summer in July. And I just put up there as a note that this draft  
18 proposed revision two was released for public comment last year in April. Next  
19 slide, please?

20 Okay, so the ACMUI subcommittee had a number of  
21 comments on the draft proposed revision two. We have strongly encouraged  
22 many times over the years that this regulatory guide focus on the external  
23 dose contribution to bystanders.

24 That has been shown in a number of studies to be the

1 predominant pathway for dose to bystanders from released patients, and so  
2 we have also recommended that the regulatory guide consequently de-  
3 emphasize contamination concerns associated with released patients as,  
4 again, that has been shown to not influence the bystander dose nearly as  
5 much as the external dose.

6 The subcommittee did raise some concerns with the  
7 significant reduction in patient specific, the threshold at which patient-specific  
8 release calculations are required. Again, this relies on several places where  
9 these calculations assume 100 percent occupancy for a number of hours  
10 following the patient administration, and of course, 100 percent occupancy is  
11 as conservative as you can get with that and not represent -- it doesn't really  
12 represent any kind of reasonable occupancy scenario.

13 One of the other concerns that the subcommittee had with  
14 the proposed draft revision two for Regulatory Guide 8.39, there is a series in  
15 the appendices, a series of examples that licensees can use to base their  
16 patient release calculations on.

17 However, there isn't an example for the most common  
18 release example, and so we did recommend that this appendix be revised to  
19 provide a calculation for that most common situation, which is a patient  
20 returning directly home. Next slide, please?

21 One of our, I guess, approach or philosophical concerns  
22 with the change to the methodology is that it does require licensees to have  
23 an unrealistic knowledge of patient behavior following release and, you know,  
24 even in very short time increments.

1                   So, you know, at like this number of hours after treatment,  
2                   what is the occupancy going to be? And then at this next segment of a couple  
3                   of hours, what is the occupancy going to be, and kind of repeating on its way  
4                   out.

5                   And so, ACMUI did recommend that considerations should  
6                   be given in the development of this model to provide some simplifying  
7                   assumptions that can be used in common occupancy scenarios. So, for  
8                   example, if the patient is unable or unwilling to follow instructions, that would  
9                   have an occupancy value associated with it.

10                  If the patient will be living alone, but will have potential  
11                  contact, there would be a default value that's associated with it. That would  
12                  simplify what the licensee needs to know about each of these specific time  
13                  increments.

14                  And then the ACMUI also recommended eliminating two of  
15                  the four variables that went into the modeling as they didn't have as much  
16                  impact on the final, as you do the math, it had much less of an impact. Next  
17                  slide, please? Oh, wait, one more point about that. We, the ACMUI did not  
18                  support two sections of the draft regulatory guide, the sections called release  
19                  of patient after a hold time.

20                  The concept was you hold the patient and allow for some  
21                  biological elimination and physical decay, and then you start your calculation,  
22                  you know, like four hours in, and that, the subcommittee felt that this just, this  
23                  isn't how hospitals operate and they're going to choose another calculation  
24                  route, so we did not see that section as value added for this calculation

1 process.

2 The ACMUI also did not support the section called materials  
3 separated from the patient, and that was if radioactive material becomes  
4 separated from the patient, the language was written such that that material  
5 was then subject to the public dose limits in 10 CFR 20, which has a 100  
6 millirem dose limit, which is, again, 20 percent of the 500 millirem patient  
7 release dose limit.

8 And so, in our discussions, how does this release the  
9 patient with the radioactive material, and now at some point in the future, that  
10 separated material would then be subject to a lower dose rate, and how does  
11 the licensee know about this? It didn't appear under their control to be able to  
12 manage that and the patient's already been released. So, as just like a  
13 structure for, a regulatory structure for that, we struggled to see how that could  
14 actually be implemented by licensees. Next slide, please?

15 So, at this point, the NRC staff continued to be working on  
16 what we assume will be a draft final version of this phase two of Regulatory  
17 Guide 8.39. When the staff has that document ready, the ACMUI  
18 subcommittee will, of course, take a look at that draft revision two and provide  
19 recommendations on that document.

20 So, we thank the staff for the time that they are putting into  
21 this. We know that the impact of patient release guidance is, it's much more  
22 widespread than perhaps some of the NRC's guidance documents, and so we  
23 do appreciate the effort that is being put into this. And with that, I will turn it  
24 back to you, Chairman Hanson.

1 CHAIR HANSON: Thank you, Ms. Shober, very much for  
2 your presentation. And last, we'll hear from Mr. Josh Mailman. He's the  
3 patients' rights advocate on the committee. Mr. Mailman?

4 MR. MAILMAN: Thank you. Thank you, commissioners,  
5 for your time. Thank Megan for giving us such a great history of Reg 8.39. I  
6 know I joined into the committee about 2022, and so looking at all of the back  
7 history going back to 2011 has really been quite impressive and quite a  
8 reminder of what we've gone through to get there. The first slide or next slide,  
9 whichever?

10 So, that's me. We know what I'm talking about. Next slide?  
11 This is the agenda. We'll talk about the extravasation work that we've been  
12 doing over time, as well as a little bit more on Reg Guide 8.39 from a patient's  
13 perspective. Next slide?

14 Okay, so we're going to talk about extravasations. Next  
15 slide, please? So, what's changed since the last time we sat here in  
16 December of 2022? Really, we haven't had much of an update since the last  
17 meeting or the last vote.

18 The current proposed guidance is to have patients be part  
19 of the solution by learning how to report these as well. One of the things that  
20 I've found in talking in patient forums widely is that most of them don't know  
21 what an extravasation is, so we're going to be educating from ground zero  
22 because there just isn't that knowledge.

23 In public and private forums, I've probably talked to over  
24 1,000 patients who've had either gallium, some type of gallium or copper scan,



1 or their FDG scans, or the current available FDA-approved therapies. So,  
2 education needs to be done.

3 This was driven home to me by Mr. Einberg when I came  
4 visiting earlier, sorry about that, earlier in March where how do we educate  
5 patients? What do we tell them? How do we keep them from being fearful of  
6 a nuclear medicine procedure, but still educate them so they can report  
7 correctly?

8 When I think about the education, I think we need to be able  
9 to be precise about what the impact to safety and efficacy is, and that's where  
10 I feel that there's other data that we haven't used as a resource to get there,  
11 and I will discuss that in a second as well, that will, I think, help us with the  
12 educational aspect. Next slide, please?

13 All right, so are patients discussing this? Clearly, some  
14 patient groups are. For me, I'm a neuroendocrine tumor as I said. I've worked  
15 and talked to thousands of patients on this topic. The issue has rarely, if ever,  
16 come up in a patient forum that I've been a part of.

17 But these are the types of things that come up in a patient  
18 forum. How does this imaging work? How long do I need to be off my long-  
19 acting therapies before I can do this type of imaging or this type of therapy?  
20 How do I deal with side effects? And this pertains mostly with the therapy.

21 I re-looked at the FDA label for Lu-177 dotatate. There is  
22 no mention of extravasation and injection site issues on the label at all, figuring  
23 the FDA also had phase three data to look at which included dosimetry. We'll  
24 get into that as well.

1                   Pertaining to Reg 8.39, how do I travel home safely? Big  
2                   questions about sitting across each other in a car and taking public  
3                   transportation, and again, other things that pertain to 8.39. How do I stay  
4                   around loved ones, partners? And yes, animals, but one day we'll get to  
5                   animals. Next slide, please?

6                   And I should say while I said I've been in neuroendocrine  
7                   tumor meetings and various places where radioligand therapy has been  
8                   discussed, I understand that there are multiple viewpoints to this. So, two  
9                   things that I have done in both public and private forums, medical meetings,  
10                  patient meetings, is encourage those who have an opinion or those who want  
11                  to make, you know, at least comment on the topic to use the available public  
12                  comment periods to actually inform staff.

13                  When people talk to me about what their concerns are, I'm  
14                  somewhat, well, I am in listen-only mode, and I've said that the only way that  
15                  we can make this transparent to both the staff, the ACMUI, and the  
16                  commissioners is to actually use the public comment period and to make sure  
17                  that their feelings are relegated into that. Even when we were at a patient  
18                  meeting, I had a representative of the NRC talk about how to make public  
19                  comments because I think it's that important that people do.

20                  I also feel it's important to listen to different views, so I have  
21                  actually attended webinars by groups that certainly support the petitioners'  
22                  comments, so I've attended webinars as well. Can I see what slide we're on,  
23                  please?

24                  Okay, so we have a lot of conflicting data here, right? We

1 have one piece of data that says, from the petitioner, and then I've looked at  
2 some of the websites in the last few days again that this happens one out of  
3 every 30 times that there's a medical event or a significant extravasation that  
4 could lead to a medical event one out of every 30 times.

5 We've seen articles published in the JNM that will go to one  
6 in 36,000 or three in 36,000, about one in every 12,000 of imaging, and there's  
7 a, sorry about that, there's a large difference between one in 30 and one in  
8 12,000, and if we've giving education and trying to give education to patients,  
9 giving the education on one side versus the other would be problematic.

10 We have had three different phase three trials that have  
11 been completed in the United States since the time this has happened. That  
12 would be NETTER-1, NETTER-2, the Vision Trial that all included dosimetry  
13 that might have and should have picked up this as part of the FDA process for  
14 approving a drug, and if anything rose above two percent, it should be on the  
15 label.

16 I also have a belief in the free market, and I think if any of  
17 the pharmaceutical companies that were doing these things, especially in  
18 competitive spaces like prostate cancer, if it was happening at a rate of one of  
19 30, it would be in their best interest to figure out how to make sure that it  
20 doesn't happen so the efficacy of their drug could be higher than whatever  
21 drug is coming after them, and as of yet, I have not seen any of the  
22 pharmaceuticals use this or submit data to use this with the FDA.

23 And the last point I wanted to make is many centers since  
24 both PSMA, Lu-177, and dotatate Lu-177 are doing three, four, or three to

1 four-hour post-therapy scans, and these are images with the arms in place,  
2 where you can actually see if there's an extravasation and what the rate is.

3 There are several centers that are doing this. Since the  
4 time, early March, when I had the discussion, I've contacted seven centers  
5 around the world that do this routinely, so I know there's data there.

6 And while I won't say it's one in 30 because it's not, it's not  
7 one in 12,000 either. Extravasations do happen. They mostly clear very  
8 quickly and there would fall way below what the reporting rate is to be on the  
9 label for an FDA, but I think I'm not the one to do that trial.

10 I'm not the one to do the prospective trial, but I believe there  
11 are many centers around the country that would do that, that would be able to  
12 do that. So, I would implore staff to work on that so we have the right number  
13 to educate patients with on what the likelihood is because patients in general  
14 like transparency, like to know what they're facing.

15 We're facing a lot of -- you know, either Pluvicto or Lu-177  
16 dotatate, sorry for mentioning brand names, you know, there's a lot of side  
17 effects that can lead to -- there's a two percent chance in using dotatate that  
18 you could end up with MDS. These are serious concerns.

19 We're used to seeing serious concerns, but we need to  
20 know what the likelihood is, what we can do about -- not what we can do about  
21 it, but what to prepare for, and having something between a three percent and  
22 a one-tenth of a percent is hugely important. Next slide, please?

23 Yeah, so that was the end of my comments on the  
24 extravasation issues. I do believe there is education that can be done, but I

1 think we also need to have proper data that we base this off of, and I think we  
2 can do prospective studies certainly on the therapy side that would better  
3 guide our education.

4 All right, on this slide that we're on right now, which next  
5 slide, please? I think Megan has given us a wonderful overview of all the  
6 activity that has gone on with 8.39. Sorry.

7 In general, the patient community has been somewhat hard  
8 to engage in this even though we have discussions or we try to have  
9 discussions about this, but they don't know about Reg 8.39, but they do know  
10 about things that are in Reg 8.39, and I'll go on to explain that. Next slide?

11 So, yes, for the most part, patients and referring oncologists  
12 have really very little insight on what Reg 8.39 is. This is much more of  
13 something that the nuclear medicine community can cite verse, or chapter and  
14 verse, but in general, actually everything we discuss is included in Reg 8.39,  
15 whether or not patients know what it's called or where it came from.

16 You know, the big issues which I mentioned before in the  
17 extravasation conversation for patients is how do I travel home safely? What  
18 precautions do I take around partners, children, and animals? This is what  
19 patients talk about.

20 The other big thing that they talk about is why do centers  
21 across the country and across the world, in forums that, we'll talk about this,  
22 across the world, have different center instructions? And this is very confusing  
23 to what now is a global conversation.

24 You know, do the examples that we do take into account

1 the different isotopes that we use and potentially even the different ligands  
2 that we use? I don't know how that will affect any of this. I did, in my brief  
3 viewings with extravasation, notes that at some centers, the same isotope had  
4 different issues with extravasations whether it was coupled with a different  
5 radioligand, and so it's not just the radioligand, I mean just the isotope. Lu-  
6 177 might behave differently depending on what you bind it with, and so is this  
7 is a per-drug or is this a per-isotope consideration? Can you show the slides  
8 again?

9 So, for instance, we're going to see, and we've had  
10 conversations by Dr. Jadvar before on the new isotopes that are coming down  
11 the pike that are under clinical trials, do they behave the same way? Can you  
12 sweat out radioactivity with an alpha particle, a PSMA alpha particle, or does  
13 it only appear in urine?

14 That would change how that release criteria goes for that  
15 particular combination of a radioisotope. And I think, next slide? And with  
16 that, we have three minutes left to give back to the commission. Thank you.

17 CHAIR HANSON: Thank you, Mr. Mailman. Thank you all  
18 for your presentations. We'll begin questions this morning with Commissioner  
19 Wright.

20 COMMISSIONER WRIGHT: Thank you, Chair. And again,  
21 good morning. It's good to see some of you again, and hope everybody's  
22 doing well. And I really do appreciate your presentations this morning.

23 I look forward to this. I wish we did it a little more often, but  
24 we get there. And then when I go to the CRCP meetings, and things like that

1 that are out there, even the agreement state meetings you do here,  
2 presentations on some of this too, so I'm trying to do my best to keep up.  
3 Right? This is a fast changing area.

4 And thank each of you for what you do outside of this  
5 Committee, because your work is very important to patients and to families,  
6 and just people in general. So, thank you for that.

7 I'm going to go ahead and dive in, in the ten minutes that  
8 I've got here.

9 Dr. Jadvar, since you've been a member here at ACMUI,  
10 what, several years now, right? I'd like to hear your thoughts maybe on the  
11 future of the Committee.

12 I mean, all of you, you continue to bring to the Commission  
13 issues that are important and that need our attention, which we thank you for,  
14 but having said that, do you see any issues or challenges on the horizon that  
15 are going to require NRC attention?

16 And if you do, what can we do to, as a Commission, get  
17 better prepared, or help the Committee get better prepared?

18 DR. JADVAR: Thank you very much for that great  
19 question.

20 So, as you mention, I've been on this panel since four-and-  
21 a-half years ago. And I must say, during this time I learned so much from the  
22 regulation kind of side of things, and I also learn from my colleagues on the  
23 ACMUI panel, and many, many things from the NRC staff. So, it's been a  
24 wonderfully illuminating experience.

1                   And I have also seen evolution over these past four years of  
2                   how the fields, both in nuclear medicine and radiation oncology, have evolved.  
3                   Tremendously.

4                   And as already been mentioned in the previous meetings  
5                   and also today, there are many new emerging technologies that are coming  
6                   up and they will be important to be discussed in this Committee, with the NRC  
7                   staff, some of these may pose new issues for the patients, or perhaps issues  
8                   for the regulation. And I think Josh very nicely mentioned some of those.

9                   For example, we don't have an offer in between for PSMA-  
10                  based treatment yet, but I think that will come at some point. And already the  
11                  patients are asking, is PSMA going to go into my sweat.

12                  And this is very interesting that even the patients are  
13                  thinking ahead of what's going on. So, these are the kind of things that this  
14                  Committee can discuss, with all the feedback that is brought back from  
15                  different perspectives, the patients' perspective, the states, and from our  
16                  radiation safety officer representative and others, so that we are kind of a little  
17                  ahead of the game of what to think about and what the issues and challenges  
18                  may be.

19                  Some of the challenges are known, some of it unknown. So,  
20                  we'll kind of have to deal with it as they come in.

21                  But I think we're up to it, and definitely NRC staff is up to it.  
22                  They have done a great job educating us, working with us, and we have  
23                  enjoyed really this working relationship, discussing issues with each other,  
24                  learning from each other, and really trying to do the best we can for the



1 community and for our patients.

2 And in that sense, for example, with the emerging  
3 technologies, which I spoke about twice before in this commission meeting,  
4 for example, NRC took the initiative to hire new staff members in their medical  
5 team to increase the knowledge base that they need to accommodate for that,  
6 which is excellent.

7 I was wondering if at some point, for example, they may  
8 need a physician on their medical team to kind of bring in this clinical context  
9 perhaps, if that's useful. But that may be something in the future that may be  
10 considered.

11 And so, in any case, I'm not sure if I answered your question,  
12 but we have to see as it goes.

13 And I encourage all the members of this Committee, and  
14 also the NRC staff, to bring to this Committee, to our Committee, anything that  
15 they hear, so that we are kind of try to be ahead of the game. And of course,  
16 you are trying to keep the pulse of what's going in the field.

17 COMMISSIONER WRIGHT: Sure. Thank you for that. And  
18 most of you know, myself, I'm the beneficiary of the safe and secure use of  
19 nuclear medicine and nuclear technology. So, I have an interest in this.

20 And Dr. Harvey, I want to focus a little bit on the timeout  
21 stuff. And I don't know, maybe it's hitting me a little wrong, but I got a little bit  
22 concerned about something.

23 I want to be sure that I understand what it is you were  
24 saying. Because if it's not already happening, I've got some concern too.

1                   As a patient, when I went through what I went through, and  
2 my daughter after me, we were very aware of what the procedure was, how it  
3 was going to be done, who was doing it, that we knew the doctors that were  
4 doing it.

5                   We knew what we were supposed to expect, and then we  
6 knew what we were supposed to do after, as a patient and as a caregiver,  
7 right? I knew what I had to do to be responsible.

8                   I had to protect my daughter, myself, and my family. Right?  
9 Because some of them were small. When you talk about the timeout stuff and  
10 this fast changing area, people are new and possibly it's always evolving. So,  
11 training is huge, right? And you mentioned some of these procedures are not  
12 done that often, right? And then people are coming back and forth. They may  
13 do it today and somebody else may do it tomorrow. Right? Which speaks to  
14 training. Right?

15                   And on page 39 you listed a bunch of things that you  
16 thought -- possible elements of the timeout. And I was going down the list of  
17 them and I'm like, are these lists not there now?

18                   I mean, if it's a procedure that is not done that often, is there  
19 not written guidance on how to go about it from the authorized user, or from  
20 the doctor himself who's the one responsible for making sure his patient gets  
21 a dose the right way.

22                   I mean, was I hearing you right about that? Can you explain  
23 a little bit more to me, or allay my fears of what I think I heard?

24                   DR. HARVEY: Thank you very much, Commissioner

1 Wright.

2 Those elements and those things are managed, and  
3 managed effectively, by most organizations.

4 The use of a timeout is another practice that can be  
5 employed or used in addition to what is -- those aspects are already covered.  
6 But taking another timeout or a pause, another time to re-look at all those  
7 issues right before you're about to administer the radiopharmaceutical, as  
8 another cross-check, or double-check, or triple-check, depending on how  
9 many you do, can be an effective way in some of the procedures, to help  
10 prevent some of the medical events.

11 Some organizations may do all that stuff very effectively  
12 without a timeout. But when we see medical events occur, we think that a  
13 timeout could be a useful avenue, a useful thing that somebody can do to help.

14 And I applaud you and your family for being excellent  
15 stewards of your own care. And I think that's very, very important. And  
16 certainly, not everybody is quite as good a steward as you and your family  
17 have been.

18 COMMISSIONER WRIGHT: So, to address that, is this  
19 something that needs to be more deeply addressed within the NRC, or is it  
20 something that the medical industry has to take a deeper dive into themselves,  
21 or is it a combination? And I see Ms. Shober's hand going up too, so I'm going  
22 to let her speak too.

23 DR. HARVEY: Yes, I can't wait to hear what Ms. Shober  
24 has to share with us because she is so insightful.

1 I think the use of a timeout is something that is very useful.  
2 I don't think it should be regulatory mandated, because it's not always needed  
3 by organizations, but I think it's a tool that can be very useful, that can be  
4 added in certain situations.

5 If you look at the Yttrium-90 Microsphere, which is relatively  
6 complicated with the delivery device and all the things that go into that, it's a  
7 really nice opportunity.

8 And as we see, some of the modalities, some of the areas,  
9 they're relatively flat. And the numbers of measurements are relatively low.

10 But at least to me, the Microsphere procedures are a little  
11 bit high. And I think there's a real opportunity there to maybe use a timeout.

12 I would hate to mandate it for everything, because many  
13 people are doing this effectively.

14 COMMISSIONER WRIGHT: A generic communication of  
15 some kind, maybe?

16 DR. HARVEY: Yeah, there's a number of communications,  
17 checklists, there's a written directive, of course, which outlines route of  
18 administration, the activity, your two-method identification, the authorized  
19 users verifying all this, your routes of administration, the prescribed dose, the  
20 actual dose.

21 So, these things are being covered and being taken into  
22 account to protect the patient and deliver high-quality care.

23 But the use of a timeout can be that second cross-check, or  
24 another cross-check, to maybe help enhance that process if someone's

1 having trouble, and maybe having some medical events.

2 COMMISSIONER WRIGHT: Thank you. And if you would  
3 allow for Ms. Shober.

4 MS. SHOBER: I just wanted to add that the NRC did issue  
5 an information notice on these timeouts.

6 I want to say with 2020 or 2021, that was coming out of  
7 some of this original work that ACMUI Medical Events Subcommittee put forth.  
8 So, we have done that recently.

9 COMMISSIONER WRIGHT: Okay, thank you so much.

10 MS. SHOBER: You, the NRC, has done recently.

11 COMMISSIONER WRIGHT: I didn't do it. And thank you  
12 so much.

13 CHAIR HANSON: Yeah, thank you, Commissioner Wright.  
14 Commissioner Caputo.

15 COMMISSIONER CAPUTO: Thank you for presenting to  
16 us today, thank you for being here, and thank you for your dedication work on  
17 the Committee in service to the Agency and the public. We very much  
18 appreciate the value of your expertise and your remarks here today.

19 I'm going to continue on from my colleague's line of  
20 questioning, to just say Dr. Harvey, you just rattled off a lot of options of  
21 actions. Are those being taken by licensees and doctors that are  
22 administering, or are those other tools that the Agency is using to address the  
23 situation?

24 DR. HARVEY: Thank you, Commissioner Caputo. So,

1 timeout is a well-known practice that is in the medical community in  
2 healthcare. And it's something that's used quite often throughout healthcare.

3 So, it's more driven by the organizations themselves. There  
4 have been notices, as Ms. Shober pointed out, encouraging that as a possible  
5 tool that can help prevent medical events and be useful in the effective  
6 redelivering quality healthcare.

7 COMMISSIONER CAPUTO: Right. So, I'm familiar with the  
8 fact that we did an information notice. I guess my question is, you're  
9 suggesting that a timeout would be effective in further reducing. Does that  
10 mean we need to send another information notice because timeouts aren't  
11 being used enough? Or is there some other, more effective tool that we could  
12 apply here?

13 DR. HARVEY: I think the timeouts are being used  
14 effectively, and if you look at some of the areas, the number of medical events  
15 are low. So, I think they're being used well.

16 I think the area that we may want to be a little bit stronger  
17 with to push something out would be for the microspheres.

18 That's where we're seeing a higher number of medical  
19 events. And I think that might be a valuable tool that could be used.

20 COMMISSIONER CAPUTO: Okay. Well -- and I should  
21 have made a note of this when you were speaking -- but you rattled off how  
22 you believe timeouts have reduced the medical events by various  
23 percentages. And if the percentage is 50 percent, then I guess my question  
24 is, why wasn't it useful in that remaining 50 percent? And is it just that the

1 numbers are so low that it's not really distinguishable?

2 DR. HARVEY: So, the timeouts I believe have been  
3 effective. And the -- trying to figure out how the best way to answer this is --  
4 could you restate your question for me so I don't make a mistake, please?

5 COMMISSIONER CAPUTO: You're saying that the  
6 timeouts are effective, and that they are reducing a significant percentage of  
7 the medical events. But they aren't reducing it by 90 percent.

8 DR. HARVEY: So, the medical events may have been a  
9 useful tool in the percentages cited.

10 COMMISSIONER CAPUTO: Right.

11 DR. HARVEY: So, we don't know if timeouts were used in  
12 those situations or not, because we don't know all the practices and all the  
13 organizations.

14 So, the methodology that we're using comes from Dr. Ennis.  
15 And so, we've continued and carried that forward thus far. And those certain  
16 classifications are areas where we feel -- the subcommittee feels -- that a  
17 timeout could benefit, possibly benefit, in those types of situations, and help  
18 reduce a percentage.

19 COMMISSIONER CAPUTO: So then my question is, do we  
20 need to emphasize timeouts again through another information notice, which  
21 would seem a little redundant, or is there different way for us to communicate  
22 or get at this?

23 DR. HARVEY: I think timeouts, again, are very well-known  
24 and well-used within the organization. If the NRC would think that would be

1 valuable, or if there's other comments from other people, I don't think it would  
2 hurt to push something out and continue that -- promote maybe that use or  
3 that tool going forward.

4 COMMISSIONER CAPUTO: Okay.

5 DR. HARVEY: And then some of the other medical events  
6 were for other reasons that a timeout may have not benefitted. That's why  
7 there was the percentage that we felt would have benefitted from a timeout.

8 COMMISSIONER CAPUTO: Okay. All right, thank you.

9 DR. HARVEY: So, I hope I answered all your questions.  
10 Thank you.

11 COMMISSIONER CAPUTO: Thank you. Dr. Jadvar,  
12 obviously there have been concerns raised about the draft guidance started  
13 shifting gear to Reg. Guide 8.39.

14 Concerns with the draft guidance requiring a medical  
15 practitioner to perform an unrealistic and complex patient-specific calculations  
16 for patient release.

17 So, letters also express that the revised draft guidance could  
18 potentially curtail access to valuable treatments. Do you have a perspective  
19 on that? Is there really a risk of curtailing patient access based on the nature  
20 of this Reg. Guide?

21 DR. JADVAR: I think Ms. Shober presented very nicely on  
22 that account. But I think yes, if this has to be done on a patient basis in a very  
23 complex manner using mathematical models, and the potential whole time for  
24 patients and complicated procedures, that may affect the workflow in the



1 hospitals or clinics that cater to these patients, may in fact make the whole  
2 process more confusing, both for the people who are applying these  
3 treatments to the patients, and also to the patients who are going to follow  
4 them.

5 There may be additional resources needed to do all of that.  
6 Not every place has a medical physicist, for example, or the tools needed to  
7 do these complex model calculations.

8 And I'm not sure even if the benefit is there for doing all of  
9 that. And in an indirect way, it may cause an issue with availability and access  
10 to these treatments. That's what concern I think you're bringing up.

11 COMMISSIONER CAPUTO: All right, well, I'm going to  
12 keep going here. Did the subcommittee review data indicating members of  
13 the public are being exposed to external dose by patients that have been  
14 treated?

15 So, do we have data that can validate that this is a  
16 significant safety concern, or is it a postulated safety concern that we are  
17 modeling?

18 DR. JADVAR: To my knowledge, it's postulation, not actual  
19 data. But Ms. Shober may know better on that.

20 MS. SHOBER: I believe in one of the original asks from the  
21 Commission in 2012, asked NRC staff to do a study that included collection of  
22 data in some limited cases for a really silent 31 patients, but I don't know if  
23 anyone on the staff can speak to that. But I do believe there was --

24 COMMISSIONER CAPUTO: But was that data on patient

1 behavior, or --

2 MS. SHOBER: It was specific to the hotel situation, with  
3 patients released to the hotel.

4 COMMISSIONER CAPUTO: Oh. Okay.

5 MS. SHOBER: Like a subset of patient behavior.

6 COMMISSIONER CAPUTO: Okay. We have a document  
7 here that says the staff concluded that a hotel cleaning staff person would  
8 need to clean approximately 670 rooms of newly released thyroid cancer  
9 patients, to exceed the 100 millirem standard.

10 MS. SHOBER: Right. So, there was a claim, and then I  
11 believe that they did that assessment and came up with that number. The  
12 670 patient number. I believe that was part of what the Commission had  
13 asked the staff to do.

14 COMMISSIONER CAPUTO: Okay. So, since we're talking  
15 about personal experience, having been a hotel cleaning staff person, if every  
16 single room that was cleaned was a newly released thyroid patient, it would  
17 take at least two years to clean that many rooms, to trigger that dose.

18 So, that seems overly conservative, if that's the kind of data  
19 that we're using to base the Reg. Guide on. Which, I guess, gives me a  
20 concern of the costs and burden associated with this revision of the Reg.  
21 Guide actually justified, compared to the safety benefits.

22 MS. SHOBER: I agree with your statement. And I also  
23 believe that subsequent to that initial research, that it was identified that the  
24 greatest potential for exposure to bystander would have happened in the

1 public transportation part of the patient going to whatever destination they  
2 were going to.

3 So, I believe that that public transit situation came on the  
4 heels of -- the original request was for hotels. And then the public transit  
5 situation came on the heels of that, and that's where the possible -- that was  
6 the situation where possibly the existing methodology was in some situations  
7 underestimating dose.

8 COMMISSIONER CAPUTO: Okay. If the Commission  
9 would humor me one last bit, Dr. Jadvar, do you believe the staff is responding  
10 adequately to the concerns that ACMUI is raising on this topic?

11 DR. JADVAR: I believe so. And I think that's why we're in  
12 the revision and trying to work with them.

13 As Megan already mentioned, some of the appendices were  
14 quite complex and complicated, and not really addressing, we felt, not really  
15 addressing the comments and areas that we face in our practice.

16 And I think it's going to back and revamped and looked at  
17 again. And I think that they are definitely listening, and we are communicating  
18 very well with each other.

19 COMMISSIONER CAPUTO: Okay. Because from my read  
20 of the response back to the Committee from July of 2023, it looks like the bulk  
21 of ACMUI's comments were not accepted. So, I guess if you are comfortable  
22 with where things are headed, then that gives me a source of comfort. Thank  
23 you.

24 DR. JADVAR: Thank you.

1 CHAIR HANSON: Thank you, Commissioner Caputo.  
2 Commissioner Crowell, are you still out there with us?

3 COMMISSIONER CROWELL: I think I am. Can you hear  
4 me and see me okay?

5 CHAIR HANSON: Yes, we can. Thank you.

6 COMMISSIONER CROWELL: Okay, great. Thank you,  
7 Mr. Chair. Thank you to all the panelists as well for being here today and  
8 providing the information. Always informative.

9 And thank you to the Commission for indulging me joining  
10 remotely today. My family and I went to Ohio to see the solar eclipse and  
11 we're slowly making our way back.

12 So, I'm joining you from a hotel outside of Columbus, Ohio,  
13 and it's just me and my dog in the room.

14 So, I'm going to start with a question related to veterinary  
15 practice, and then I'm going to move to some of the other topics that my  
16 colleagues raised.

17 So, for starters -- this is a new element of this topic that I  
18 hadn't thought about before -- but are there similar things in the patient-  
19 release requirements that are anticipated to be applied to veterinary  
20 practices? There didn't appear to be so previously, but sure, a pet could have  
21 some sort of procedure using radiological and liquid medicine, and if they're  
22 coming back to a home with a vulnerable family member or a young child, or  
23 a pregnant or breastfeeding mother, I supposed that could be a problem.

24 So, just give for a second, either Dr. Jadvar or Ms. Shober,

1 just tell me what you're thinking of with that narrow space on this.

2 MS. SHOBER: So, I'll start by saying that yes, that question  
3 has definitely come up.

4 When veterinary patients are released, there is no  
5 veterinary equivalent of 10 C.F.R. 35.75. So, those released animal patients  
6 are limited to a 100 millirem dose to people and their household. So,  
7 veterinary patients are subject to a lower dose from 10 C.F.R. 20.

8 COMMISSIONER CROWELL: And that's because the risk  
9 to humans that share a home with a pet is less through a veterinary vector?  
10 Or why is that? I don't know if a dose, an animal would receive is so much --  
11 a typical household animal -- is so much less that it's not as much of a concern  
12 at the home. Is that the idea here? Is that what you're saying?

13 MS. SHOBER: So, the release limit in 10 C.F.R. 35.75 is  
14 specific to human medical ESA material. So, they are given a higher  
15 threshold. But the veterinary animals are subject to a lower dose limit,  
16 100 millirem. And that's the same for any other, like, dose from any licensed  
17 activities -- 100 millirem.

18 COMMISSIONER CROWELL: I think I follow you.

19 MR. MAILMAN: We had a presentation at our last ACMUI  
20 meeting on veterinary release and how it was different than, or regulated  
21 differently than -- and not necessarily this group, I can't remember who gave  
22 the presentation.

23 MS. SHOBER: And part of the issue is that we can argue  
24 about how well or not humans will follow release instructions. But pets are

1 less able to follow release instructions.

2 COMMISSIONER CROWELL: Exactly. Okay, well,  
3 perhaps a topic for further discussion. Moving on, Dr. Harvey or whomever  
4 wants to take this, you mentioned that the increase in medical events  
5 associated with new radiopharmaceuticals has been seen.

6 Can you give me a sense of the trend here? Is it increased  
7 in number but the same statistically, or is the statistical trend increasing as  
8 well, in terms of medical events? Just want to get a sense what that looks like  
9 now, and if you see it going in one direction or the other moving forward?

10 DR. HARVEY: Yes, Commissioner. So, what we've seen  
11 in the absolute number has increased. But we don't really have a good handle  
12 on the denominator. We don't know how many procedures are being done.

13 What was indicated yesterday from NRC staff is because of  
14 the increasing number and volume of procedures, the actual incidents or an  
15 occurrence is actually decreasing, because the denominator is getting larger.

16 So, it's flat to a relative decrease, per the information that  
17 we were supplied with yesterday.

18 COMMISSIONER CROWELL: Okay. Understood, thank  
19 you. I'm going to delve into some places that we've talked about a bit here,  
20 including timeouts.

21 But before I do that, let me just say I appreciate the delicate  
22 balance of all of this that everyone involved in this topic take.

23 It's important for all of you in the medical field to make sure  
24 that as many patients are getting access and benefitting from available care,

1 at the same time minimizing any impacts on families or others from that care.

2 And then the NRC has got a balance here too, in terms of  
3 its regulatory jurisdiction and making sure that we're putting up appropriate  
4 safeguards but not a strain into what we consider the practice of medicine.

5 And so, there's some balance here that I think we all  
6 appreciate. And I think striking that balance comes down to good education  
7 and communication on all sides through this process.

8 And to delve into timeouts a little bit more, can someone just  
9 give me a little bit more of a layman's walkthrough of how a timeout works? Is  
10 it initiated by the provider? Is the patient aware that a timeout exists, so to  
11 speak, and could say, hey, we've taken a timeout, can we look at the checklist  
12 again?

13 Just how does a timeout happen, in practical terms, for the  
14 experience?

15 DR. HARVEY: Thank you for your question. So, usually the  
16 provider will take a pause and stop before the procedure moves forward with  
17 the administration of the radiopharmaceutical or radioactive treatment.

18 So, that is initiated typically by the provider. And they will  
19 go through the parameters that they feel are very important to the  
20 administration of the radioactive procedure.

21 COMMISSIONER CROWELL: Okay.

22 DR. HARVEY: Does that sufficiently answer your  
23 question? I'm sorry.

24 COMMISSIONER CROWELL: Yeah, I think so. Is a patient

1 generally aware of that as part of the process? I guess what I'm getting at is  
2 if you see a discrepancy in how well patients are educated -- probably in rural  
3 health centers, versus more urban settings that do these practices more  
4 often -- is there also a delta in how well patients are educated about what the  
5 procedure's going to have, what the impacts could be to themselves  
6 afterwards to their friends and families, etc.? Like, how confident are you that  
7 patients understand their side of the equation?

8 DR. HARVEY: Commissioner, Dr. Angle's going to take this  
9 question from the podium. Thank you.

10 COMMISSIONER CROWELL: Sure.

11 DR. ANGLE: Hi, this is John Angle, a consultant to the  
12 ACMUI.

13 So, I want to differentiate a timeout from a checklist. I think  
14 it's important you know a Joint Commission, the accreditation of a hospital, is  
15 defined to 30 years ago the concept of a timeout before any type of procedure  
16 or operation.

17 And this is where we make sure doing the right patient, right  
18 procedure, right side, these changes have been proven over and over again  
19 make a huge difference in all manners of procedures and operations.

20 And then really about fifteen years ago, along came this  
21 concept of doing checklists. And maybe some of Atul Gawande's book,  
22 Checklist Manifesto, and this book really shook up our medical field quite a  
23 bit. And so, now the concept of checklists I think is very applicable to a lot of  
24 procedures.



1                   And so, all of the operative timeout is very well-established.  
2           If the patient's awake, it involves making sure they understand the nature of  
3           the procedure. That, of course, has happened during the consent process,  
4           and also visits beforehand.

5                   But it's for everybody in the room, including the patients,  
6           involved in discussion. Are we doing the right procedure, the right time and  
7           the right side, etc.?

8                   And then the evolution of these checklists is, I think, very  
9           applicable to these procedures that we're talking about here. Because there  
10          are a lot of steps where you can't step back. You got to get it right the first  
11          time. And so, checklists make a lot of sense for this.

12                  I personally don't think that is the realm of the NRC. I think  
13          that this has to come from societies, and has to be led by doctors who are  
14          doing the research to find out what steps are key, how do we educate  
15          everyone in the room about what steps are important and when to call them  
16          out.

17                  So, I think there's a lot of opportunity there, a lot of work to  
18          be done. I think for years, of course, physicians all have a very high sense of  
19          responsibility, and go through all these steps in their mind.

20                  Or maybe some senders are already doing checklists, and  
21          so there's not -- and we hope this will get rolled out in a more general fashion  
22          in the future.

23                  But in the meantime, I think it's very much a physician-  
24          patient relationship to make sure that patient understands the risks and the

1 potential side effects. And that has always been true, but I think we've got an  
2 opportunity to do more in the future.

3 COMMISSIONER CROWELL: Thank you.

4 DR. JADVAR: May I add to also something? Excellent  
5 comments by Dr. Angle. I just say as a patient myself, when I was a patient  
6 doing the consent form, when I was in the OR I was lying on the bed, and the  
7 surgeon comes in.

8 So, he asks my name again, and then said, this is what  
9 we're doing, right? And then said, yes, which side? And I said, the left side.  
10 He said, yes, we're going to do the left side.

11 So, these are kind of things that -- the checklist, or making  
12 sure that the patient is involved. And I think that's the best practice, to make  
13 sure that they understand what we're doing, and they confirm what's going to  
14 happen to them.

15 MR. MAILMAN: And obviously, this is not just a nuclear  
16 medicine issue. I recently had a biopsy, and I was actually surprised. I thought  
17 when the word timeout came in whenever I was doing anything, that we were  
18 going into some sports performance.

19 But in fact, this physician said to all of her staff, we're going  
20 to do a timeout, and now let's look over what we're doing, and let's go through  
21 all of it and make sure.

22 And I was still awake and they asked me questions on top  
23 of making sure all the staff that was in the room understood where they were,  
24 what they did, and reported back.

1                   But it was a break. It was, like, a seven-second break until  
2 they started the procedures of what they wanted to do a timeout about, and I  
3 felt much better now, knowing what a timeout meant in medical world.

4                   We don't get taught that timeouts are something to listen for.  
5 So, I may have been ignoring them up to then.

6                   COMMISSIONER CROWELL: And I appreciate all of those  
7 comments and examples, because all of us collectively are a relatively  
8 sophisticated group and we even learn something through it.

9                   But for an average person, they may easily understand the  
10 difference between which leg is going to be operated on, but administering a  
11 cancer treatment's a little bit more complicated. And along those lines, I'm  
12 wondering if the statistics on reportable events has teethered out at all whether  
13 these are happening more in areas that are socioeconomically disadvantaged,  
14 versus other areas? Is there any insights into kind of geographically and  
15 socioeconomically, where these events are happening most or increasing  
16 most?

17                  DR. HARVEY: I have no real comment on that. I have no  
18 data or anything I can justify. I don't know. I'd open it up to anyone else in  
19 the group that may be able to provide more insights than myself.

20                  DR. JADVAR: Well, I don't have any specific data. But just  
21 from my own professional life, when I was a medical student there were  
22 situations. I was in centers that they were less-advantaged, socioeconomic  
23 patients, and obviously you get the consent form, you tell them what's going  
24 to happen.

1                   Sometimes they listen, sometimes they don't. Sometimes  
2 they don't understand exactly what is going on. But it's really on the provider  
3 or the physician to make sure that they can try to tell them the best they can  
4 what is happening to them and what the procedure is, what they're going to  
5 do, what they're going to go through, what they're going to experience, all that  
6 stuff.

7                   But then at other hospitals or other situations I've been,  
8 where there are more educated patients, they really want to be involved. They  
9 ask questions -- very good questions, very detailed questions, of what exactly  
10 is going to happen to them, and what to expect and all that.

11                   So, it's both ways. But as far as hard data, I don't know.

12                   COMMISSIONER CROWELL: I appreciate that and I  
13 understand it may not exist today. And maybe it's something we want to see  
14 we can teethered out later.

15                   My gut says that some of these concerns we've discussed  
16 today are perhaps more acute or predictable in rural or de-served areas. So,  
17 it's something to think about.

18                   And I've been alerted that I'm over my time and I didn't have  
19 a clock on my end, so I apologize for that. No more questions. Thank you,  
20 Mr. Chair.

21                   CHAIR HANSON: No problem at all, Commissioner  
22 Crowell. Thank you all very much. I am, of course, last, and so I'm in the  
23 unenviable position of picking up a lot of threads that I think my colleagues  
24 have laid. So, I'll start with this one.

1 Dr. Harvey, just picking up on something Commissioner  
2 Crowell had mentioned, trying to get a hold on the denominator, which I think  
3 is, as you mentioned, difficult because the number of procedures is increasing.

4 But can you just kind of give us a rough order of magnitude?  
5 I mean, we're talking about medical events here that are -- maybe if I add up  
6 all of the kind of reportable medical events, we're talking about 100, or maybe  
7 200.

8 But what's the scale of the denominator in that equation?  
9 Are we talking about 10,000? Are we talking about 100,000? Fifty thousand?

10 DR. HARVEY: Honestly, I have a good answer for you.  
11 We're not really privy to some of that information. We had some conversations  
12 about, like, the Yttrium-90 Microsphere yesterday, and, like, the  
13 manufacturers knowing how many, like, vials they may have shipped out.

14 But the NRC knows that. But we can't know that because  
15 it's proprietary, as I understand it. I would open it up to any of my colleagues  
16 who maybe are more well-versed in the number of medical nuclear medicine  
17 procedures, or other procedures --

18 CHAIR HANSON: Thank you. Mr. Mailman?

19 MR. MAILMAN: Twenty million.

20 CHAIR HANSON: Oh. Oh, I was missing three zeroes in  
21 there.

22 MR. MAILMAN: There are 20 million procedures done.  
23 Now, are all of them with injectables, versus -- but roughly, there's a big  
24 number. It's a very big number.

1 CHAIR HANSON: Okay, thank you.

2 MR. MAILMAN: And therapy.

3 CHAIR HANSON: Yeah, diagnostic and therapeutic. Okay.

4 MR. MAILMAN: And we have certain things that are -- when  
5 you have new therapies that are a little bit on a hockey stick, and so they're  
6 growing more rapidly. But overall, yeah, we're doing a lot of procedures.

7 CHAIR HANSON: Okay, thank you. Thank you. If I could,  
8 Megan, I want to pick up on something Commissioner Caputo brought up  
9 about Reg. Guide 8.39, because I think I share some of her concerns about  
10 what this thing does and how it's differed.

11 I mean, just looking at the occupancy factor, right? The  
12 previous, if I understand it correctly -- and I'm not an expert on this by any  
13 stretch of the imagination -- but if I understand it correctly, the previous  
14 occupancy factor in the old Reg. Guide was 25 percent, and now it's  
15 100 percent.

16 So, that, just from a layman's perspective, that looks like it's  
17 four times more conservative.

18 And can you maybe just revisit the history a little bit on this.  
19 I mean, I think Commissioner Caputo brought it up, because I think it's worth  
20 revisiting about what's the basis for that? Or how did that really, a four-fold  
21 increase in conservatism, really come about?

22 MS. SHOBER: So, the initial concern that people who  
23 developed that modeling were interested in meeting, was that public transit  
24 situation right after release.

1                   And so, what they really want to do is have the default  
2 values be very low at which these things are required, and then the licensees  
3 then become responsible for modifying those default values using patient-  
4 specific information.

5                   So, it's really pushing license facilities to the patient-specific  
6 calculations in a greater number of cases.

7                   So, for example, right now if you are receiving I-131 therapy  
8 for hyperthyroid administration, that's often ten to fifteen millicuries of I-131.

9                   So, right now that doesn't require patient-specific  
10 calculation because Reg. Guide 8.39 has a 33 millicurie limit.

11                  So, what this is going to do in practice is reduce that bar to  
12 seven millicuries at which these things are required, and then the patient  
13 populations that receive hypertherapy, the license facilities would now need  
14 to provide a patient-specific calculation.

15                  Are they going to be able to release those patients? Yeah.  
16 But there's an additional evaluation that has to happen for a class of patients  
17 which previously didn't require that kind of documentation.

18                  CHAIR HANSON: You raise a really good question though,  
19 that was maybe behind some of this. And that is, okay, so we've layered on  
20 then this conservatism, right? We basically said, okay, we have a low  
21 threshold before. And then if they get more, so if it's like a Lutetium-177 type  
22 administration where they're getting a lot more activity, then maybe just Iodine-  
23 131, because it's over four doses, rather than a single dose, right?

24                  But then you have this kind of low floor, and then you would

1 add requirements if they get more. And now, we've got a high requirement  
2 and you're kind of modifying then, kind of release requirement somehow from  
3 that higher thing based on what you might learn.

4 But at the bottom of that is really just about patient behavior  
5 and patient restriction, right? So, we've added all this conservatism, but what's  
6 fundamentally different about what a patient might do or not, in terms of where  
7 they can go?

8 MS. SHOBER: That's a wonderful question. And that is part  
9 of the reason why as the subcommittee, we were advocating for reducing the  
10 complexity of some of that modeling.

11 Because we as a subcommittee were questioning the value  
12 of some of that granularity. You know it's there. If you've got a full-time  
13 medical physicist that really geeks out on that kind of calculation, like they can  
14 make something that is very patient-specific.

15 But I have a math minor. I know how to do math and I can  
16 follow equations through, but some of those that are in this draft Reg. Guide  
17 are hard to follow. They're very abstract.

18 And so, that's why our subcommittee was making  
19 comments to say, we need better examples. Because I think it's a challenge  
20 to apply that model to classes of patients that many facilities will see on a very  
21 regular basis.

22 CHAIR HANSON: Well, I think you raise a good point,  
23 right? In all of this stuff there are actual patients doing real things here, right?

24 If somebody walks out of Columbia-Presbyterian in New



1 York and they have to get home, in New York City they've got kind of three  
2 options, right? They can get a cab, they can get the subway, I supposed they  
3 could get a bus, or they can walk.

4 But if they live in Brooklyn, that's going to be pretty hard.  
5 Right? Particularly if they've just had a medical procedure and they're tired,  
6 and whatever else.

7 And so, I understand there's a certain amount of uncertainty  
8 around patient behavior, that I think is trying to be accounted for in this change,  
9 particularly in the occupancy factor, but -- well, let me step back and kind of  
10 ask this then.

11 Do I have it right, at least on your slides, that the ACMUI is  
12 not a big fan then of this change?

13 MS. SHOBER: So, I guess to paint a very broad brush  
14 stroke, the subcommittee does believe that it is too complex.

15 CHAIR HANSON: For the additional benefit in terms of  
16 exposure to other members of the public.

17 MS. SHOBER: Correct.

18 CHAIR HANSON: I see. Okay. Okay. Well, thank you. I  
19 think I've reached the end of that thread.

20 Mr. Mailman, I just wanted to touch on one thing, kind of with  
21 regard to extravasations. And as you know, there's a lot of external interest  
22 in this, and yet you've said maybe you didn't hear as much from external  
23 groups.

24 One of the concerns I think I've heard is the concern about

1 kind of mis-administrations for extravasations, right? Whether it's diagnostic  
2 or therapeutic.

3 And that through training and timeout and practice, getting  
4 to kind of the infrequency, Dr. Harvey, that you were talking about, that mis-  
5 administrations can really be significantly reduced, and therefore,  
6 extravasations can be significantly reduced.

7 Is that something you're hearing in your interactions? Can  
8 you talk more about how kind of extravasations is playing in Peoria, I guess,  
9 as an issue?

10 MR. MAILMAN: So, one of the reasons that I suggested  
11 we actually do prospective studies, is that we can actually have data, as  
12 opposed to two opposing views of, it never happens, to, it happens a thousand  
13 times a day.

14 CHAIR HANSON: Right.

15 MR. MAILMAN: And as far as therapeutic extravasations of  
16 target radiotherapies, what I have seen in the data I looked at, let's say at  
17 Seven Centers, is that doses hitting the target.

18 And even if there's a partial extravasation, the dose will get  
19 there within, in certain cases, 24 hours, or somewhere between three and  
20 24 hours, and the dose will not stay in the extravasated site. It will move and  
21 be therapeutically if effective, and those who have been -- who have had  
22 extravasated are then followed. And we can take a look at that.

23 And I think from that standpoint, I'm actually less worried  
24 about -- we've targeted radiotherapies that want to go seek their home, that

1 things are going to stay somewhere in the arm for a long period of time,  
2 because they want to find their target.

3 As far as imaging, we have two different types of reports.  
4 They may be measuring different aspects of this, which I can't tell either. But  
5 again, these newer targeted radiotherapies want to find home pretty quick.  
6 And honestly, you're used as more of a contrast to background ratio between  
7 healthy tissue and tumor tissue.

8 And it's not that they produce images that will be ineffective  
9 or not useful. The contrast between the tumor and healthy tissues will be  
10 there. I mean, it isn't that someone gets imaged 20 seconds after they're  
11 infused. There's an hour that these things can travel to their site.

12 And may it defect SUV? I'm not sure. But it should allow  
13 someone to see whether therapy is doable or not, especially with these newer  
14 radio-likened therapies.

15 Again, I'm not the physician in the room with a nuclear med  
16 doc in the room. I got one over there who's going to stand up.

17 DR. JADVAR: So, let me just add to excellent points that  
18 Josh brought up.

19 When you puncture a vein, you're going to make a hole. So,  
20 there's going to be a little bit of blood that comes out. And you're going to  
21 have some mild amount of activity there.

22 In my practice, I've been doing this for almost 25 years, and  
23 once in a while we see imaging extravasations. And in almost all cases,  
24 except maybe one I remember, that the image quality was sufficient to be able

1 to make a diagnosis.

2 Remember, even as Josh mentioned, if the contrast to  
3 background ratio changes a little bit in a patient who has 25 metastatic lesions  
4 and you don't see one of them, it doesn't make any difference. There is no  
5 clinical significance to that at all.

6 The management would be exactly the same as what you  
7 thought. SUVs is only one part of a semi-quantitative measure that we look  
8 at. There are many other things that can have effect on SUV -- the drug effect,  
9 the patient's nutritional status, all these kind of things.

10 So, nobody ever makes a decision if, for example, this  
11 treatment is working or not working, comparing baseline to post treatment  
12 scan, just based on SUV.

13 You look at the CT scan, you look at the lesion size, the  
14 number of lesions, what's going on with the patient, and if there is any SUV, if  
15 you want to include that also, then you can correct it or normalize it to the  
16 blood pool background, the whole liver background.

17 So, basically, you normalize this scan for the same  
18 background ratio, the other scan for the same background ratio, and then you  
19 compare the ratios. Because then you can compare apples to apples.

20 So, this can be done. Nobody really makes a decision on  
21 SUV going up or down by 20 percent. Oh, did this patient has responded or  
22 not responded? It's not like that.

23 So, if anybody proposes that that's the way we do practice,  
24 it's incorrect.

1                   CHAIR HANSON: Okay, thank you. Thank you for both of  
2 those perspectives then. Appreciate it very much.

3                   All right, well we've reached the end of our time together. I  
4 want to thank you all very much for your presentations. Thank you to the four  
5 of you, and also the other members of the Committee, for your service to the  
6 Agency and to the country.

7                   Thanks to my colleagues, as always, for their insightful  
8 questions, and their thoughtfulness in all of this. And with that, we will wrap it  
9 up.

10                  Thank you again very, very much. We're adjourned.

11                  (Whereupon, the above-entitled matter went off the record  
12 at 11:48 a.m.)

13

14