

1 UNITED STATES OF AMERICA
 2 NUCLEAR REGULATORY COMMISSION
 3 ***
 4 OFFICE OF THE SECRETARY
 5 ***
 6 BRIEFING ON PART 35 RULEMAKING
 7 ***
 8 PUBLIC MEETING

9
 10 One White Flint North
 11 Room 1F-16
 12 11555 Rockville Pike
 13 Rockville, Maryland
 14 Thursday, March 25, 1999
 15

16 The Commission met, pursuant to notice, at 1:36
 17 p.m., the Honorable SHIRLEY A. JACKSON, Chairman of the
 18 Commission, presiding.

19
 20 COMMISSIONER'S PRESENT:

- 21 SHIRLEY A. JACKSON, Chairman of the Commission
- 22 NILS J. DIAZ, Commissioner
- 23 GRETA J. DICUS, Commissioner
- 24 EDWARD McGAFFIGAN, JR., Commissioner
- 25 JEFFREY S. MERRIFIELD, Commissioner

2

1 STAFF AND PRESENTERS SEATED AT THE COMMISSION TABLE:

- 2 MAL KNAPP, EDO
- 3 CARL PAPERIELLO, NMSS
- 4 DONALD COOL, NMSS
- 5 CATHERINE HANEY, NMSS
- 6 JUDITH ANNE STITT, M.D.
- 7 DENNIS SWANSON, MS., B.C.N.P.
- 8 MANUEL D. CERQUEIRA, M.D.
- 9 RUTH MCBURNEY
- 10 LOUIS K. WAGNER, PH.D.

3

1 P R O C E E D I N G S

2 [1:36 p.m.]

3 CHAIRMAN JACKSON: Today, the NRC staff and the
 4 NRC Advisory Committee on the Medical Uses of Isotopes will
 5 provide the Commission with a briefing on radiation
 6 protection issues associated with medical uses of
 7 radioactive materials. The ACMUI, as the advisory committee
 8 is called, last met with the Commission in June, 1998. Much

9 has happened in the last year.

10 In June 30, 1997, staff requirements, the
11 Commission approved the staff's plan for revision of both 10
12 CFR Part 35 and the Commission's medical use policy
13 statement. The staff has proceeded in an expedited manner
14 to develop the proposed rule over the last two years. The
15 process to revise Part 35 and the associated guidance
16 documents have provided additional opportunities for input
17 from interested parties on the Commission's rulemaking. The
18 staff has held multiple meetings with the public and
19 professional societies and boards, and met extensively with
20 ACMUI and members of its subcommittees.

21 Today, the staff will brief the Commission on the
22 status of these activities, focusing on the most significant
23 issues associated with the proposed revision of 10 CFR Part
24 35 and what it's going to require to come to closure on that
25 and the medical policy statements. The ACMUI presentation

4

1 will follow that of the staff.

2 And I'll ask my colleagues if they have anything
3 to add. Dr. Knapp, would you please proceed.

4 DR. KNAPP: Thank you, Chairman. As you said this
5 afternoon, we will be briefing you on the work that's been
6 done on Part 35. You have at the table to my right, Dr.
7 Donald Cool; to my left, Dr. Carl Paperiello; and to his
8 left, Catherine Haney. Dr. Paperiello and Catherine Haney
9 will be doing the principle part of the briefing for the
10 staff. Afterwards, you will be briefed, as you said, by the
11 ACMUI, who are seated behind us. To my far right, we have
12 Dennis Swanson; to his left, Dr. Judith Stitt; to her left,
13 Dr. Louis Wagner; to his left, Ruth McBurney, representing
14 the State of Texas; and to her left, Dr. Manuel Cerqueira.

15 And with that, I would like to turn it over to
16 Carl for the initial part of the briefing.

17 DR. PAPERIELLO: Good afternoon. This is in
18 response to a Commission request that the staff brief the
19 Commission on the status of the Part 35 rulemaking, and I
20 would note that the staff has not provided the Commission
21 with the paper to support this briefing.

22 We did want to discuss -- can I have the first
23 slide? Next slide. We did want to discuss a handful of
24 issues associated with the rulemaking for which the
25 Commission may wish to provide further guidance to the

5

1 staff, and also describe where the staff stands in bringing
2 a final rule to the Commission.

3 Next slide. I would note that the -- we have as a
4 primary objective of the rulemaking to have a risk informed
5 performance-based rule focused on the management component
6 of the existing rule on its essential requirements. Now, I
7 think the proposed rule represents a significant decrease in
8 the requirements in the quality management rule, and even a
9 larger decrease in its prescriptiveness, and to have a rule
10 that explicitly provides for new modalities.

11 Could I have the next slide?

12 CHAIRMAN JACKSON: When you say patient safety,
13 what do you mean?

14 DR. PAPERIELLO: I mean ensure that the patient
15 receive the dose that the doctor prescribed or directed, as
16 the case may be.

17 Secondly, we wanted to add certain new
18 modalities, such as remote Brachytherapy, after loaders, and

19 gamma stereotactic radio surgery. The latter is commonly
20 known under the brand name of Gammanyte, which is the most
21 widely known brand. We would allow inpatient visitors to
22 receive up to 500 millirem. And this is increased, so that
23 a 100 millirem public dose limit is in accordance with
24 international standards, which consider this type of
25 exposure a medical exposure. Licensees will also have to

6

1 determine Brachytherapy's output activity prior use. We
2 could rely on vendor or manufacturer measurements. And we
3 believe we've also reduced significantly the record-keeping
4 burden in the proposed Part 35.

5 Next slide.

6 CHAIRMAN JACKSON: Let me ask you a question here.
7 Is the 500 millirem dose related to grandfathering old
8 facilities? Or is related to having family and friends
9 provide additional --

10 DR. PAPERIELLO: It's family and friends. In the
11 international standard arena, the dose to care givers,
12 including people who provide emotional support to patients,
13 is considered medical exposure. And for those individuals,
14 the recommendation is a 500 millirem, because it's generally
15 understood this is not a year in and year out occurrence.
16 This is probably occur once or twice in a lifetime.

17 MR. MCGAFFIGAN: Madam Chairman?

18 CHAIRMAN JACKSON: Yes.

19 MR. MCGAFFIGAN: My recollection is that this --
20 the University of Cincinnati had given us a petition in this
21 area that we just folded into this rulemaking.

22 DR. PAPERIELLO: Yes. Can I have slide four?
23 Although we believed the staff in the stakeholder's group
24 generally converged on this rule, some individuals continue
25 to assert that we should abolish Part 35 and stop regulating

7

1 the use of atomic energy act material by medical users or to
2 limit the regulations solely to Part 20 and training and
3 experience requirements. Some stakeholders want a formal
4 quantitative risk assessment for the rule and want the NRC
5 to grant a general license for diagnostic nuclear medicine.

6 MS. DICUS: Madam Chairman?

7 CHAIRMAN JACKSON: Please.

8 MS. DICUS: Question. The distinction between
9 risk informed versus a risk-based rule, do you think that
10 among wide range of stakeholders, there's a clear
11 understanding of the difference between those two?

12 DR. PAPERIELLO: Cathy, could you --

13 MS. DICUS: I love being greeted with silence.

14 [Laughter.]

15 MR. MCGAFFIGAN: Pass the buck to the lowest
16 level.

17 CHAIRMAN JACKSON: I don't know if Cathy wants to
18 be called the lowest level.

19 [Laughter.]

20 MS. HANEY: I would say that there is some
21 misunderstanding in the community. I know it's been a topic
22 at several of the public meetings, and we have explained it
23 very often. But to give you an example, it wasn't until the
24 last meeting, which would have been about the eight of a
25 series of meetings, that someone came up to me and said,

8

1 well, you know, now for the first time, I understand what
2 the difference is. So, I think to answer your question,
3 yes.

4 CHAIRMAN JACKSON: So, you've iterated to these
5 people to explain --

6 MS. HANEY: Yes.

7 CHAIRMAN JACKSON: -- the difference?

8 MS. HANEY: We have tried very hard.

9 MS. DICUS: One other thing, if we could, just
10 real quick: this lack of a formal risk assessment that is
11 bandied about so much, does the staff -- how does the staff
12 propose to respond to the ACNP and the Nuclear Medicine
13 Association on that issue, or do you plan to respond?

14 CHAIRMAN JACKSON: How do you come with these
15 questions?

16 DR. PAPERIELLO: I would like to respond to it,
17 and it's -- the question right now is a question of time. I
18 have convinced my -- in my own mind, I -- in fact, I've done
19 my own informal risk assessment. And as I would get to --
20 in fact, if I could have the next slide. Let me -- if you
21 look at the empirical occupational basis of nuclear
22 medicine, the workers, if you look at the potential public
23 doses, and if you take a look at the need to ensure that
24 medical doses are directed by a knowledgeable physician, and
25 I think you could justify the fact that you need a specific

9

1 license. General -- you would now allow general licensees
2 to have exposures in the order of a rem or two a year, and
3 some nuclear medicine technicians get exposures this high.
4 It's above the point where you need badging. You need to
5 give people Part 19 training.

6 If the material used would consistently go astray,
7 then you could have public doses in excess of the public
8 dose limit. An occasional error, either in
9 misadministration or an occasional unit dose going astray,
10 will not create a societal risk that is unacceptable. I'm
11 defining that as 10^{-6} to the exposed -- you know, to the
12 potentially exposed population. You need systematic -- you
13 need systemic breakdown to have a problem. And that is the
14 basis, I believe, of risk informed performance-based
15 regulation. There needs to be a program, but an occasional
16 lapse will not create an unacceptable risk.

17 So, I think that kind of analysis bounds this.
18 You need a specific license. But, we have got to, and we
19 have -- I believe when you look at the rule and what
20 actually is required in diagnostic medicine, there are very
21 -- relatively few requirements and most of them deal with
22 Part 20, with the exception that the people, who use the
23 material, have -- and this is an area where we're not going
24 to get any argument, with proper training and experience,
25 and you need to know that you're giving a patient a dose,

10

1 and it just doesn't happen inadvertently.

2 But other than that, there are no -- then there's
3 a handful of requirements, which relate to Part 20. You
4 have to have survey instruments. You have to keep record of
5 doses. You have to have a radiation safety officer. You
6 know, if you have to have a program, we're not going to tie
7 the program down on a license. They are going to be able to
8 make changes that you want to make. I mean, I think that
9 we've done a good job in abolishing unneeded requirements
10 and having a truly performance-based program.

11 MS. DICUS: Thank you.
12 DR. PAPERIELLO: Cathy, I'll turn the rest of the
13 presentation over to you.
14 [Laughter.]
15 MS. HANEY: Okay, thank you, Carl. Good
16 afternoon. I would start with slide six. And, basically,
17 just to recap briefly the actions that the staff has taken
18 since the June briefing, the key notes to note -- the key
19 things to note on this particular slide is that we did hold
20 four facilitated public meetings during the comment period.
21 Three of them are meetings that we convened. The fourth one
22 was during the all agreement state meeting, where we held a
23 workshop with the agreement states. So, there was some
24 focus on that meeting with regards to the agreement state
25 issues.

11

1 The comment period for the rule closed on December
2 16th. We received approximately 225 comments on the rule of
3 medical policy statement and the guidance. When you take
4 these particular documents and put them all together, it
5 comes up with about 900 pages of text that the staff has to
6 respond to, as a result of the rule being published.
7 MR. MCGAFFIGAN: Could I ask a clarifying -- what
8 do you consider -- I sat in on parts of the Rockville
9 meeting in October, and lots of people were making comments
10 in the course of the meeting. And I recall some; I'll come
11 back to them later. But, are those comments on the rule, if
12 they're spoken at a facilitated public meeting --
13 MS. HANEY: Yes.
14 MR. MCGAFFIGAN: -- that you have to analyze?
15 MS. HANEY: Yes, they are.
16 MR. MCGAFFIGAN: Gosh, I could have counted more
17 than a handful at Rockville alone. So, I'm surprised it
18 says a few. Nine-hundred pages doesn't surprise me. The
19 200 comments, you must have done some amalgamated --
20 MS. HANEY: Well, the 200 comments were actually
21 letters. So within those letters, there were --
22 MR. MCGAFFIGAN: Oh, okay.
23 MS. HANEY: -- they could have been, you know,
24 10-15 page letters. In the case of transcripts, we were
25 looking at probably about four or five inches of paper for

12

1 each transcript. And that's really what was handed up --
2 MR. MCGAFFIGAN: That's the 900 pages?
3 MS. HANEY: -- as being the 900 pages.
4 MR. MCGAFFIGAN: Okay. And there's one question I
5 want to ask, if I could, at this point. Prior to the time
6 period here, we had tried to do some extraordinary things to
7 make this rulemaking go smoothly, once the proposed rule
8 went out. I think it was June of 1997, we had a briefing
9 here with ACMUI and the staff, and we made some final
10 decisions then about how the structure of the rule might
11 look like, etc., following that meeting. And then since
12 nobody else was drafting, you guys put something out on the
13 Web page, my recollection is probably September, October of
14 '97.
15 But the complaint we have gotten is that it was a
16 one-way communication during that period between, if I'm
17 right, October, '97 and June, '98, that people -- it was out
18 there, people were commenting on it, that we weren't
19 commenting back. And it's -- in proximity, we're a learning

20 organization. In proximity, at the moment, in the
21 pre-proposed rule period, you're having very active
22 communications. If you had this to do over, and we don't,
23 would you have used that period between October of '98 and
24 June of -- October, '97 and June of '98, differently? Would
25 there have been more active meeting and communicating back

13

1 to the commenters, as to what our views were on the comments
2 and all that?

3 DR. PAPERIELLO: I could say, yes, which would
4 probably be a popular answer. I would say we could have
5 probably done some more. But the time constraints on all
6 this are a problem. You know, you just -- there's so much
7 you can do within the time you have. And if you have more
8 public interactions, you're, obviously, listening and you're
9 not writing. So, I mean, there's been -- this is a big rule
10 and it's just so many things -- you have so much time --
11 when you have a time constraint, there's just so much you
12 can do.

13 We probably could have done more. On the other
14 hand, you know, this is the first time we actually tried to
15 write a rule on the Web. And we were trying -- we expected
16 a lot more feedback than maybe we got. We've got to learn
17 how to use that interaction.

18 CHAIRMAN JACKSON: So, you're arguing that, in
19 fact -- I mean, I remember when the whole construct was laid
20 out and the idea of doing this expedited rulemaking. And
21 that by doing it on the Web, it would allow you to cut down
22 on the time, and that had something to do with the proposed
23 time frame. And so, the question is, in terms of lessons
24 learned, what happened? Because, it was presented to the
25 Commission as an expedited rulemaking and that we could

14

1 expedite it by doing this way.

2 Dr. Cool, you were going to make a comment?

3 DR. COOL: Two observations, I think. The first
4 is that you always have the conundrum of getting something
5 that people can react to and then feeling like they're
6 already behind the curve. In this particular situation,
7 there was already word on the street, there was already a
8 lot of background information. And I'm not sure to what
9 extent we may have been -- or would have been guilty of
10 that, irrespective.

11 The second, to get to the question which you
12 asked, was in writing this on the Web this first time,
13 particularly with the proposed rule, the staff erred, if you
14 will, in the direction of version control and not having too
15 many iterations going up too close together, to allow people
16 -- or allow, of course, to give people an opportunity to
17 react to it.

18 In retrospect, we could have put additional
19 versions up and been more interactive. But, it was one of
20 those learning exercises of attempting to -- how often do
21 you change something, when they just get around to getting
22 it in? They start to comment and suddenly another version
23 pops up.

24 MR. MCGAFFIGAN: Madam Chairman, I'm not -- I
25 think we have a lot to learn. The thing that strikes me

15

1 about the staff on medical is we have a relatively modest
2 staff. And on things like 5059, we can afford --

3 CHAIRMAN JACKSON: You have an army.

4 MR. MCGAFFIGAN: We have an army, right. We have
5 an army to send out. So, I'm not -- I recognize here it's a
6 limited number of folks.

7 The other point I'd make, one of the troubles in
8 dealing with a rulemaking that's this comprehensive is some
9 things that will not -- we will not talk about today,
10 because they're not major; in a small rulemaking would be
11 major. And, you know, it's -- there may well be a lot of
12 these 900 pages of comments that, if they had been off by
13 themselves, these fairly profound issues that we would
14 struggle with, if we were bite size rulemaking. So, it's --
15 but, we don't -- I know these folks are doing the best they
16 can in a very complex area with very limited resources,
17 compared to those we throw at reactive rulemakings.

18 MS. HANEY: Okay. Slide number seven, I would
19 just like to tell you a little bit about the continued
20 interactions that we've had with the stakeholders, since the
21 public comment period closed. In February -- early
22 February, we had a facilitated public meeting with the
23 medical specialty boards and the purpose of that meeting was
24 to discuss some of the implementation issues associated with
25 the training and experience requirements, if we were to

16

1 pursue what we had proposed -- what appeared in a proposed
2 rule.

3 We, also, had two meetings in February with the
4 subcommittees of ACMUI. The purpose for that was to prepare
5 for the meeting we've just concluded of the full committee
6 and to get some early input from the ACMUI about the staff's
7 proposed response to the comments.

8 Another interaction we had was last week, I
9 attended the conference of Radiation Controlled Program
10 Directors SR-6 committee meeting. This is a group that is
11 preparing equivalent medical rules for the suggested state
12 regulations. And we are attempting to do a sort of parallel
13 rulemaking with the agreed -- with the CRCPD on this. So, I
14 sat in on that meeting and we looked at the suggested state
15 regs, in light of where we were on March 15th with the
16 proposed rule, which is kind of a moving target for us.

17 And as I said, we just completed a full ACMUI
18 meeting at noon today. And then, we've also continued to
19 have ongoing meetings with -- public meetings with the
20 public, with Part 35 working group and steering group. And,
21 again, I'd just like to note here that on the working group
22 and steering group, we did have members of the agreement
23 states and Organization of Agreement State and CRCPD
24 representation. So, we have been trying to work very
25 closely with the states on development of this rule.

17

1 The next view graph, please. There are a couple
2 of captions that I would like to bring to the Commission's
3 attention: the training and experience, the reporting
4 requirements. There are two reporting requirements that
5 we'll discuss in a few minutes. Also, staff's proposed
6 response and dealing with comments on radiation safety
7 committee and then the calibration of Brachytherapy sources.

8 For the purpose of the presentation, what I'd like
9 to do is to briefly tell you what was in the proposed rule,
10 what the major comments were in this area, and then staff's
11 proposed response and how we would proceed into the final

12 rulemaking.

13 CHAIRMAN JACKSON: Now, are these key issues key
14 because of risk significance or because they represent the
15 departures from the proposed rule?

16 MS. HANEY: They're key because of the risk.
17 Actually, this answer is yes to both of them. They are
18 risk-based and, in some cases, they are departures from the
19 current Part 35. But, I would like to point out they are
20 not the only issues that we're dealing with that are high
21 risk for this rulemaking. As Commissioner McGaffigan said,
22 there are some that I just have not chosen to bring to your
23 attention, at this point.

24 With regard to training and experience, on view
25 graph number nine, with the proposed rule, the staff did

18

1 depart from the current Part 35, in that we wanted to focus
2 the requirements on radiation safety. And I'll focus
3 specifically on the alternative path -- training pathways,
4 that being the ones that individuals that are not coming to
5 us being Board certified. In the case of diagnostic users,
6 we made a significant reduction in the training hours.
7 Currently, to become an authorized user for someone that
8 would be doing imaging and localization studies, they'd have
9 to have 1,200 hours of training. The proposed rule would
10 have only required 1,200 -- I mean, I'm sorry, 120 hours.
11 In the case of the therapeutic users, and this specifically
12 the device users, such as the teletherapy, the remote after
13 loaders, or the gamma seratactic reduced surgery units, we
14 maintained a status quo, and that being three years worth of
15 training.

16 With the significant reduction in the training
17 hours, we believe that it was necessary to have an exam that
18 would focus in on radiation safety. It would be used to
19 assess the individual's knowledge of radiation safety. We,
20 also --

21 MR. MERRIFELD: Madam Chairman?

22 CHAIRMAN JACKSON: Yes.

23 MR. MERRIFELD: I'm sorry, I have a question for
24 purposes of clarification. On slide nine, you say training
25 requirements for diagnostic users is significantly reduced.

19

1 Yet, when you turn forward, you have diagnostic uses -- I'm
2 sorry, slide 11, under the staff response, you have
3 diagnostic users -- uses increase from proposed rule. So,
4 I'm just wondering --

5 MS. HANEY: Sure.

6 MR. MERRIFELD: -- you're reducing from what we
7 had before, but you're increasing it from the original
8 proposal? It's unclear to me where we're going on that.

9 MS. HANEY: Okay. The current Part 35 requires
10 1,200 hours; the proposed rule would require -- stated 120
11 hours; and we're going to propose that the hours go back up
12 in the final rule to 700 hours.

13 MR. MCGAFFIGAN: Madam Chairman, can I --

14 CHAIRMAN JACKSON: Please.

15 MR. MCGAFFIGAN: I can hear the endocrinologist at
16 the moment. The training requirements were not reduced
17 significantly for endocrinologist using one isotope iodine
18 and they complain that the 120 was a significant ratcheting
19 upward on them, when there was no evidence of any problem.
20 And I hope you're not going to be proposing you ratchet them
21 up to 700, because --

22 MR. HANEY: No.
23 MR. MCGAFFIGAN: Okay.
24 MR. MERRIFELD: As a follow-up question, one of
25 the things that we have said is, you know, we recognize that

20

1 the risks from diagnostic medicine are less, and that's
2 certainly clearly the message from the users, that they've
3 been telling us. I'm just wondering -- I'm wondering why
4 you decided to increase, having been at 1,200, you were
5 proposing 120, and now we're back up to 700? Why the
6 differentiation in the area, which we have recognized as a
7 low risk?

8 MS. HANEY: I can explain that. In light of the
9 public comments that we received -- if we move to slide 10
10 and then I can answer your question.

11 CHAIRMAN JACKSON: Before you go forward, I have a
12 question. We'd like to fit in two questions.

13 MS. HANEY: I can answer --

14 MR. MERRIFELD: I'd like to get that question
15 answered. I'm willing to defer to use her presentation.

16 CHAIRMAN JACKSON: Yeah, I just -- which slide
17 were you going to?

18 MS. HANEY: Well, I can go to 11, but I can answer
19 it without moving ahead. And then, I'll skip -- when I get
20 to page 11, I'll skip over it.

21 The short answer is that we received a significant
22 number of public comments that we had reduced it too low.
23 The 1,200 hours was an insufficient length of training --

24 MR. MERRIFELD: One-hundred-and-twenty hours?

25 MS. HANEY: One-hundred-and-twenty hours was

21

1 insufficient. And a lot of the commenters said that we
2 should maybe go as high as a four-month training program.
3 And we even had commenters that said we should stay at
4 1,200; we should not have touched it at all. And although
5 it's low risk, what they were saying is that it's low risk
6 because individuals that are handling the material have an
7 extensive amount of training. It's not just a 40-hour week
8 training program. The current users receive 1,200 hours,
9 and that's one reason why the track record is so good in the
10 diagnostic area. And the concern is that if the hours were
11 reduced, that might impact on safety.

12 So, we're proposing to do up to the 700 hour,
13 based on public comment. And, not just that the hours was
14 insufficient, but that you can't learn radiation safety in
15 120 hours sitting in a classroom. You really need to be in
16 a department, seeing how it operates everyday. Because,
17 during that 120 hours, there may not be that spill on the
18 floor. But, if you're in the department for four months, at
19 least one day, you're going to see a spill and you're going
20 to see how you respond to it in a clinical environment. So,
21 it's really that training needs to be over a long period, as
22 compared to just sitting in a classroom for 40 hours or 120
23 hours.

24 MR. MERRIFELD: Could you -- you received a number
25 of comments saying that we had overshot the mark with 120

22

1 hours.

2 MS. HANEY: Right.

3 MR. MERRIFELD: Obviously, it must have been

4 people, who were the other direction. Can you give us some
5 nature of the sort of gross numbers of folks? Maybe you
6 can't, but if you can --

7 MS. HANEY: I would say predominantly the nuclear
8 cardiology community endorsed the 120 hours that we proposed
9 in the proposed rule. They were really endorsing, saying
10 that the 1,200 hours is not right; so, therefore, as long as
11 we were coming down, this was a good approach.

12 We had a large population, American College of
13 Radiology, which is a very large group of professionals,
14 saying that we had gone too low and that we really should
15 stay status quo. Then, there was another very large group
16 of stakeholders, the Society of Nuclear Medicine, that was
17 proposing that we should not even specify hours, that we
18 should just assess competency. Put in the rule the
19 objectives, what you want people to learn, and then focus in
20 on the exam and require the exam to test competency. So, we
21 really had a wide, wide range, and it was split along
22 professional society lines.

23 Maybe I could comment on the endocrinologist for a
24 second. In the proposed rule, we would have increased the
25 training for an endocrinology by 40 hours. The

23

1 endocrinologist were very concerned about the impact that
2 this would have on their profession, because of the
3 increase, and they believed that they were the right
4 individuals to be involved with treating hypothyroidism and
5 thyroid carcinoma. We did consider their comments and we
6 would propose going into the final rule that there would be
7 no changes in the training and experience requirements for
8 an endocrinologist over that what is in the current rule.
9 So, in other words, we would maintain status quo.

10 MR. MCGAFFIGAN: Madam Chairman? Is there a
11 danger, especially in light of what you said on the
12 endocrinologist, and as you know, that's where I was in the
13 proposed rule, but the truth in any number that fits -- one
14 size fits all, that there may be other professionals -- the
15 cardiologists, I know, did feel that they deal, again, with
16 the relatively finite set of procedures and they might not
17 need as much training as -- they're making arguments very
18 similar to the endocrinologist. If somebody needs a full
19 scope exposure to using literally any isotope in any medical
20 procedure, then, obviously, that person needs lots of
21 training. And are we -- by choosing a number, are we being
22 overly prescriptive or -- that's, I guess, the question I'd
23 be interested in.

24 MR. MERRIFELD: The way I would phrase the
25 question is: how did you come about with the 700 hours and,

24

1 you know, what kind of comfort level can we have that that's
2 the right number?

3 MS. HANEY: Well, I can tell you how we arrived at
4 the 700 hours. I'll answer that question first; it's
5 easier. For the -- 700 is comprised of two components: one
6 is 120 hours of classroom work, and the other 580 is in the
7 clinical environment. The 120 came about by looking at
8 residency programs, looking at their class syllabus, and
9 seeing what component -- how many hours were devoted to
10 physics, how many were devoted to radiation protection, how
11 many were devoted to chemistry. And using -- looking at
12 these programs, we allotted the 120 hours. The 580 was
13 arrived at based upon the comments that we received from the

14 stakeholders, that they believed a four-month training
15 program was needed to be able to handle material safely.
16 And I'm focusing in only diagnostic use right now.

17 So, we were relying on the comments that we
18 received and from individuals that are in training programs
19 that are involved with this work day-to-day. And that's --
20 and we're really relying on what the commenters --
21 information that they gave us.

22 As far as the one size fits all approach, in the
23 diagnostic area, it was very easy to focus in on radiation
24 safety, as compared to the therapeutic uses of medical
25 devices. If you remember last year, we spoke to you, saying

25

1 that we maintain the status quo with the teletherapy and its
2 remote after loaders, because it was very difficult to
3 separate radiation safety knowledge from clinical
4 competency. We believed it was a little bit easier to do on
5 the diagnostic area and whether you're using one radial
6 nuclide to image one organ or you're using multiple radial
7 nuclides for multiple organs, there is a core knowledge of
8 basic radiation safety you should have, and we believe right
9 now that that is the 700 hours.

10 MR. MCGAFFIGAN: But, then, you have the
11 endocrinologists, who have long been grandfathered at 80,
12 and you're not -- and you're telling us you're going to --
13 it doesn't all add up perfectly. I'm certainly not arguing
14 to go above 80. But, you have said that for one group of
15 people, dealing with one organ, 80 is enough; but for
16 everyone else, who might also be, you know, in the category
17 of dealing with a single organ and a single radio isotope,
18 you're saying 80 -- you need 700. There's a little bit of a
19 --

20 CHAIRMAN JACKSON: Is there a need to prescribe to
21 pass the Board or is there some methodology for providing it
22 on a professional techniques basis or something?

23 MS. HANEY: I believe if we do not specify hours
24 in the rule, we would need some way of assessing the
25 individual's competency. And the one route that was offered

26

1 to us was the exam -- requiring an exam. And whether NRC
2 would have that exam -- would offer the exam, it would be
3 contracted, or NRC would approve it, those were big issues.
4 They were very resource intensive for NRC, whether you took
5 route one, two, or three. And there was a lot of
6 controversy about the exam, about what sort of things we
7 would be looking for, a lot of complicating factors. And
8 this is what came about from our February meeting with the
9 medical specialty boards.

10 So, just to put into the rule the objectives for
11 the training, like you must know a, b, and c, I don't
12 believe it would give us added assurance that the
13 individuals were properly trained or properly qualified.

14 MS. DICUS: One last question about the exam. The
15 exam is on radiation safety?

16 MS. HANEY: The exam that we proposed in the
17 proposed rule was focused on radiation safety. But, our
18 proposal right now is not to go forward using the exam and,
19 instead, NRC would be involved with approving training
20 programs -- I'm sorry, not approving, recognizing training
21 programs.

22 MR. MERRIFELD: Based on that question, what kind

23 of staff resources would be required for us to be involved
24 in approving those training programs?
25 MS. HANEY: Involved with the training programs,

27

1 I'm estimating approximately 1.2 FTE involved with the
2 training programs. Now, that assumes that we would not
3 spend an excessive amount of time reviewing training
4 programs that were already approved by what's referred to as
5 ACGME, the Accreditation Counsel on Graduate Medical
6 Education. So, if -- so the 1.2 number assumes that we
7 would give some credit to a program that was already ACGME
8 approved. And the majority of our authorized users are
9 coming to us through approved ACGME programs. There are a
10 small number of individuals -- applicants that are coming
11 through what we called alternative pathways, meaning private
12 industry training courses.

13 MR. MERRIFELD: Would that number -- I guess this
14 is directed towards Carl, would that require us to reprogram
15 or do we need to add additional staff to meet those
16 requirements?

17 DR. COOL: We are in the process right now of
18 developing the budget for next year under the planning,
19 budgeting, and performance measures. And, in fact, what I
20 intend to propose to Carl next week will have some
21 reallocations to cover this proposal, and it will be within
22 the resources which I had available.

23 DR. PAPERIELLO: Please -- I'm sorry, but there is
24 an alternative way, is what we used to do, which is deal
25 with it through licensing. In other words, we did not have

28

1 anything in the regulations prior to 1986. Between 1975 and
2 about 1986, what we did is we handled everything on a
3 case-by-case basis, which, in my view, would be very labor
4 intensive. Now, granted, we put some guidance out, which
5 actually was what was written into the Part 35 in 1986. One
6 of my concerns in this whole thing is this whole issue of
7 training was never really re-looked at in almost 20 years,
8 because what we did the last time was merely took what was
9 in a licensing guidance.

10 Now, I would point out right today, we now do, at
11 times, review training programs, to see whether they're
12 qualified. We have done that. So, I'm not sure exactly how
13 much we have done up to now, versus what this rule would
14 require brand new. I don't -- it really depends on whether
15 or not entrepreneurs, people that are outside of the current
16 system would design and setup, you know, separate training
17 programs. I'm not quite sure we've made a guess about what
18 would happen, what's likely to happen.

19 CHAIRMAN JACKSON: I think we'd better move on.

20 MS. HANEY: Okay. I would move to slide 12, to
21 medical events. One way or another, we've addressed the
22 issues that are on the two pages.

23 CHAIRMAN JACKSON: She wants to ask a --

24 MS. HANEY: Okay.

25 CHAIRMAN JACKSON: -- question on slide 11.

29

1 MS. DICUS: Slide 11, this focus the NRC of
2 approval of a training program. With regard to the
3 agreement states, are they prepared to do this?

4 MS. HANEY: I spoke with the agreement states last
5 week at the SR-6 committee meeting, so realize that it's a

6 group of five people that were -- that I was focused in on.
7 There were some that were willing to approve or recognize
8 the training programs. There were some that said they would
9 just rely on NRC. The issue of reciprocity, obviously, came
10 up about this. And, again, you know, there is a wide
11 variation of views.

12 CHAIRMAN JACKSON: Will ACMUI be involved in
13 approving these programs?

14 MS. HANEY: Yes. What we anticipate happening is
15 that someone would come to us with an application. NRC
16 staff would do a baseline review, looking at the instructor
17 qualifications, the environment that the training would be
18 given in. We would form an opinion about whether the
19 training program should be recognized or not. Subsequent to
20 that, we would take it to the ACMUI. We would ask their
21 opinion. Based on what their opinion was, we could go back
22 and ask additional questions of the applicant or we would
23 approve it and, at least at this point, we would notice it
24 -- we would anticipate noticing our recognition in the
25 Federal Register and then putting it up on the Website, so

30

1 there would be wide dissemination of the information that we
2 had approved the program.

3 MR. MCGAFFIGAN: I just want to clarify this. The
4 institutions -- I assume most graduate medical schools are
5 accredited by ACGME. Is this a nanosecond process to say
6 that Harvard Medical or Columbia Medical or whatever is --
7 the program is up to snuff? Or are we talking about you
8 guys actually having to churn paper on something like that?

9 MS. HANEY: Well, what I -- again, realize, you
10 know, this is a months worth of thinking here, because this
11 is a very quickly moving process here. What we anticipate
12 is that we would give approval to the ACGME programs. There
13 are three ACGME programs in this area: radiology, nuclear
14 medicine, and the therapeutic uses. And once we gave that
15 approval, that would knock out probably about 90 percent of
16 the programs. So, for example, the program that is at
17 Harvard is already accredited under the ACGME nuclear
18 medicine program. So, we would not look specifically at
19 Harvard's program, as well as the University of Maryland's.
20 So, that would take out the bulk of staff's work. And I'm
21 estimating, I believe 10-20 hours of NRC time on these sorts
22 of programs, where they already have had an extensive review
23 by ACGME.

24 In the case where it's a non-ACGME approved
25 program -- and I should also add in those American

31

1 Osteopathic Association, AOA, that is -- does an equivalency
2 to ACGME. In the cases where they do not have the ACGME or
3 AOA approval, that would take additional staff effort. It
4 may even take an on-site visit, and I would estimate around
5 100 hours would be devoted to review that application.
6 Also, you know, you say what number of programs would be --
7 we would be reviewing under that approach, and we're looking
8 at, say, to 10-20, a small number of programs that would not
9 fall under either the ACGME or AOA approval.

10 MR. MCGAFFIGAN: So, 10 to 20 hours for all of the
11 90 percent, or is it -- you're still spending 10 to 20 hours
12 looking at Harvard Medical?

13 MS. HANEY: No.

14 MR. MCGAFFIGAN: No.

15 MS. HANEY: It would be the 10-20 hours on --
16 MR. MCGAFFIGAN: It takes care of 90 percent of
17 your problem --
18 MS. HANEY: With the information that I have right
19 now, that's a true statement. We're continuing to get
20 information, as we're holding these public meetings, as
21 we've had the public at the ACMUI meeting, where we attended
22 it. So, people are constantly saying -- giving me extra
23 information. So, if I come back to you in two months, it
24 may be different, but it's because I've gotten additional
25 information.

32

1 And the next subject area that I would like to
2 discuss with you is that of medical event on page 12.
3 Medical event -- the term "medical event" has taken the
4 place of the term "misadministrations." In the proposed
5 rule, we did make some changes with regards to what needs to
6 be reported to us. As far as the threshold goes, we did not
7 make significant change, and by the threshold, I'm talking
8 about the 20 percent deviation between the prescribed dose
9 and the administered dosage.

10 We added a definition -- we added a dose threshold
11 as a means of dealing with the wrong treatment site, and we
12 added rule text to exclude cases of direct patient
13 intervention. We did go forward keeping a requirement in
14 the rule for notifying the referring physician and the
15 patient and responsible relative, if an event did occur.

16 The next slide gives you a --

17 MR. DIAZ: Excuse me.

18 CHAIRMAN JACKSON: Sure.

19 MR. DIAZ: On your page -- slide 28, when you're
20 talking about these medical events, you know, part A, either
21 A or B, are those -- are the "ands" in A, are those "ands"
22 or "ands and or?"

23 MS. HANEY: In 28, you would -- between A and B,
24 they're either, either condition. Okay, within --

25 MR. DIAZ: In A, those that differs and --

33

1 MS. HANEY: And either one of those.

2 MR. DIAZ: So, it's or?

3 MS. HANEY: Yes.

4 MR. DIAZ: Okay.

5 MS. HANEY: We received a significant number of
6 public comments in this particular area. Many of the
7 commenters believe that the threshold should be raised.
8 They went as high as saying that we should allow a deviation
9 up to 100 percent between the prescribed dose and the
10 delivered dose. Also, they believed that our criteria for
11 the wrong treatment site was too restrictive. And they
12 believed that any cases involving patient intervention
13 should be deleted from the rule. They particularly focused
14 in on the rule language and said that it was a little bit
15 too vague. And, again, we received the comments that the
16 rule should not require notification in the case of an
17 event.

18 On page 14, you see staff's proposed response. We
19 are continuing to evaluate where the threshold should be.
20 That was the focus of the meeting yesterday afternoon. So,
21 we'll need to go back and evaluate the comments that we
22 received from the ACMUI. Generally, we believe we'll keep
23 it very close, if not identical, to the proposed rule. We
24 will, however, propose a change in the issue of patient

25 intervention, and we've corrected, at least hopefully

34

1 corrected the rule language to make it a little bit less
2 vague or to make it clearly understandable.

3 But, we do want to hear about patient intervention
4 cases, when the event has resulted in an unintended
5 permanent functional damage to an organ or a physiological
6 system, as it would be determined by the physician. This is
7 picking up rule language that appears in our abnormal
8 occurrence policy. So, in other words, the key here is that
9 a lot of the cases that we've been hearing about since the
10 rule -- the misadministration rule went into effect that
11 involved patient intervention, we would not hear about,
12 because they would not trip this threshold. And, again, we
13 would propose that we continue to require reporting to the
14 referring physician and the patient or responsible relative.

15 MR. MERRIFELD: Chairman?

16 CHAIRMAN JACKSON: Yes.

17 MR. MERRIFELD: On that slide, you first initially
18 said that the direction that you appear to be going is that
19 there would not be a change in reporting threshold from
20 where we are right now. Now, I know -- I've had my -- I had
21 asked my staff previously to review some of those reports,
22 and some of them do seem to be relatively, at first blush,
23 insignificant. Are we comfortable -- are you comfortable
24 that we are, indeed, risk informed, in our determination
25 that we need not change those thresholds?

35

1 MS. HANEY: Yes, and it's based on information
2 that I have received in comment letters, as well as
3 reviewing the misadministration reports to date and in
4 consultation with our advisory committee.

5 MR. MERRIFELD: Okay. Because, some of the
6 comment letters that I know we've received have been
7 somewhat caustic on this matter, from the standpoint of
8 thinking that we really should raise this. So, maybe you
9 could share just a flavor of some of the other letters that
10 you received that think that we ought to stay with the
11 thresholds that we have now.

12 MS. HANEY: The commenters that we received that
13 were in support of this felt that we had an adequate
14 threshold, because it was the point where something
15 significant went wrong in the treatment, and by significant,
16 I mean whether it was procedural wise, something didn't work
17 right in the radiation protection program. And we had put
18 in a threshold into the rule that was a dose-based -- was a
19 risk-based threshold and by crossing that, it's at the point
20 where NRC should hear about it.

21 MR. MERRIFELD: Madam Chairman, if you'll bear
22 with me for a second, I have a general question. We are
23 talking about the comments that you've received. And I've
24 had opportunities to read some of them. As I mentioned,
25 some of them are, you know, complementary of the things that

36

1 we're doing and some of them are, as I said before, quite
2 caustic, you know, people have some strong feelings about
3 these issues. Many of the comments seem to be various
4 groups of medical professionals, who have different
5 opinions, and so that's -- I know where those folks are
6 coming from.

7 But, what I'd like to get is some sense of the
8 nature of non-medical professional comments that we've
9 received. Do we receive comments from the general public
10 about these matters? You know, patients rights groups, any
11 of those individuals?

12 MS. HANEY: No.

13 MR. MERRIFELD: Have we sought out those groups to
14 try to get some flavor for where they're coming from?
15 Sitting from where I'm sitting right now, it seems like
16 we're in the middle of different health professionals trying
17 to tell us which way to go. And I haven't heard a flavor
18 for what the patients think about all this, the people who
19 are affected by these rules.

20 MS. HANEY: You're correct in stating that we
21 really did not get any comment letters from the general
22 public. I would say 99.9 percent of the comment letters
23 were either from physicians or from medical physicists or
24 from health physicist. We did seek out the patient rights
25 advocates at the facilitated public meetings. We invited

37

1 patient rights. We invited hospital administration to come
2 sit at the table. We invited nursing.

3 We did have a member of a patient rights advocate
4 at all of the meetings. We, also, have a member on our
5 advisory committee. And their prime focus was that NRC
6 should not, by any way, limit medical care to patients; that
7 patients should be able to choose where they go, whose going
8 to do the treatment. We should not have regulations such
9 that we would keep modality from coming into general use,
10 because we over regulated it and, therefore, we killed it.

11 The other thing that was very interesting is that
12 all of the patient rights advocates indicated that they were
13 not in favor of having a requirement in the rule for
14 notifying the referring physician or the patient in the case
15 of a misadministration or medical event. They believed that
16 the physicians would tell them. It was -- they were very
17 much in favor of the -- we should not interfere between the
18 patient and the physician's relationship.

19 It was actually kind of surprising. It wasn't
20 what I expected, to be honest with you. But, again, back to
21 your statement, we did not have comments on the rule from a
22 member -- general member of the public, and we did try to
23 get them.

24 MR. MERRIFELD: Thank you.

25 MR. MCGAFFIGAN: I think there's a huge silent

38

1 majority out there, a silent group. I'm not sure what it
2 is, but it's a huge silent group that just doesn't get heard
3 from and that's what the Commission --

4 Could I just -- on the threshold, I had a
5 conversation with one of these folks, who was somewhat
6 caustic, and they were particularly caustic about the 20
7 percent, and I didn't have it in from of me at the time, and
8 that we somehow slipped this in and this was going to affect
9 diagnostic nuclear medicine.

10 And as I read it, you have to -- the place where
11 the 20 percent comes up, a dose to the skin or an organ or
12 tissue, other than the treatment site, that exceeds by 50
13 rem to an organ or tissue and 20 percent of the dose
14 expected. It has to be more than 50 rem off to an organ or
15 tissue and 20 percent. What did they have him do there? I
16 mean, the 50 rems doesn't matter to an organ?

17 MS. HANEY: No. I think the particular commenter
18 that you had the conversation with is focusing in more on a
19 requirement for another section of the rule, in 3563, that
20 indicates that an individual -- a technologist or whatever
21 could not administer a dose, if it differs from 20 percent
22 of what the authorized user prescribed. And that's the 20
23 percent that I think they're focusing more on, on that.

24 And that actually is a good thing that's in the
25 rule, because it gives the licensee some flexibility,

39

1 because, as we all know, the material is decaying away. If
2 the patient is 15 minutes late, you're still within that 20
3 percent, so the tech can go ahead and administer it without
4 going back to the authorized user and asking him if it's
5 okay to administer it. The easiest -- the example would be,
6 if the physician says I want 10 millicuries administered for
7 a bone scan and the tech were to administer 10.1, which is a
8 no never mind from a risk standpoint, if that particular
9 phrase was not in the rule language, theoretically, that
10 would be a violation.

11 MR. MCGAFFIGAN: Okay.

12 MS. HANEY: So that's really the 20 percent that
13 they focused more in on. We did get comments on the 20
14 percent that was in the section on medical event reporting,
15 and that's -- and in that case, the thought was that's too
16 restrictive than diagnostic. But, I believe that some of
17 the people didn't realize that you needed to trip that
18 initial dose threshold first. They weren't seeing it
19 together. And a lot of times once I had conversations with
20 people and said, no, you've got to exceed this dose
21 threshold before you look at the 20 percent, then they were
22 like, okay, Cathy, it's okay.

23 MR. MCGAFFIGAN: Okay.

24 MS. HANEY: Okay. Moving from medical event, I'd
25 like to take you to another reporting requirement, and

40

1 that's for the unintentional exposure to the embryo fetus
2 and a nursing child. This requirement came about as a
3 result, again, of the abnormal occurrence criteria that
4 would require that an event such as this be reported to
5 Congress. In the proposed rule, we included a statement
6 that a facility would need to report to us and we used a
7 dose threshold of five millicuries or 500 millirem. We
8 patterned the text of the proposed rule against that of the
9 medical event text.

10 We received a significant number of comments on
11 this section of the rule and, again, you could say that we
12 were hearing from a select population of individuals. But,
13 they were generally opposed to the requirement and they went
14 so far as to say that either the criteria and the abnormal
15 occurrence should be raised or else the abnormal occurrence
16 policy should be revised to delete this requirement. They
17 believed very strongly that the threshold would impact
18 medical care, because, at this level, there are some
19 diagnostic procedures that could be in effect. We were
20 quoted as this is a defacto pregnancy rule. NRC, why don't
21 you just call it a pregnancy rule. And, again, well, it's
22 not appropriate to require notification.

23 I know the ACMUI will be spending -- want to talk
24 with you about the particular thresholds and the
25 implications in the medical care -- the medical practice, so

1 I'm not going to try to speak for them in that particular
2 area. But, I would like to offer to the Commission two
3 proposals for a resolution in dealing with this. The first
4 one, which is staff's preferred approach, would be rather
5 than placing this requirement in Part 35, place it in Part
6 20. The reason for that is that the requirement, as it
7 appears in the AEO policy -- I shouldn't say requirement --
8 but the criteria for reporting, as it appears in AEO applies
9 to all licensees, not just medical. Now, most of the cases,
10 if we were to hear about them, would probably come out of
11 medical. But, it's really more a general requirement.

12 And then if we put it into Part 20, we would be
13 allowed to maintain some consistency with all of our
14 programs, and not just focusing on our medical. If we did
15 do it in Part 20, we would have to do a tie between 35 and
16 20, because Part 20 does kick out any medical exposure. So,
17 there would be a little thing we'd need to do in 35.

18 However, the other option, should we decided to
19 proceed with it, in this particular rulemaking, staff would
20 propose that we raise the threshold to five rem. Now, this
21 would be putting the threshold at the point where we would
22 have to report anything that we heard to Congress. We would
23 not be -- as the case with the medical event, we are well
24 below the AEO criteria. In this case, I would put it right
25 there. And, again, I would recommend that we maintain

1 consistency with the medical event reporting, as far as any
2 other requirements.

3 CHAIRMAN JACKSON: So, I mean, is the embryo child
4 considered an extension of the patient or a member of the
5 public?

6 MS. HANEY: That's a very good question and I'm
7 not sure that we've ever explicitly answered that question.
8 There are those that would argue on both sides and I've
9 heard both arguments.

10 CHAIRMAN JACKSON: What do you feel this comports
11 with, your staff preferred approach?

12 MS. HANEY: With going to the five rem, I believe
13 it doesn't really go with either side, but it's looking at
14 the effects of the radiation on the embryo fetus and looking
15 at NCRP documents, ICRP documents, and feeling comfortable
16 with this value and, at the same time, it would allow us to
17 meet our responsibility of notifying Congress and we would
18 not be negatively impacting medical care.

19 MR. MCGAFFIGAN: Madam Chairman? Is option one
20 also five rems or is it 500 millirems?

21 MS. HANEY: Well, if you want option one, I would
22 like it to be five rem. However, the benefit of option one,
23 it gives us additional time to investigate the implications
24 of this --

25 MR. MCGAFFIGAN: The thing that strikes me, Madam

1 Chairman, is that we have -- I think it was a year or so
2 ago, the National Institutes of Health put out the report
3 about what radiation my generation got from the atomic test,
4 as we were growing up and drinking --

5 CHAIRMAN JACKSON: Which is my generation.

6 [Laughter.]

7 MR. MCGAFFIGAN: But, how we managed to -- how
8 much dose we got to our thyroids, as a result of the atomic

9 test and whether we should all be going off getting our
10 thyroids examined. And, you know, they predicted many
11 thousands of cancers, as a result of -- I think
12 Massachusetts, where I grew up, I probably got a couple of
13 rems, and, you know, this is New York Times. And here,
14 we're saying five rems -- we're not even -- we're not going
15 to worry about it. So, there isn't a reporting requirement,
16 at least, until you hit five rems. I don't know; I don't
17 know. It's -- we don't deal with -- we may well go with the
18 Chairman's question: is this embryo a member of the public
19 or is it an extension of the mother, and society, as a
20 whole, doesn't deal with that question very well.

21 MS. HANEY: That's really a key to what we're
22 saying. This is a reporting requirement and not a dose
23 limit. And that's been very difficult to argue over the
24 last year with the proposed rule being out, because people
25 are seeing it as a dose limit and I'm saying, no, this is

44

1 merely a reporting requirement, making no further
2 statements.

3 CHAIRMAN JACKSON: Okay.

4 MS. HANEY: Okay. The next topic I'd like to
5 discuss is the radiation safety committee. In the proposed
6 rule, we deleted the requirements for a radiation safety
7 committee. The comments that we received from the radiation
8 protection professionals, the health physicists, as well as
9 medical physicists, generally, were opposed to the deletion
10 of the requirement for the radiation safety committee. They
11 thought it was very key to the performance of their job. It
12 gave them a direct connection with the management of the
13 facility. However, we received a large number of comments
14 in the diagnostic nuclear medicine area, particularly from
15 physicians that were generally opposed to retention of the
16 requirement.

17 Looking at these two considerations and thinking
18 that we need to have our justification based on a risk
19 informed decision, the staff is proposing that we require
20 radiation safety committee only on the higher risk
21 modalities, and also where a facility has more than one high
22 risk modality. So, for example, if a facility had a
23 teletherapy unit and also performed iodine 131, thyroid
24 cancer operations, then they would have to have a radiation
25 safety committee. The purpose being here is that once you

45

1 get into these higher risk modalities, usually, you're
2 getting outside of the nuclear medicine department or
3 outside of the therapy department. You're involving
4 housekeeping. You're involving the nursing staff,
5 management, and the radiation safety committee provides a
6 mechanism for bringing these groups of individuals together.

7 While we did put it back in the rule, we did not
8 put all the prescriptiveness back in the rule that the
9 current Part 35 has. Right now, the rule text only reads
10 that the radiation safety committee would have
11 responsibility for program oversight.

12 CHAIRMAN JACKSON: Why is it that the issue of
13 involving housekeeping and the other things that come into
14 play, when you have a high risk modality, not be true, if
15 you had one such, as opposed to two?

16 MS. HANEY: It does come into play. And I guess
17 what we're trying to be sensitive to the commenters, to the

18 stakeholders that are saying that if we have a small
19 program, we only have a remote after loader. There's only a
20 small number of people that are interfacing with us from the
21 housekeeping staff or from the nursing staff, and they have
22 appropriate mechanisms in place to deal with this.

23 But when you start getting out of the one use,
24 into multiple use, there's a whole other group of nursing, a
25 whole other group of housekeeping people that deal with

46

1 individuals that are getting unsealed therapies. So, we
2 were trying to not get a burden on the licensees. But, yet,
3 you know, there is some truth in the fact that, you know, as
4 soon as you have one of these departments, you bring in
5 nursing or housekeeping, why wouldn't you? But, again, it's
6 just listening to the public comments.

7 CHAIRMAN JACKSON: I mean, are you trying to make
8 an argument that having more than one modality, that somehow
9 the risk of accounts of some mishap goes up --

10 MS. RANEY: Yes.

11 CHAIRMAN JACKSON: -- you know, in some numerical
12 or algebraic way?

13 MS. RANEY: Yes.

14 CHAIRMAN JACKSON: Yes; I see. Where's the
15 formula?

16 MS. RANEY: Where's the formula? There's not a
17 formula that I can give you. It's -- again, it's just
18 listening to the comments that we've heard, being in these
19 facilities, talking with our inspectors, licenser viewers,
20 looking at what goes wrong. And the more people that you
21 involve in these modalities, the greater the chance of
22 something going wrong. And if something goes wrong in these
23 particular areas, you're dealing with something that could
24 increase the dose to a member of the public or to the
25 patient or to the occupationally exposed individuals.

47

1 CHAIRMAN JACKSON: Do we have data in some kind of
2 events database that tracks with number of modalities in the
3 high risk modalities, that shows some progression in terms
4 of numbers or severity of events, according to whether you
5 go from one to N?

6 MS. RANEY: Not that I could tie to a radiation
7 safety committee.

8 MR. MCGAFFIGAN: Madam Chairman?

9 CHAIRMAN JACKSON: Please.

10 MR. MCGAFFIGAN: The radiation protection
11 professionals, who are generally opposed to the deletion of
12 the requirement, how are they reacting to this cut the baby
13 in half approach?

14 MS. RANEY: They were -- in any of the meetings
15 where we have discussed this approach, they indicated that
16 they were happy with the approach, that they believe that it
17 was real spaced and that this was a much better way of going
18 than deleting the committee requirement completely.

19 Okay. The last key issue that I'd like to bring
20 to your attention is that of calibration of Brachytherapy
21 sources, and this would -- this is outside of the area of
22 the devices. These would be just the sources that would be
23 used outside of, like a teletherapy and a remote after
24 loader. The proposed rule contained a requirement to
25 determine the output or activity. We, also, allowed in the

48

1 rule for the licensee to be able to rely on the
2 manufacturer's calibrations, assuming the calibration was
3 done in accordance with our rule.

4 The comments that we received, there was support
5 and opposition for allowing the reliance on the
6 manufacturer's calibration and there was a limited
7 opposition to the requirement. But, again, the majority of
8 the professional organizations, as in American Association
9 of Physicists and Medicine and the Health Physics Society,
10 were in support of the requirement.

11 Our proposed response to this is, is that we would
12 continue to require the licensees to determine the output or
13 activity. In other words, we would not make a change to the
14 requirement in the proposed rule and that we would not
15 grandfather sources. So, licensees would need to look at
16 their sources that they currently have and assure that they
17 have an output or an activity for the source.

18 MS. DICUS: Madam --

19 CHAIRMAN JACKSON: But, this is -- please.

20 MR. MERRIFELD: When you're done, I've got a
21 question.

22 [Laughter.]

23 MS. DICUS: All right. Which ones would you not
24 grandfather? For example, what if a source had been -- the
25 manufacturer's calibration is done according to the rule,

49

1 why wouldn't you grandfather it?

2 MS. HANEY: Well, in that case, the licensee would
3 have a certificate that said -- so, those -- well, we don't
4 see that as grandfathering. We'd see them as complying with
5 the rule. And it's those that would not have that
6 certificate --

7 MS. DICUS: You would not grandfather?

8 MS. HANEY: Correct.

9 MS. DICUS: Any of them?

10 MS. HANEY: Correct.

11 CHAIRMAN JACKSON: Commissioner?

12 MR. MERRIFELD: I'm just trying to get some sense
13 of what we're talking about. What's the impact of not
14 grandfathering from a cost basis? How many -- what
15 percentage or amount of devices are we talking about and how
16 expensive is this additional calibration?

17 MS. HANEY: If I can remember back a year ago, I
18 think we said that for those licensees that would have to go
19 out and do this, it would cost them around \$1,000 per
20 facility, not per source, because once they got the
21 equipment in, they could do -- use it on any number of
22 sources. And based on data we received from the medical
23 physics community, that there is only a limited number of
24 individuals that would not be in compliance that would
25 actually have to go out and get compliance. And in our

50

1 regulatory analysis, I think we used a number of around
2 \$760,000, as far as the impact of this requirement.

3 We solicited comment in the proposed rule on
4 whether our estimates were correct or not. We did not get
5 any comments that said that we were wrong. We didn't get
6 any that said we were right, but we didn't get any that said
7 that we were wrong.

8 [Laughter.]

9 MS. HANEY: So, we -- and --

10 MR. MCGAFFIGAN: Before you put up big rule.
11 [Laughter.]
12 MS. HANEY: And based on the input that we
13 received from the professional society, saying this was a
14 thing -- a really good thing to do and that we should do it,
15 we would proceed with it.
16 CHAIRMAN JACKSON: Suppose you had a commission,
17 who used a Brachytherapy source and had a treatment
18 modality, based on a nominal -- a treatment protocol, based
19 on some nominal source activity, what does this do?
20 Remember the Strontium 90-I source?
21 MS. HANEY: Yes. This is -- you have the sources
22 where the physicians are treating to effect. And it really
23 doesn't matter to them whether the source output is 10, 100,
24 or 200, they're still treating to effect. This would cause
25 them to go back, get the calibration, get the output of the

51

1 particular source. It more than likely would not get them
2 to change the fact that, you know, now that they know that
3 the half put is -- that the output is half what they thought
4 it was, they're not going to double the treatment time.
5 They would just adjust any of their calculations and their
6 written directive based on the new value.
7 Okay. The last thing that I would like to bring
8 to your attention are the agreement state issues, and these
9 are the issues that the SR-6 Committee discussed with me
10 last week, when I was in Alabama with them. And I bring
11 them to the attention of the Commission, just so you are
12 aware of some of the issues that we're dealing with under --
13 trying to attempt to move toward parallel rulemaking.
14 NRC is proposing that we not review -- pre-review
15 licensee procedures prior to issuing the license, especially
16 in the diagnostic area. The agreement states, most of them
17 will continue to review the procedures prior to issuing the
18 license. They believe that this is very needed to provide
19 assurance that the licensee has adequate knowledge to
20 operate safely.
21 There's also a difference in the goal of the
22 authorized user. Again, most of the agreement states
23 believe that the authorized user should be responsible for
24 patient selection, prescribing the dose, and interpreting
25 the study. NRC believes more that the role of the

52

1 authorized user is in prescribing the dose and then
2 supervising the use of the material.
3 In the case of training and experience, the states
4 were generally in agreement with the approach that NRC was
5 taking. The one exception that they had is they believe
6 that the endocrinologist should have more training than what
7 we are proposing. In fact, they would bring the
8 endocrinologist up from their 80 hours, up to the 700 hours
9 that we're proposing. So, they would propose a significant
10 increase. They, also, believe that it's important to have
11 training and experience requirements for the technologists,
12 since it's the techs that are actually handling the
13 material.
14 There's a lot of discussion on the patient release
15 criteria. This is in the requirement in 3575 and has to do
16 with at what point you can release a patient from the
17 hospital after they've been administered radioactive
18 material. As you can remember a few years ago, we changed
19 the rule to go to a dose-based rule, previously had said you

20 could release if the body had less than 30 millicuries. And
21 the agreement states -- some of the agreement states liked
22 the way the rule is right now, dose-based
23 But, there is also a large number of states that
24 do not like it. The concern has to do with radioactive
25 material getting into landfills. If the patient -- if the

53

1 physician does a patient-specific calculation, allows the
2 patient to go home, whether material leaves the hospital,
3 goes to the landfill, sets off the alarm, it's the states
4 that have to respond. So, they're concerned about that.

5 MR. MCGAFFIGAN: Could I ask --

6 CHAIRMAN JACKSON: Please.

7 MR. MCGAFFIGAN: Doesn't the same material go to
8 the landfill, whether the person is at the hospital or
9 they're at home?

10 MS. HANEY: In the case if they stay at the
11 hospital, they hold the material for decay. So it would
12 become -- it would sit in the hospital until it was
13 indistinguishable from background.

14 MR. MCGAFFIGAN: I see.

15 MS. HANEY: In the case of the --

16 CHAIRMAN JACKSON: You hold the patient until the
17 patient is indistinguishable?

18 MS. HANEY: Yeah, basically.

19 [Laughter.]

20 MS. HANEY: No, until you're less than 30
21 millicuries.

22 In the case of the embryo fetus in nursing child
23 reporting, the states agreed -- or preferred that we take
24 the Part 20 approach and spend a little bit more time
25 looking at it. But, if we do not take that approach, they

54

1 believe the threshold should stay at the 500 millirem level.

2 There are also some concerns about the sections of
3 the rule where we had assigned an H&S, health and safety
4 designation. And they noted that this was really the first
5 time that we had used the NRC's new policy on adequacy and
6 capability for agreement states to look at an entire rule
7 during the development -- during the rulemaking process.
8 So, therefore, they were concerned about some of the
9 sections that had been designated H&S; designations, because
10 of the implication it would have on the adequacy of their
11 program. And we talked a little bit about the adequacy of
12 the program versus the adequacy of their regulations. But,
13 this was a very sensitive area to them and I just thought
14 that it should be brought to your attention.

15 MS. DICUS: Before you leave the slide, how would
16 these issues be resolved? Are you going to try to resolve
17 them?

18 MS. HANEY: Well, some of them we are trying to
19 resolve and some of them we've agreed to differ. Of course,
20 where we agree to differ becomes important is on what the
21 level of adequacy and compatibility is assigned to the
22 particular requirement. We went through -- they used a
23 process of using the suggested state reg as the basis and
24 then feeding our rule into that. And I don't believe there
25 were any problems on any issues where they were C or above.

55

1 So, we're okay in agreeing to disagree with them.

2 MR. MCGAFFIGAN: Could I ask a follow-up really on
3 that? This is the plan made at the outset. There are lots
4 of issues in this thing and you've highlighted some. I,
5 honestly, would like to understand a little better why, for
6 instance, on the pre-review of procedures, the agreement
7 states do it one way, we do it the other. And I'm not sure
8 it saves the day, because we have other people, or there's
9 different rules, the authorized user, or whatever. But, it
10 sounds like they're fairly profound differences, where you
11 guys are used to agreeing to disagree; perhaps you have for
12 decades. But, you know, we're sort of blessing the
13 disagreement when we approve the final rule. And I just
14 want to make sure why I'm on your side and I'm not on their
15 side, at some point.

16 MS. DICUS: And another -- the issue of
17 consistency, which we have in a lot of other areas besides
18 here. But, you have a particular case where many of the
19 hospitals across the nation are part of health provided
20 corporations and they may have one set -- in one state, they
21 do things a certain way and, yet, that same corporation in
22 another state, that hospital may do things differently, and
23 to what extent, at some point in time, that becomes a
24 problem.

25 CHAIRMAN JACKSON: Okay.

56

1 MS. HANEY: All right. And then I would just like
2 to summarize by saying that I hope I've clearly described
3 our efforts to date, since we have issued the proposed rule,
4 and hope we have summarized the comments that we've received
5 from the stakeholders for you and given you a clear view on
6 where the staff is on resolving some of these issues right
7 now. And I would request any guidance from the Commission
8 on whether we're taking the appropriate response to the
9 comments and on the right path.

10 CHAIRMAN JACKSON: Thank you. Let me ask you this
11 question? How long it do you think it would take you --
12 when you really come to resolution? I guess it depends on
13 the degree of guidance you get from the Commission.

14 MS. HANEY: It does. And, I mean, obviously, the
15 more time, the better, but it comes a point where you have
16 to say enough is enough. We -- we're working very hard to
17 meet the due date to the Commission, with the goal of the
18 original date being the end of May and then with the second
19 SRM that we got that would allow us to go into June. That
20 will -- if we had an additional three months, I feel that we
21 could do a better job of responding to the comments. And
22 pretty much I've focused my staff's efforts on hitting the
23 big areas first, knowing that, you know, the more time that
24 we get, we'll go further down. And, obviously, because of
25 the Administrative Procedures Act, we'll have to address all

57

1 comments. But, the degree to which we will address is
2 clearly related to the amount of time that we have to do the
3 rule.

4 Once we finish the rule, we still have the
5 guidance document and the guidance document was -- did
6 receive a lot of comments. And the key thing is that
7 stakeholders are very concerned about us putting defacto
8 requirements in the guidance documents, and we're being very
9 careful not to do that. We're making sure that we have a
10 direct tie to a regulation. And then, we still have the
11 medical policy statement that sits out there that needs to

12 be finalized.
13 CHAIRMAN JACKSON: Okay.
14 MR. MCGAFFIGAN: When would the guidance documents
15 be ready?
16 MS. HANEY: It depends on what my due date is. If
17 we had to stick with the May, June time frame, the guidance
18 document would not be ready. I think if we had an
19 additional three months, you know, maybe four months max, at
20 the same time that we gave you the rule, we could give you
21 the guidance document, and then that would allow you to look
22 at them together, because of the importance of the
23 stakeholders comments on the guidance documents.
24 MR. MCGAFFIGAN: Madam Chairman, one other
25 clarification. This rule does require OMB review, right?

58

1 MS. HANEY: Yes.
2 MR. MCGAFFIGAN: Not just in OMB concurrence,
3 really, unless we -- don't we need that guidance document
4 for the OMB concurrence process, given some of the
5 stakeholders that we know who will intervene in the OMB
6 process of I don't like where you are? Isn't past history
7 that they ask the sort of questions that only the guidance
8 document can answer in the review process?
9 MS. HANEY: Right. It is, and I think the
10 preferred route is to have it available when we do go to
11 OMB. However, we're not putting any requirements in the
12 guidance document that aren't in the rule and we've pulled
13 some things into the rule that previously had been in the
14 guidance document, like submit the form and submit the
15 procedures. So, we have everything. So, I would feel, if I
16 had to, I could go to OMB and say all the record keeping
17 requirements are in the rule. But the idea would be to have
18 them together.
19 MR. MCGAFFIGAN: Okay.
20 CHAIRMAN JACKSON: You know, the Commission
21 actually is considering the time line and looking to see
22 what needs to be done to allow you to have a good rule. And
23 so, you're going to be getting that guidance shortly.
24 MS. HANEY: Okay, thank you.
25 CHAIRMAN JACKSON: Any other comments?

59

1 MR. MERRIFELD: Yes. I was going to make a
2 comment, but the Chairman beat me to it.
3 CHAIRMAN JACKSON: I know all these -- that's all
4 right, I won't make a comment. Thank you, very much. Let
5 us hear from the advisory committee on the medical uses of
6 isotopes. Good afternoon.
7 [Pause.]
8 CHAIRMAN JACKSON: You can proceed.
9 DR. STITT: We've been introduced. We have our
10 name tags finally correctly placed in front of us.
11 CHAIRMAN JACKSON: Thank you.
12 DR. STITT: I'm going to adopt the process we've
13 used before. You've seen us here in the past. And because
14 this is an interactive group process, rather than doing all
15 the talking, we have chopped up our comments to be made by
16 different members of the group.
17 This has been a long process for the Committee,
18 even longer for the staff, and probably the Commissioners.
19 Don Cool, when we started our meeting yesterday, used a
20 roller coaster analogy, as to some of the ups and downs.

21 There are three of us, who are jumping off of the cart. So,
22 we're going to be leaving it to the rest of you. But, it
23 has been an interesting process; in general, very
24 educational. And we have worked with the NRC staff to
25 address the Commission's direction towards what we feel is a

60

1 rule that is risk informed and more performance-based.

2 I'll have slide number one, the ACMUI. And they
3 feel that the occupational public and safety issues have
4 been maintained in the revisions of Part 35. We have worked
5 with a very interactive NRC staff. They've been responsive.
6 They've given us statements. We've had a lot of give and
7 takes, some knock down, drag outs.

8 The function of the subcommittee has been very
9 useful, particularly when it came to the comments. We were
10 presented on many occasions with the diagnostic and
11 therapeutic subcommittees, with detailed, detailed comments
12 from the public, and have been asked to address these.
13 Probably one concern, or just to bring up one issue, if
14 there's any shortcoming is that there were probably many
15 other comments that we could have addressed, but time
16 constraints literally just -- I would have to cut off the
17 discussion, at some point. Some of those comments have come
18 from the regular community, the users, and the public
19 meetings.

20 We'll move on to specific points that we wanted to
21 bring up with you.

22 MR. MCGAFFIGAN: Madam Chairman?

23 CHAIRMAN JACKSON: Yes.

24 MR. MCGAFFIGAN: I think Dr. Stitt just made a
25 fairly profound point, and Cathy Haney said earlier, you

61

1 know, we can deal with these secondary comments as we have
2 more time. Some of the comments that probably regard to the
3 secondary that I witnessed at the Rockville meeting, there
4 -- you know, probably having some advice from you all would
5 help. So, I hope -- and under the Administrative Procedures
6 Act, Cathy is going to deal with the recumbent. So, if we
7 give the staff a little more time, I hope you guys use it to
8 delve down into these so called minor comments, which, as I
9 said earlier, in a bite size rulemaking, they're probably
10 major comments.

11 DR. STITT: Well, my response to that is that I
12 think we take that part very seriously, because we know
13 where those comments came from and when reading them, we
14 recognize some of the names and faces that are in the
15 comment section. And probably the most time consuming part
16 of many of our meetings have been some polarized views, some
17 very strong opinions. But, if you're really trying to be
18 interactive, we have -- I think we have done a good job, as
19 a committee, and not necessarily come up with a consensus,
20 but it's been a very effective part of how we functioned.

21 View graph number three for the ACMUI, Dr.
22 Cerqueira.

23 DR. CERQUEIRA: Thank you, very much, Dr. Stitt
24 and Commissioners. In terms of the training and experience,
25 this, obviously, is one of the more controversial areas.

62

1 But the Committee really made an attempt to focus on the
2 issue of radiation safety and not the practice of medicine.
3 We intentionally tried to look at what were the essential

4 features to go into radiation safety. And --

5 MR. MERRIFELD: I'm sorry, excuse me, do you have
6 the right slide up there? Is that what you intended?

7 DR. CERQUEIRA: No. It's the previous slide, on
8 page three.

9 DR. STITT: The label is training and experience.
10 It would be in our package --

11 DR. CERQUEIRA: I apologize. I didn't look up in
12 time.

13 And as a result of that, we went through all the
14 meetings that Cathy clearly outlined. And the efforts that
15 the committee really tried to focus on was to try to
16 identify the specialty boards where radiation safety was
17 being tested, and use that as a means to identify competency
18 in that area. We, also, felt to try and identify specific
19 training programs, where both the didactic classroom,
20 laboratory training would be a team. This is essential to
21 be reviewed by the committee and we've recommended that
22 mechanisms be established for review of the content, as well
23 as the people that would be involved in these programs, to
24 be certain that they met the standards that were established
25 by the NRC.

63

1 We felt that there were still a lot of people, who
2 would not be able to either take boards or receive their
3 training. We needed to, basically, provide alternative
4 pathways for training experience that would apply to
5 authorized users, to medical physicists, the nuclear
6 pharmacists, as well as the radiation safety officers.
7 We've attempted to clearly outline what we felt would be
8 essential for reviewing this alternative pathway and give
9 people an opportunity to enter through that mechanism.

10 As part of this, it recognizes a fair amount of
11 people that have come into -- become authorized users
12 through alternative pathways. We really felt it would be
13 important to try to get a uniform national policy on
14 training and experience requirements. I've had the
15 opportunity to attend the meeting of the SR-6 group and if
16 you really look at the agreement states, there's a fair
17 amount of variability that's introduced, in terms of the
18 training requirements. And somebody who meets all the
19 standards in one state, relocates, has to reapply, and they
20 find themselves without being able to practice, even though
21 they were allowed to practice in another state. And we felt
22 that it would have be prudent if now that this training and
23 experience is going major review and revision, that the
24 agreement states try to adopt a uniform policy, similar to
25 what the NRC. A category C would be an appropriate level of

64

1 compliance between agreement states and the NRC; that this
2 would provide a more uniform policy and make it a lot easier
3 for people involved in training programs and especially for
4 people coming in through alternative pathways.

5 These were the major recommendations that we made.

6 MR. MERRIFELD: Before we lave this slide, we
7 spent some time talking with Cathy about diagnostic medicine
8 and going from 1,200 hours to 120 and resulting on 700,
9 which is still a significant decrease over the original
10 requirements. Do you agree with that number?

11 DR. CERQUEIRA: Well, this is a controversial
12 subject. Even up to two hours ago, it was discussed in one

13 of the discussions. Since I'm perhaps a minority, I really
14 feel that if I'm going to comment, perhaps the other
15 committee members could comment, as well.

16 I think there are some issues related to -- well,
17 again, looking at your risk-based training, they need to
18 make it appropriate. We had some question in terms of
19 determining where the training was gotten. And, again, I'm a
20 nuclear medicine physician, but also a cardiologist. And we
21 felt it was important to look at the risks, in terms of what
22 was being done, and to try to guarantee that the training
23 was obtained at a good quality program.

24 And I think in terms of the 700 hours, we felt
25 that if you looked at, again, some of the things that Cathy

65

1 said about making sure that the person's environment, that
2 that clinical experience was a part of regulation safety.
3 And in some ways, it actually improves the quality of the
4 people that are going to be doing studies, in terms of both
5 the radiation safety aspects and someone who trains people
6 that are going to be out doing this work. I think there's
7 some good quality clinicians, as well. So, I think, in
8 general, the committee felt that the 700 hours did provide
9 some assurance, but I think that there were other things in
10 this, as well.

11 CHAIRMAN JACKSON: Well, let's hear them.

12 DR. STITT: One of the considerations you have is
13 when you take a look at -- with various areas in training
14 requirements, you're going to have to be able to justify if
15 there's differences in hours from a risk basis, okay. I
16 think that's an example -- for example, the endocrinology
17 people come in with a therapy procedure, basically, on cell
18 byproduct material and with 80 hours of training. Well, how
19 do you justify that via-vis a group of people that are
20 using unsealed byproduct materials, which include iodine
21 131, where we're saying 700 hours of procedure. So, you
22 know, that's something you can't -- you can't just look at
23 it solely from the perspective of the regulating rules and
24 what their standard training is, but it also has to make
25 sense from a justification standpoint. So when you're

66

1 taking a look at these, you need to keep that in your mind.

2 Well, I'm just going to take the back road, only
3 in the sense that my experience in those we've represented
4 would be in the therapy at the high dose levels. And when
5 you look where the controversies are and where the concerns
6 are, the status quo is basically being maintained at the
7 four and six. And so, we're sitting around a little more
8 passively in these parts of the discussions. I think this
9 tends to be more the diagnostic and some of the therapeutic
10 unsealed sources.

11 MR. WAGNER: Well, I think that on face value,
12 there's always going to be questions raised. But, I think
13 what we have to consider and understand is that we'll never
14 have complete agreement on these issues. The
15 recommendations that have come down are really a very
16 measured decision, based upon looking at each of the
17 individual practices, trying to look at the risks and
18 benefits, and trying to make a very level assessment. If
19 you just look at them on face value, sometimes you'll say,
20 oh, that doesn't make any sense. But, if you look really
21 deep and behind the arguments and the issues that
22 individuals have placed in the committee and elsewhere,

23 you'll see that there are subtleties in there that really
24 enter into the question. And how you go one way or the
25 other, based upon those individual subtleties, is always a

67

1 difficult issue.

2 For example, if you only do high dose therapy by
3 the one modality, etc., how does that differ from a person,
4 who uses diagnostic levels all the time? Well, the facts
5 are the person, who is doing diagnostic levels all the time,
6 that person is treating people, who you don't want to have
7 high doses. So, you want to make sure that they have really
8 good training across the board in multi-modalities; whereas
9 one person is giving high doses all the time, is giving them
10 to sick people, it's very, very well delivered, and it's a
11 very systematic -- and I'm thinking of the treatment of the
12 thyroid, for instance -- very systematic and it's very
13 direct and it doesn't involve a lot of variation. So,
14 there, you've got another issue. So, in all these issues,
15 there's more to it than just the matter of say, oh, this
16 doesn't make any sense on face value.

17 CHAIRMAN JACKSON: Thank you.

18 MS. MCBURNEY: I came into this advisory committee
19 with some basic concerns, especially about the use of
20 radionuclide, and my being an endocrinologist didn't help.
21 That differed from other unsealed uses for therapy. But,
22 some of the other members of the committee, as we expressed,
23 you know, studied it -- you know, this is the reason for
24 that discrepancy. I do agree that going to the 700 hours
25 total for diagnostic is appropriate, because, as Cathy

68

1 mentioned, you do need some time in that clinical setting,
2 in order to see all the different types of things that you
3 would need to address, as a diagnostic authorized user.

4 CHAIRMAN JACKSON: Thank you.

5 DR. CERQUEIRA: I'd like to make one last comment.
6 Some of the questions that the cardiology community has
7 relates to where this training is gotten, in terms of the
8 clinical experience. We pretty much support the 80 hours of
9 adapted classroom and 40 hours of supervised experience.
10 But, we're talking about 580 hours of clinical exposure to
11 procedures. And as the rule is written, in terms of the
12 ACGME requirements, the cardiology programs currently don't
13 necessarily stipulate all of the hourly requirements,
14 neither do the endocrinology boards or the ACGME, the
15 endocrinologist. And this would somehow model some people,
16 who are authorized users, but training people within the
17 cardiology program to some preceptor statement for the
18 people. Well, that would introduce a certain amount of
19 difficulty. And it's true that these programs could be
20 reviewed by the NRC and the ACMUI, but that would add quite
21 a bit of work to the process.

22 MR. MCGAFFIGAN: Madam Chairman? I'm just
23 wondering, classroom counting a number of hours, that's
24 straightforward, probably counting the 40 hours is
25 straightforward. What do we mean when we say you have to

69

1 have 580 hours of clinical experience? Does that mean if
2 I'm a cardiologist -- a future cardiologist, that I sort of
3 have to be in the hospital setting, where somebody might be
4 using radionuclide down the hall during those four months,

5 and if there's a spill there, somebody will pull me in and
6 say, see, this is a spill and this is how we handle it, or
7 -- and so, you'll just -- I mean, you'll just count four
8 months worth of -- you cook up the 580 hours? Or is it
9 real, you know, for 580 hours of your cardiology -- I'm not
10 sure, your internship, whatever it is, four months you'll
11 focus entirely on the use of radionuclides in treatment of
12 heart disease?

13 DR. CERQUEIRA: As the current guidelines for
14 cardiology training, they recommend that people that do this
15 -- they have four to six months. And that 580 hours should
16 consist of performing the stress portion of the studies,
17 interpreting the studies, being there when the patient gets
18 subjected with a radioisotope, being involved in some of the
19 quality control with the department. But, I think the
20 committee, in general, felt that it was important to have
21 people in the clinical environment to see the problems that
22 can occur: the spill that occurs on the treadmill, the --
23 and some of the other issues that arise. We felt strongly
24 that to allow people to do as you say, which is basically
25 just to be at a facility, to be in a classroom someplace,

70

1 would not meet the broad exposure, the time element, which
2 is essential to see a variety of cases and a variety of
3 problems that may arise.

4 MR. MCGAFFIGAN: If we pass this rule, people will
5 be able to count those hours honestly and there won't be
6 disputes as to whether the hour was devoted to this or
7 whether the hour was devoted to watching open heart surgery
8 down the hall or whatever?

9 DR. CERQUEIRA: Well, I think we can establish the
10 rule -- and sort of the professional medical societies are
11 encouraging this, and I think people will be compliant.
12 But, obviously, there will be, you know, breaks in trust.
13 But, in general, I don't -- I don't see it as going to be as
14 much of an issue.

15 CHAIRMAN JACKSON: Dr. Stitt.

16 DR. STITT: One comment that addresses that. We
17 felt there's an important role of the preceptor, who will be
18 signing off on this particular training. The preceptor is
19 commonly the residency program director, who has a broad
20 view of what that individual trainee has been involved in
21 and is going to be less likely that, you know, an hour here
22 or an hour there can be doctored; whereas, you're going to
23 be looking at a broadened program.

24 CHAIRMAN JACKSON: Dr. Wagner?

25 DR. WAGNER: Yeah. I think also the other fall

71

1 back is the fact that these programs have to be approved --
2 the training programs have to be approved by the NRC. And
3 if they're, say, an ACGME approved program, they're
4 specifics from that agency to specify what an individual
5 must do in the training program. The whole idea here is to
6 keep it out of the rule -- keep the prescriptiveness out of
7 the rule space, depend clearly on the professionalism of the
8 training programs to decide what that is. And you have some
9 control through your assessment of the programs, the
10 approval of the programs. There's a preceptorship that has
11 to be approved. So, there is guidelines here to make sure
12 that that is maintained at the proper level.

13 CHAIRMAN JACKSON: Okay. Can we go on?

14 DR. STITT: Okay. We're on view graph four,

15 medical event. The ACMUI agrees that the -- those
16 thresholds currently capture events of concern and that
17 proposed dose thresholds will provide regulatory relief from
18 some of the lower risk events that have been numerous, and
19 kind of confounding those of us who do consultations, and
20 one of the probably strongest examples of that is wrong
21 treatment site.

22 A large segment of consultation time concerns this
23 third point, that is patient intervention. And we feel that
24 events occurring as a result of patient intervention should
25 not be reported to the NRC. There's one big caveat, and we

72

1 don't have all the language in front of us, but that, I
2 think, was put in front of you by one of Cathy Haney's view
3 graphs, such that a dose that would provide permanent injury
4 to an organ or tissue wouldn't be captured. So, one of the
5 examples, in spite of the best that you can do, an
6 individual that's got a source treating the bronchus for
7 lung cancer, they're bed rest, they have drugs written to
8 suppress cough, but the patient can cough and the catheters
9 can change position. That's a relatively common example.

10 We wanted to, in case you had any question,
11 reaffirm that we don't support regulation that requires
12 notification of the referring physician or patient, as we
13 feel that this continues to be redundant in the existing
14 standards of care.

15 MR. MERRIFELD: I'd like to -- speaking about
16 redundant, you, also, have that same statement on the bottom
17 of the next slide.

18 DR. STITT: Right.

19 MR. MERRIFELD: Explain to me the redundancy? And
20 I know -- I think at the end of your statement, I'd like to
21 hear and see whether our staff agrees with you or not.

22 DR. STITT: Patient care is what I do all day,
23 every day, unless I'm in Washington. And if there is some
24 modification of a treatment plan, whether -- no matter what
25 created that, the patient and I discuss what's going on.

73

1 So, the redundancy relates to federal regulation; that is
2 taking care of patients in the standards that I hold myself
3 to and ethical standards require that I discuss this matter
4 with the patient.

5 We have had two members of the public, who
6 actually have been committee members of ACMUI, who very
7 expressly stated that they found the reporting requirement
8 frightening to them, as individuals; that they feel it's
9 disruptive to their communication with the physician, who is
10 managing them, and realize that the members of public, who
11 are usually working with us, have been through some intense
12 medical system. So, they are speaking from their firsthand
13 knowledge, and they find that the requirement for reporting,
14 the federal requirement, is interfering with their
15 relationship with their physician. So, that's our personal
16 experience, as a committee with members of the public.

17 MR. MERRIFELD: Starting with the Chairman, I'd
18 like to get the staff's view of why we are where we are.
19 Cathy or Carl?

20 MR. DIAZ: Excuse me, when you say "federal
21 requirement," you mean NRC requirement?

22 DR. STITT: That's right, through Part 35 rules.

23 MR. DIAZ: Through Part 35.

24 MS. HANEY: This has been an issue that the staff
25 has looked at for several years. It really came about first

74

1 with the medical -- the misadministration reporting in the
2 early '80s, and it has elicited a lot of conversation among
3 staff. By going back and referencing some of the old
4 documents, the Federal Register notices, we are where we are
5 today because of Commission decisions that have said that if
6 -- I guess, basically, we don't want to be in a position
7 where the NRC has information that the patient does not
8 have. And without this requirement, we can't be assured
9 that the patient would not have that information.

10 We, also, believe that by assuring that this
11 information gets to the patient, that we are putting in
12 position where the physician and the patient together can
13 make an informed decision about their care. And these are
14 items that have been issued in Federal Register notices and
15 for why -- you know, basically, stating where we are today.
16 But, it has caused a lot of discussion.

17 MR. MERRIFELD: Yeah. Did we -- just to reiterate
18 my question, did we receive any comments from the public,
19 outside of the medical community, asking us to repeal, you
20 know, our regulations, as it relates to this particular
21 element?

22 MS. HANEY: No. Other than the patients rights
23 advocate that Dr. Stitt said that we have on the committee
24 and the ones that attended the facilitated public meetings
25 and, as she said, they indicated that the requirement was

75

1 not needed. Now, if you go back to the ACMUI of probably
2 about two years ago, we did have a patient rights advocate
3 that felt very strongly that this should be in the rule.

4 MS. MERRIFELD: This should be in the rule?

5 MS. HANEY: That it should be in the rule. But
6 other than, you know, those particular points, we did not
7 receive any comments on it.

8 CHAIRMAN JACKSON: Okay; thank you.

9 MR. DIAZ: Excuse me. Dr. Stitt, the redundancy
10 comes from the fact that you feel that there is an intrinsic
11 obligation for the administering physician to discuss with
12 the patient any mutual misadministration that is beyond what
13 you would call, you know, variations that exist in clinical
14 settings?

15 DR. STITT: Right, that is talking to a patient
16 about some event that happened. Another example, because
17 that's probably easiest for me to talk in a fashion of
18 patient care: a patient has been treated for cervical
19 carcinoma and the source strength might have been used
20 incorrectly. You have to talk to the patient to say the
21 dose that we wanted to give you didn't achieve; we didn't
22 use the right source; amongst five, we had one that wasn't
23 the correct strength. So when we do your second insertion
24 of the plan that we had for two insertions, we're going to
25 make some adjustments. And part of the discussion would be

76

1 this means that we're able to give the dose that we wanted
2 to give. So, it would most commonly come up in the course
3 of discussing the patient's care.

4 MR. DIAZ: For example, in -- and I hate to bring
5 those up, but, you know, we reported last year abnormal
6 events on some major misadministrations, you know, to the

7 Congress of the United States. And they're, obviously --
8 you know, they're all related practically to the thyroid,
9 but not coming from endocrinologist office. And how would
10 you deal with those, you know, real, large single issues
11 that still are out there? How would you deal with it?

12 DR. STITT: Well, I don't deal with any thyroid --

13 MR. DIAZ: I know.

14 DR. STITT: Dennis, you want to take a --

15 MR. SWANSON: Well, I think what we're talking
16 about, those are still being reported to the NRC. The
17 concern deals with the patient notification aspects of this.
18 And you're making the assumption that that physician is not
19 notifying that patient.

20 MR. DIAZ: No, I didn't make that assumption. In
21 fact, I wanted to be reassured of how you would actually
22 deal with the situation.

23 DR. CERQUEIRA: And I think you said yourself,
24 that instead of the reporting the misadministrations, the
25 reality, in terms of for diagnostic uses, that does tend to

77

1 create a certain amount of distress in the mind of the
2 patient, because he doesn't -- he or she doesn't fully
3 understand the risk that's involved, which is relatively
4 low. And if you look at all other areas of medicine, when I
5 do cardiac catheterization, I can potentially do lot more
6 harm by making mistakes, but I don't have to report it to a
7 federal agency. It's basically controlled by committees and
8 hospital rules, other areas within the hospital, the
9 professional medical societies that control this. If I give
10 the patient the wrong dose of an antibiotic, I don't have to
11 report to anybody, again, because the risk is relatively
12 low. And according to the committee, I can give a
13 tremendous dose, which could have lethal effects, and
14 there's no reporting requirements.

15 MR. MCGAFFIGAN: Even to the patient? You need
16 not tell the patient I just gave you a high dose or
17 something?

18 DR. CERQUEIRA: No. Again, but that's -- it's
19 regulated at the local level and I don't have to report it
20 to an agency. So, yeah, I think it's important to be able
21 to do it within the hospital structure, the procedures in
22 place currently that deal with these kind of issues. And
23 I'd have to notify the patient.

24 We're saying here, notify the NRC. The risks are
25 relatively low to these patients. In terms of the

78

1 doctor-patient relationship, it does create a distrust,
2 which doesn't need to be there.

3 MR. MCGAFFIGAN: Could I ask --

4 CHAIRMAN JACKSON: Yes.

5 MR. MCGAFFIGAN: The patient notification is
6 actually the referring physician notification. The
7 referring physician decides whether to tell the patient, I
8 guess, it's generally done. As you say, the practice of
9 medicine would usually do. So why is it, if it's going to
10 be done anyways, why can't -- and I think we made an
11 adjustment in the rule, so that we don't have to -- whatever
12 bureaucratic report you send in to us doesn't have to be the
13 mechanism you use to talk to the patient, notify the
14 patient. If you're going to do it anyways, what -- I guess
15 you're saying why have a rule. But if we give the public

16 some comfort, that if they're dealing with radioactive
17 materials, if a mistake is made, they're going to know about
18 it, at least there's a rule that they're suppose to know
19 about it, in addition to whatever the practice in the
20 community is. What's the matter with that?

21 DR. STITT: I think one of the issues is a
22 disagreement about requiring notification and you use the --
23 it's your feeling that it would be comforting to the patient
24 to have a copy of this letter. And we --

25 MR. MCGAFFIGAN: Not the -- I think we even waived

79

1 the copy of the letter, at least we talked about it.

2 DR. STITT: But, you can write it in your own
3 words. But, there's a difference as to whether that's
4 comforting or not comforting, depending on who you're
5 talking to.

6 MR. MERRIFELD: I want to make a comment. I come
7 from -- I'm a new commissioner. I came from the Senate
8 Environmental Committee and one of the issues that we had
9 with the Jurisdiction Subcommittee that I was staff director
10 for was the Community Right To Know Act, which requires
11 corporations that emit toxic substances to notify the
12 community surrounding them -- notification of materials that
13 were released to the public. There are similar reporting
14 requirements under the Safe Drinking Water Act, and other
15 federal laws that require notification of these materials.

16 The analogous situation is there were some efforts
17 by someone in Congress some years ago of rolling that back,
18 take away some of the reporting requirements. And the human
19 cry, when the average member of the public found out, was
20 exceedingly high. And while I recognize and appreciate the
21 concern that you're raising about the fact that you already
22 have a doctor-patient relationship, you already feel you
23 have an obligation to provide this information to your
24 patients, the problem is we have a requirement on the books
25 now. And for us to repeal that and take away notification

80

1 for your patients that they currently have, in effect,
2 somehow is denying them information that is currently
3 available, is something, I think, although we haven't
4 received a lot of comments on it yet, is something, at
5 least, we certainly potentially could.

6 Now, I don't know whether the staff has explored
7 with you all perhaps another option of doing this. It seems
8 to me one of the other ways one might explore this is if you
9 had a certification, the doctor could say I certify that I
10 have provided this information to my patient. You say you
11 informed your patient of this. If you're willing to certify
12 to that and send us a letter with your certification,
13 signing on the dotted line, that may be -- there may be no
14 need for us to inform the patient, if you're willing to
15 certify that you've already done it. I raise that as a
16 suggestion. I don't know what your reaction is to that.

17 MR. SWANSON: In fact, if you look at the rule as
18 proposed, one of the requirements is that as part of the
19 reporting this to the NRC, as part of that reporting
20 requirement, the physician must tell the NRC if they have
21 reported this to the patient; and if not, why not, which
22 would seem to address your issue. What becomes particularly
23 disconcerting is the requirement that you have to provide
24 any other written information back to the patient, as part
25 of the patient notification. So, what happens is you have a

1 relatively minor event from a risk standpoint, you've
2 explained it to the patient, the other things outside of it,
3 you're going down your merry way in your care, and then all
4 of a sudden they get this piece of paper, okay, that
5 describes it on paper. And then it takes a new level of
6 significance for them.

7 MR. MCGAFFIGAN: Honestly, I thought we had tried
8 to deal with this issue of different types of notification.
9 And Cathy could remind us, I thought we had tried to deal
10 with it in the proposed rule and allow for there to be one
11 method of communication with the patient and another method
12 potentially far more bureaucratic with us, and I'm trying to
13 search for that in the rule language.

14 MS. HANEY: That's correct, it's in there. I
15 don't have the rule with me. It should be near the end of
16 -- it should be 35.3 or 4 or 5(a)(1).

17 MR. MCGAFFIGAN: It says, assuming either a copy
18 --

19 MS. HANEY: Right.

20 MR. MCGAFFIGAN: -- of the report that was
21 submitted to the NRC or a brief description of both the
22 event and consequences as they effect the individual. I'd
23 assume -- when we put that flexibility in, I assumed most of
24 you guys were going to opt for the brief description of both
25 the event and consequences, as they may affect the

1 individual, in your own words and not give them -- if the
2 reporting requirement fills everything from A through D,
3 it's probably a pretty bureaucratic report that you send in
4 to the rest of us. And I can see -- so, we were sensitive
5 to this notion of trying to allow you to communicate with
6 the patient in plain language and possibly putting the risks
7 into context and have that separate from the report that you
8 send to us for all these other things.

9 CHAIRMAN JACKSON: I think we've about exhausted
10 this question. I think we need to move on.

11 DR. STITT: We have slide number five. Lou
12 Wagner.

13 DR. WAGNER: This deals with the unintentional
14 exposure to the embryo fetus and the nursing child. The
15 ACMUI endorses the proposal to address the reporting in Part
16 20 rulemaking. But, in our discussions, it was quite clear
17 that if that happens, the ACMUI feels that special
18 consideration must be given to the pregnant patient.

19 I'd like to address why we feel that that's the
20 case. In Part 20, you're dealing mostly with protection of
21 the public, trying to prevent unnecessary exposures to the
22 public. But, in medicine, we intentionally expose people to
23 radiation. That's our job. That's what we do. And we may
24 end up intentionally exposing a conceptus that we didn't
25 know existed, okay.

1 We cannot, in medicine, ever separate a fetus or
2 embryo of a woman from the woman we're treating, herself.
3 And in medicine, we always have been involved with this risk
4 informed type of procedure, in this situation. We always
5 have to take into account what are the consequences of our
6 action, not only on the health of the mother, but on that of
7 the baby. We do it in our practice. So, it's an entirely

8 different situation in just treating that embryo fetus as a
9 member of the public. It's not that separate. It's not
10 that clear. So, we strongly feel that if this is moved into
11 Part 20, that some special consideration must be given to
12 the pregnant patient.

13 We endorse the 50 milliciberts per five rem, as an
14 appropriate reporting level, because that would have minimum
15 impact on the patient-physician relationship and will have
16 minimal impact on the current standard of care and the cost.
17 We feel that the current proposal level of 500 millirem --
18 or that a proposal of 500 millirem gets into a lot more
19 difficulty with regard to intrusion into the
20 patient-physician relationship, and there's a lot more
21 subtle issues that are involved with women who are pregnant,
22 but can't be detected as pregnant. And those issues, which
23 we've already addressed in the medical community and in
24 medical care, but cannot be addressed within this kind of
25 rule space.

84

1 We feel that the statements of consideration do
2 emphasize this is a reporting level and not a dose limit.
3 One of the biggest problems we run into across the field,
4 and it's outside of your recognition, because you don't
5 experience this, but we experience it a lot, and that is
6 when we -- when people look at levels, they look at these
7 levels of -- for occupational levels or other levels. And
8 in the medical community, they translate them as to being
9 the threshold for these levels. Or the area -- well, gee,
10 it's really dangerous if we get above this level, whatever.
11 Well, in medicine, we don't look at it that way. You have
12 to look at the benefit risk issues. And so, in the
13 statements of consideration, we need to emphasize that this
14 is a reporting level and not a dose limit.

15 ACMUI does not support any regulation that
16 requires notification. Again, we've discussed that. I
17 guess we don't wish to venture into that issue again.

18 CHAIRMAN JACKSON: Okay.

19 MR. MERRIFELD: I have a question about this.
20 It's just not clear to me, and it wasn't when we had our
21 staff discussion, what is -- I understand the difficulty of
22 determining whether a patient is pregnant or not, in cases
23 where you don't know. But what is the right level to be
24 concerned about where there is some knowledge that the
25 patient is pregnant?

85

1 DR. WAGNER: You're asking for a threshold and in
2 medicine, I can't give you that threshold, because
3 everything we do is a benefit risk relationship. In some
4 cases, it's higher; in some cases, it's lower. You can't
5 define a threshold in medical care and saying that's it.
6 You have to look at it, in terms of perspective.

7 These people are sick people. They are people,
8 who need medical care. And the judgments and the rules of
9 certain medical practice already establish protocols, by
10 which we would manage the protection of these patients.
11 Some of the diagnostic examinations that are given would be
12 given on occasion to an individual, who cannot be detected
13 at being pregnant and the dose would exceed the 500 millirem
14 level. That would affect that kind of procedure and this
15 kind of reporting, because it now puts a regulatory impact
16 on that kind of procedure. And that tends to interfere with
17 the patient care.

18 There could be individuals, in order to avoid the
19 reporting, who would instead opt out for an examination
20 that's not regulated. They could even deliver a higher
21 dose. So, there's many facets where this can impact what
22 we're doing when we set that level that low. So, we -- when
23 you analyze this whole data, we did it for the risk benefit.
24 We tried to look at the levels that would be considered to
25 be definitely things that we want to know and we selected

86

1 the five rem level as being that based upon the risks and
2 looking at the benefit risk, in terms of managing the
3 patient, as a patient.

4 MR. MERRIFELD: Just my edification, to what
5 extent -- you said the benefit -- to what extent does the
6 determination regarding the fetus figure into it?

7 DR. WAGNER: Well, in diagnostic examinations,
8 there are rules laid down as to what you do to try to screen
9 out patients, who might be pregnant, for instance, okay.
10 And so, you implement those rules, as your first line. Now,
11 if the doses are going to be higher, in some cases, such as
12 the iodine 131, whatever, in those situations, it actually
13 is required that a pregnancy test be performed, okay. So,
14 there is a discrimination that goes on.

15 What I'm trying to point out is that there are
16 diagnostic examinations, which we presently do today,
17 wherein the fetus would receive more than the 500 millirem.
18 She might be in an early stage of pregnancy, but it's still
19 with the standards of medical practice to go ahead with the
20 study, in light of the fact you don't know about the
21 pregnancy, okay. So, that's the reason this 500 millirem
22 level really gets to be a controversial and tough level for
23 us.

24 MR. MCGAFFIGAN: Could I follow up? Are you
25 saying that what the 500 millirem level would do is drive

87

1 you in more procedures to do what you do in iodine 131, and
2 basically by our reporting requirement, we would change the
3 practice and a pregnancy test would probably be required
4 among modalities?

5 DR. WAGNER: Yes, that could happen. And not only
6 that, it might have another adverse effect, which might be
7 that in some cases, in order to avoid the potential for the
8 reporting, those particular studies, instead of being done
9 in nuclear medicine, might be referred to an x-ray study,
10 where the reporting isn't required, in order to avoid
11 reporting, which we would like to not -- think not happen.
12 But, it could require some physicians to order a different
13 kind of examination, that might even deliver a higher dose,
14 such as a CT examination or something of that nature.

15 MR. MCGAFFIGAN: CT exams typically would --
16 people get rems?

17 DR. WAGNER: Two rem.

18 MR. MCGAFFIGAN: Two rems?

19 DR. WAGNER: Two or four rem.

20 MR. MCGAFFIGAN: What does the fetus get, if --

21 DR. WAGNER: If it's an examination of the pelvis,
22 two to four rem.

23 MR. MCGAFFIGAN: One of the problems that we have,
24 as I said earlier, is the public is adverse, particularly
25 when it comes to children, to apparently something in the

1 order of two rems to the thyroid, as a result of nuclear
2 testing or -- and the National Cancer Institute says there
3 will be 10- or 20,000 extra doses of thyroid cancers, as a
4 result of the nuclear testing program, in getting into our
5 milk and all that. So, you know, we deal with these -- you
6 know, how do we --

7 DR. WAGNER: Well, I think the issue is, again,
8 you have to look at this issue, in terms of whether or not
9 you're talking about members of the public, where you're
10 basing risks on something that -- you know, everybody knows
11 that risks exist.

12 MR. MCGAFFICAN: Right.

13 DR. WAGNER: All those risk estimates that are
14 made for those low doses are made upon extrapolated numbers,
15 not on numbers that are really known or well defined, okay.
16 Now, you're going to start applying those to patients and to
17 fetuses. This is a different story. You can't do that.
18 We're dealing with sick people. We're dealing with people
19 that need medical care and we are going to intentionally
20 expose these people to this radiation. That's our job,
21 okay.

22 MR. MCGAFFICAN: Right.

23 DR. WAGNER: So, you can't separate the fetus from
24 that. And medicine has recognized that for quite some time
25 and has drawn up its rules and its guidelines, that are

1 based upon a risk informed decision, in terms of medical
2 care for patients, separating out diagnostic examinations
3 from other particular type of examinations that may deliver
4 higher and higher doses.

5 MR. MCGAFFICAN: Can we just -- I'm sorry -- would
6 you -- if one of these modalities, say, would result in
7 three rems to the fetus, that you don't currently require a
8 medical -- a pregnancy test before you administer, and after
9 the fact, if I note that the fetus did get three rems, what
10 is standard medical practice, with regard to watching that
11 child after it's born and see whether any damage was done to
12 whatever organ it was --

13 DR. WAGNER: Well, I have done, personally --
14 standard medical practice does not systematically follow all
15 these patients, and it depends upon the situation. For
16 example, if the patient was exposed prior to two weeks past
17 conception, that falls within the realm of medical guidance.
18 Many organizations, RCRP, for instance, the guidance is
19 quite clear that the risks in this range, if anything
20 happened, assuming the risk compared to the benefit, that
21 there is no need to pursue any follow up or anything of that
22 nature. I have personally followed them up, to find out
23 what the heck happens. And I've looked at these records
24 later on and done studies myself. But, it's not a matter of
25 medical -- of standard medical practice.

1 Now, if the exposure occurred later and you didn't
2 -- you did all your screening and everything is right, but
3 it turns out, unfortunately, the patient was pregnant and at
4 a later stage and whatever, then we have to assess the
5 situation for the patient, look at the risks, benefits, and
6 counsel the patient appropriately, with regard to what may
7 have occurred, okay. That patient slips through our
8 screening processes, etc., okay. So, that's the way we
9 handle it medically, and it's a matter of a one-to-one basis

10 with the patient, at the time.
11 Quite frequently, we'll get calls from an
12 obstetrician, who will say, look, last month, it turned out
13 that she was pregnant, at that time. I'll go back and look
14 at the records and find out that based upon all the records,
15 she could have not been more than one week past conception,
16 at that time. That falls within the standard of practice.
17 I informed the obstetrician, at that time, this is what
18 occurred. There's no conceivable risks that anyone knows
19 about this dose level, at this time. No action is
20 recommended.

21 CHAIRMAN JACKSON: Okay. I think we've exhausted
22 this one.

23 DR. STITT: All right. Let's go on to Ruth
24 McBurney, who is going to discuss our view graph number six,
25 radiation safety committee.

91

1 MS. MCBURNEY: Thank you. On this issue, the
2 ACMUI does endorse the staff recommendations on the draft
3 final rule, to require the radiation safety committee for
4 licensees that have multiple types of uses under the high
5 risk categories, those being unsealed radioactive material
6 that require a medical directive, annual Brachytherapy, and
7 then Subpart H, which is the teletherapy, remote after
8 loaders, and gamma stereotactic units.

9 We, also, added a comment that if there were
10 multiple units used under Subpart H, for example, if you had
11 a teletherapy unit and remote after loading and
12 Brachytherapy, that that also would kick in the need for a
13 radiation safety committee. We feel that this
14 recommendation, after seeing all the comments, is consistent
15 with the risk-based approach that the Commission is taking
16 toward these. These are the types of facilities that would
17 be more likely to involve multiple areas of the licensed
18 facilities, such as the nursing and housekeeping and so
19 forth.

20 But, in setting up the -- also setting up the
21 radiation safety committee and not putting in all the
22 positions that would be needed on that committee, but just
23 limiting those positions in the rule to those that must be
24 included allows the licensee more flexibility in determining
25 what other types of positions would be needed on that

92

1 committee. So, we feel that the rule does provide that
2 flexibility.

3 DR. STITT: View graph number seven, Louis is
4 going to talk about calibration of Brachytherapy sources.

5 MR. WAGNER: Okay. This one can be kept
6 relatively short. One of the important points that the
7 ACMUI -- we had promised that licensees can rely on
8 manufacturers' calibrations, as long as that calibration is
9 a current calibration. And we did not support the use of
10 sources that lacked an appropriate calibration, and that is
11 grandfathering those types of sources in. And I think that
12 the intent here is that all sources have an appropriate
13 calibration that's either traceable to NIST or traceable to
14 a secondary standard from NIST.

15 We did not that there were multiple commenters in
16 the APM, who supported verification of the manufacturer's
17 calibrations, but the ACMUI did not feel that it is
18 necessary to place this into rule space, although it does

19 not inhibit any of the members to satisfy for themselves the
20 verification on their own.

21 CHAIRMAN JACKSON: Okay.

22 DR. STITT: All right. We're going into our final
23 topic. Dennis Swanson, who is also rotating off the
24 committee, has been given the task of pulling this all
25 together.

93

1 MR. SWANSON: I'm not sure about pulling it
2 together. Actually, the committee sees a finalization of
3 the risk informed performance-based rulemaking process, as a
4 requirement for several additional considerations and
5 changes that the NRC must take, in order for the rule to
6 function as intended.

7 For example, with the licensing program, Cathy
8 mentioned earlier that one of the areas where the agreement
9 states are not in total agreement with the proposed rule
10 deals with, for example, the agreement states want to have
11 the licensee's procedures submitted and reviewed, which
12 implies approval of those procedures, as part of the
13 licensing function. The ACMUI does not endorse the practice
14 of requiring pre-review -- NRC pre-review and approval of
15 the licensee's procedures. The reason being is because what
16 you basically do there is you require the licensee to submit
17 a very specific set of procedures. The NRC reviews and
18 approves or makes changes in those specific procedures, ties
19 the licensees to those procedures, and what you have
20 fundamentally done is taken a performance-based rule and now
21 made it very descriptive again. So, it really goes against
22 the philosophy of performance-based rulemaking.

23 MR. MCGAFFIGAN: Madam Chairman? So, you all
24 support the staff in wanting to deregulate in this area,
25 compared to past practice, but you're worried about the

94

1 agreement states continuing the past practice of reviewing
2 procedures?

3 MR. SWANSON: Well, we have a concern there, yes.
4 We definitely do support the staff in not requiring the
5 submission of procedures and review of procedures, as part
6 of the licensing condition. Now, it doesn't mean -- to
7 address your concern, is when the inspectors go out, I mean,
8 obviously, they're going to have access to people's
9 procedures to review. So, it's just not -- what we're not
10 doing is tying the people to a specific. It gives the
11 flexibility to the licensee, again. It's very important.

12 With regard to the inspection program, I believe I
13 said at the last ACMUI meeting, you're going to a very
14 different approach here. You're going to a
15 performance-based set of regulations that mandates that your
16 inspection process also has to be performance-based, which
17 is very different from the way inspections are done now,
18 where you have a very prescriptive set of regulations and an
19 inspector goes in to see if you're following or not
20 following those regulations. Now, when an inspector has to
21 go in and make an evaluation of the overall performance of
22 the protocol, I think Dr. Paperiello hit it in his
23 discussion, you go in and you find one of two things. The
24 inspector needs to be able to judge is this still a
25 well-performing program, even though there may be these

95

1 noise level of problems.

2 CHAIRMAN JACKSON: I have another appointment.
3 Commissioner Dicus is going to take over for me. I would
4 like to thank the two of you for your services.

5 MR. SWANSON: Lastly, an interesting issue, I'm
6 not quite -- I'm not sure the committee is quite sure how to
7 address this or the staff, but it deals with the issue of
8 guidance documents and model procedures. I think it's
9 important -- I think even the regulated community would
10 welcome and needs guidance documents and model procedures.
11 Where the problem comes in is, as we've seen in the past,
12 you have a -- NRC publishes a guidance document or a model
13 procedure and then that becomes a defacto regulation. This
14 is the way -- this is our guidance document; this is our
15 model procedures; this should be the way you should be doing
16 this, which then turns into this must be the way you're
17 doing this. And then all of a sudden, you take the
18 performance-based approach and made it very prescriptive
19 again. And that's difficult. And probably the best advice
20 we can give is for the NRC not to even get into guidance
21 documents or model procedures, because you want to stay out
22 of that pitfall.

23 On the other side of the coin, again, on the other
24 side, I think the regulated community probably needs some
25 guidance and model procedures, and where are those going to

96

1 come from. So, it's a problem.

2 MR. MCGAFFIGAN: Madam Chairman?

3 MS. DICUS: Go ahead.

4 MR. MCGAFFIGAN: The problem I see, there are
5 probably some very sophisticated folks out there that don't
6 need this and there's probably the smaller folks, who
7 actually benefit -- they would just assume not have to
8 invent procedures on their own and they'd like to go to the
9 cookbook, although we shouldn't turn the cookbook into
10 handcuffs.

11 MR. SWANSON: Yeah, exactly the point. The
12 community is actually cheering for performance-base
13 regulations. I think what we're going to hear, and I hear
14 from the community already is, yeah, but they don't give me
15 enough information, okay. So, that's the problem you're
16 facing here, in going to this approach.

17 I'll just conclude by saying I think there is some
18 -- ready to assist in all of these future dilemmas that
19 you're going to have. It's easy for me to say it, because
20 I'm going off the committee.

21 [Laughter.]

22 MR. MCGAFFIGAN: When do you all rotate off? End
23 of June?

24 MR. SWANSON: I believe in September.

25 MS. DICUS: Who is the -- I thought you said there

97

1 was a third person rotating off?

2 DR. STITT: Dr. Mel Pools, represents the research
3 community.

4 MR. MCGAFFIGAN: But if you don't get off until
5 September, you're going to get to work on this for a few
6 more months.

7 DR. SWANSON: I'm going on vacation from now until
8 September.

9 [Laughter.]

10 DR. WAGNER: May I excuse myself? I have to -- I

11 have an appointment back home.
12 MS. DICUS: Okay; certainly. Thank you, very
13 much. Commissioner Diaz, did you --
14 MR. DIAZ: Yes. A couple of questions. I think
15 we all realize that, you know, this is not the end of the
16 process; that, you know, just started really trying to use
17 risk information in this area, in a better and more
18 efficient matter. The first question is: these rules are,
19 in itself, I want to call them batch processes. You know,
20 you start them, you go, and then you got to stop sometime.
21 Does the committee feels that at the present time, with this
22 batch set, that the Part 35 is sufficiently risk informed to
23 serve this nation for the next five years? Is that --
24 DR. STITT: Well, certainly, in my practice side,
25 I've been living with the current standard for 20 years and

98

1 I like it a lot what we've been coming up with. I think
2 it's very exciting.
3 MR. DIAZ: Okay. So, you think that it will
4 happen in a certain lifetime. It won't decay very quickly.
5 MR. SWANSON: Which is, in fact, one of the
6 advantages, I think, of going to a more performance-based
7 set of regulations, in that you start getting very specific
8 in the regulations. Then, as new technologies evolve,
9 you're always butting up against your regulations. And if
10 you can come up with a performance-based regulations, it
11 really allows again more flexibility at the licensee's
12 level, to start introducing and taking a look at these new
13 types of things or new approaches or better ways to do
14 things.
15 MW. MCBURNEY: I'd like to add that as the
16 representative of the state regulatory agency on this
17 committee, that we've been looking forward to this rule
18 coming out, so that we can institute similar regulations in
19 our state.
20 MR. DIAZ: Good. That's a very satisfactory
21 answer. And then, a very simple question after that --
22 brace yourself.
23 MS. MCBURNEY: Brace yourself?
24 [Laughter.]
25 MR. DIAZ: Having gone through the process, and

99

1 I'd like your answer very much, and I think this is a good
2 effort that it gets us someplace in a certain life time, in
3 all of these areas that we look at, is there a particular
4 area that needs additional research or really, you know, a
5 deeper look, so that it can become more risk informed and
6 serve this nation better, for the next batch, when -- you
7 know, the next five years or six years, is there any
8 particular area that you believe that requires a deeper look
9 for the next go round?
10 [Pause.]
11 DR. STITT: I think right now we're all sitting
12 here thinking in our own little worlds that we work most
13 deeply in. My response to that would be it depends on how
14 we find that this actually works. But, my impression is
15 it's going to be more further than what we've been with
16 before.
17 MS. MCBURNEY: I think some of the emerging
18 technologies are going to be real challenging to how we
19 address the radiation safety aspects of those, such as the
20 intervascular Brachytherapy.

21 MR. DIAZ: Will the committee take note for maybe
22 not in the next few months that you're going to be so busy
23 dealing with this, but, you know, in the future, this is a
24 particular area that I think looks -- a further look, as you
25 go beyond. Thank you.

100

1 MS. DICUS: Commissioner McGaffigan?
2 [No response.]
3 MS. DICUS: Commissioner Merrifeld?
4 MR. MERRIFELD: I don't have any questions, but I
5 have some comments I'd like to make. Is this the right
6 time?
7 MS. DICUS: This is your last chance.
8 [Laughter.]
9 MR. MERRIFELD: This is my last shot; okay, today,
10 at least.
11 I guess a couple of things I'd like to say. You
12 know, I'm not a doctor and I'm not a physicist, but I'm a
13 lawyer, which is a profession. And I know the difficulties
14 that lawyers have when we sit around and try to
15 self-regulate ourselves and decide how many hours of
16 continuing legal education that we want and how much we want
17 to require of ourselves. And it's always a difficult issue.
18 And as we work through Part 35, it reminds me of that. We,
19 as a commission, are doing things that have a significant
20 impact on doctors and how they interreact with their
21 patients. And we want need to be sensitive. Obviously, you
22 have great concerns for your patients and we have
23 obligations of the law that we're supposed to do, as well.
24 I guess, as it relates to the person notification
25 area, I know that the community felt very strongly that this

101

1 is not an areas you feel needs involvement by us. But, it
2 is an area, in which we have been involved with. As was
3 related by Catherine, 99 percent of the comments received
4 was from medical professionals, not from the public. And it
5 troubles me a bit, that we don't have a better understanding
6 about where the patients really are on this. And I think
7 that's something we're going to need to continue to work
8 through. Because, it's easy for us to look at all the
9 comments on our plate. But, ultimately, from our standpoint
10 as the NRC, we've got to be concerned about the health and
11 safety of the public, and that's something we need to
12 continue to wrestle with.
13 A final comment I would make is -- and the
14 Chairman alluded to it, no, I've been very concerned that we
15 provide our staff with additional time to make sure that we
16 wrestle through all of what were some excellent comments,
17 and making sure that we come up with a rule that makes
18 sense. And though we have nothing to share today, I think
19 there is -- we are grappling with timing issues and making
20 sure we deal with those comments appropriately. And I just
21 want to put on the record that -- I felt that was very
22 important for us to do.
23 MS. DICUS: Thank you, very much. Well, I'd like
24 to thank the staff, of course, and then very much thank each
25 of the members of the advisory committee on medical uses of

102

1 isotope for our briefing today. I know it will take -- it
2 takes time for you to come in. It takes time to review the

3 large number of papers that you have to review. And it's
4 truly appreciated that you're willing to give this time to
5 us, because it's very helpful, as we go forward. And
6 particularly, we would like to thank the three members, who
7 are rotating off the committee for their service.

8 As Commission Mayfield and the Chairman indicated,
9 the Commission is currently considering the time line in
10 process for the development of the rule, and I suspect that
11 we should have a decision on that very shortly.

12 The Commission members always give serious
13 consideration to the views expressed here today and
14 providing guidance to the staff, in resolving these very key
15 issues that remain to the revision of 10 CFR Part 35.

16 If there's nothing more from fellow commissioners,
17 then this meeting is adjourned.

18 [Whereupon, at 3:56 p.m., the briefing was
19 concluded.]

20

21

22

23

24

25